

1 with. If we take patients who are later on
2 in their disease, maybe the horse is already
3 out of the barn. It's too late to affect it
4 or you'll cause more harm than good in people
5 with stiff vessels who can't recuperate from
6 an episode of hypoglycemia.

7 I don't know the answer, but one
8 must understand the tradeoffs when designing
9 the trials. Societally, obviously it would
10 be ideal to do both because as someone has
11 already pointed out, ignoring the 12 million
12 people who already have cardiovascular
13 disease and are very high risk is a terrible
14 mistake that we've made over and over in
15 diabetes trials up until now.

16 What about concomitant therapy?
17 Should we be doing placebo or direct
18 comparative trials? Since there's currently
19 no proven winner in diabetes, I'll spare the
20 jokes about diabetes. We have a lot of fun
21 in our steering committee meetings now
22 between diabetologists and cardiologists.

1 Active (inaudible) versus placebo is an
2 extremely viable approach I think for some
3 time to come. And this is what we commonly
4 do in heart failure trials and many other
5 kinds of trials. As winners emerge though,
6 obviously active control and combination
7 trials will be necessary.

8 But we have other issues in
9 concomitant therapy, and I noticed it was in
10 the guidance document. We have other risk
11 factors. All these people have other risk
12 factors. And how aggressive should we be in
13 their control? Dr. Nissen castigated one
14 trial for being less rigorous about this than
15 another. So the options are to mandate it,
16 which is very expensive, because the minute
17 you mandate it, particularly in multinational
18 trials where there are different standards
19 for different countries, you're now in a
20 situation where the trial has to pay for the
21 costs that are not part of routine patient
22 care for that country. It really runs up the

1 cost.

2 So my recommendation is recommend
3 local guideline based care, have a good
4 electronic data capture system so if people
5 are completely out of line you can use
6 methods of feedback to help the sites get up
7 to speed in what they're doing. And there's
8 a huge on-label/off-label conundrum which,
9 without revealing any details, we're in the
10 middle of now. Since diabetes drugs -- as
11 people have pointed out -- have typically
12 been developed by taking out all the patients
13 that I treat in my practice in a cardiology
14 clinic, they're labeled for narrow
15 populations but then they're used
16 extraordinarily broadly.

17 So if pragmatic outcome trials are
18 limited to on-label use, they'll not reflect
19 actual use of the drug, nor will they capture
20 the nexus of where most of the benefit and
21 harm is over the period of time the trial is
22 going to be done. And the two big areas here

1 are renal dysfunction and people with
2 multiple co-morbidities. This is really
3 where the action is and where drugs have both
4 the most harm and the most benefit.

5 If we're going to do the placebo-
6 controlled add-on trials, we have the issue
7 of glycemic equipoise. This is a nightmare,
8 but there's no way around it. If we start
9 out with people with diabetes and we give
10 drug or placebo, obviously on Day 1, we'll
11 have a difference in glucose levels in the
12 treated and controlled populations. And so
13 if we're looking at net benefit versus risk
14 of drug, then we're obligated to try to treat
15 both groups to the same level over some
16 reasonable period of time, whatever that is.

17 And so this leads to a problem
18 that's called intensification. It's a common
19 problem in every field of medicine where we
20 do these trials. And you'll end up with
21 different uses of treatments.

22 And Dr. Nissen pointed out an

1 interesting one which is that if you have a
2 diabetes drug that raises LDL cholesterol, it
3 is likely that there'll be more statin use in
4 the group that doesn't get the drug. I would
5 argue that you obviously can't stop that.
6 It's an uncertainty that one has to deal with
7 and think about as the trial is designed.

8 And then we have something that
9 also comes up. You diabetologists cannot
10 resist wanting to know about the biological
11 effects of the drug. Personally, I could
12 care less. I just want to know whether the
13 net benefit exceeds the net risk. And so we
14 begin to insert what I call ornaments on the
15 Christmas tree. We start out with a nice,
16 pristine, simple, pragmatic trial and
17 everyone comes along with their substudy they
18 want to unmass the underlying biology.
19 Sometimes these ornaments can cost more than
20 the tree. And no one wants to take them
21 away, including the FDA, which I think is a
22 fatal flaw in the way things are currently

1 working.

2 And then we have outcomes. I wish
3 I could say that cardiology had it all
4 licked. We do not, and you know that. So we
5 have these five issues that we have to deal
6 with. I would like to think that outcomes
7 are hard. We used to be able to say there
8 was very little argument about death, but now
9 with VADTs (?) and transplants all over the
10 place, it's hard to even tell about that.
11 But at least with death, MI, and stroke,
12 there's a logic that these are hard events.
13 People recognize them.

14 They're palpable, and they make a
15 difference. But within these categories we
16 have issues that one has to think about
17 carefully in designing a trial. The big one
18 in death is all cause versus cardiovascular.
19 What's interesting here in diabetes trials to
20 me is that if you go out on the sixth (?)
21 spectrum, cardiovascular deaths dominate. So
22 you don't have to worry that much about it.

1 If you go into the less six (?) spectrum as
2 we did in Navigator, you no longer have a
3 majority of deaths that are due to
4 cardiovascular disease in the trials. And
5 you've got to think about whether all cause
6 mortality should really be the endpoint that
7 you're looking at because now you have an
8 endpoint of death that doesn't include most
9 of the people who died, which to me is a
10 tough issue.

11 You'd like to hope that myocardial
12 infarction was a done deal, but now as
13 supersensitive troponens are coming in, after
14 jogging we're finding we have a little bit of
15 myocardial necrosis.

16 So in designing a trial, one has to
17 put in place a system -- particularly if it's
18 long-term to adopt the endpoint to the
19 changing standards that will inevitably occur
20 in the tests that are used.

21 And then for stroke, particularly
22 in countries like the U.S., since a brain CT

1 is sort of rite of passage for people over
2 age 50, we have to take into account now
3 imaging versus symptomatic strokes and how
4 we're going to deal with that.

5 So those are the hard events. And
6 there are some tough issues there. They're
7 even tougher with regards to the soft events.
8 What we used to call unstable angina -- it's
9 very complex. For every 10 patients admitted
10 to the emergency department with what we
11 would call chest pain, only one to two have
12 real unstable angina. And this can take a
13 lot of documentation to figure out. And
14 people can argue even after the
15 documentation.

16 Revascularization is a very hard
17 endpoint, but it's very dependent upon the
18 cultural context. I'm pleased to say now
19 that we're no longer the country with the
20 most extreme rate of
21 revascularization -- Germany has now
22 surpassed us -- per population is doing more

1 revascularization. And then heart failure
2 admission, which has really hit the radar of
3 diabetologists due to the issues with the
4 TZDs. And it's sort of halfway between.
5 Most cardiologists believe that a heart
6 failure mission is a heart endpoint. It has
7 palpable consequences, but it needs to be
8 measured carefully so that bouts of edema are
9 not mistaken for heart failure.

10 All right. I'm almost done. Then
11 we get to adverse events. I don't know how I
12 can be more clear about my opinion on this.
13 I'd welcome argument about it. But for the
14 important events of interest -- now,
15 remember, we're talking about outcome trials
16 after we've been through the screening
17 process that Dr. Nissen described -- so we
18 have some signals that we want to look at.
19 And if there's one thing that's clear in
20 studying clinical trials it's that if you
21 want to measure a signal that you're looking
22 for, open ended adverse events is about the

1 dumbest thing you can possibly do. You only
2 get a quarter to a third of the events that
3 actually occur. And what you should do is
4 construct a tick box that enables people in a
5 very simple rational way to get the
6 information that you need to classify the
7 event.

8 Serious adverse events must be
9 collected. I'm in agreement with that. But
10 why on earth we have to have this expedited
11 reporting of serious adverse events in this
12 phase of the development of drugs, I
13 challenge you to give me a good reason or it.
14 Working with the FDA in a public partnership
15 we have, we've recently been looking at
16 oncology. We have oncologists now who are
17 getting over 200 serious adverse events a
18 month from pharmaceutical companies from
19 trials that they're not even participating in
20 because they're doing other trials related to
21 the same drugs. If you calculate 15 minutes
22 every time one of those comes in to open it

1 up, and read it, and decide what to do about
2 it, for a trial that you're not even
3 participating in, for which you have neither
4 numerator nor denominator and no way to deal
5 with it that could be meaningful it's
6 astounding that we're still doing this to
7 people and it hasn't been stopped.

8 So if we just collected serious
9 adverse events and reported them to a data
10 monitoring committee and did it in a
11 non-expedited, non-Federal Express manner, in
12 one trial that we're coordinating we
13 calculated a single serious adverse event for
14 a global trial for a drug that's being used
15 for multiple indications can cost up to
16 \$450,000 to send to all the investigators
17 around the world.

18 Now, for non-serious adverse
19 events, please, please forget open-ended
20 non-serious adverse event collection at this
21 point. I challenge you to find my useful
22 purpose for this. It eats up enormous

1 amounts of time. And running a coordinating
2 center that does a lot of industry- funded
3 trials, it is a nice source of profit. Every
4 time this happens, the cash register is
5 clicking, but it's not contributing anything
6 to understanding the risk and benefits of the
7 treatments.

8 Now, a plug for cost analysis in
9 these outcome trials. It's fairly easy to
10 do. In the end, in most cultures there will
11 be different views of what makes a therapy
12 worthwhile. I think that's perfectly fine.
13 We live in different economies with different
14 values. We learned a lot about this in the
15 GUSTO-1 trial with TPA.

16 The sentinel event for me was when
17 a New Zealand cardiologist called and told me
18 that he had been notified by his hospital
19 board that they had to choose between having
20 a cardiologist -- that is him -- or using
21 TPA. In that case, although TPA was my
22 choice based on the trial we did in the U.S.,

1 in New Zealand I would say probably having a
2 cardiologist is a better thing to have. But
3 you can't do that kind of analysis for your
4 country unless you've collected the cost
5 data.

6 So finally, what could the field of
7 diabetes accomplish if it really adopted
8 pragmatic trials as a standard for the field?
9 I think it could do what's happened in the
10 field of acute coronary syndromes. And I do
11 want to single out here the FDA as actually
12 being the group that made this happen. It
13 was only because Ray Lipicky and Bob Temple
14 stood up to people and said we don't need to
15 measure these things -- it's hard to do, it
16 will cost too much money -- that it now is a
17 standard in this field to do large outcome
18 trials to measure the balance of risk and
19 benefit.

20 If that's done, this is not a
21 panacea. And I think many people have made
22 that point. It's not a panacea, but if

1 that's done, at least we have guideposts
2 based on evidence that can drive practice
3 with clinical practice guidelines that are
4 really based on outcome data. And when that
5 happens with tens to hundreds of thousands of
6 people randomized in the clinical trials, we
7 now know from studies done around the world
8 that if we measure adherents to those
9 guidelines from those kinds of trials, first
10 of all we can show that we can actually
11 adhere if we pay attention; and secondly, we
12 can show that the risk of our patients dying
13 does go down.

14 I would submit that currently in
15 the field of diabetes, since we just don't
16 know, it's impossible to exert this kind of a
17 scheme and have confidence about what it's
18 going to do to the overall mortality and
19 other outcomes for the population of
20 diabetics that's growing in all of our
21 practice. And that the key is these highly
22 pragmatic trials.

1 So in closing, just to sort of add
2 a little levity, you've probably seen this
3 from the British Medical Journal 1999, I
4 don't know any other alternatives other than
5 what I've put forward. Shortcuts will not do
6 the job. They've been proven to be
7 unreliable. Praying may be an alternative
8 depending on your beliefs. But I don't think
9 we should be forcing people to practice
10 nervousness-based medicine because we're
11 widely prescribing drugs for which we don't
12 know the balance of risk and benefit, which
13 is the situation we're currently in.

14 So as a red-blooded American, I'd
15 say we want evidence-based medicine. I do
16 trust in God. But all others must have data.
17 And despite the fact that I'm passionate
18 about this, I also want to remind you I'm not
19 putting this forth as something that will
20 just be done simply and answer the questions.
21 I think Hertzell said it very well today.

22 When you get the data you find it's

1 not as simple as what you believed when you
2 had no data.

3 Thank you.

4 DR. BURMAN: Thank you very much.

5 This will be open now for about 10 minutes of
6 discussion and questions.

7 DR. KONSTAM: Rob, that was really
8 terrific. And I can't think of a single thing
9 you said that I don't completely agree with.

10 DR. CALIFF: That's the first time.

11 DR. KONSTAM: I guess the 30,000 foot
12 question we should have for you is where do you
13 draw the line in all of this between the
14 regulative imperative and the arguments that you
15 made about, okay, we need more information in
16 this field and let's go out and get it. Because
17 I think that's going to be an important
18 question. You know, you didn't mention much
19 about the microvascular effects, and I think I
20 haven't heard anybody challenge the evidence of
21 the linkage between those and glycemic control,
22 so I guess I come back to -- and I'll also say,

1 obviously we've done a lot of trials in
2 diabetes, and there are more trials underway in
3 diabetes. And there are more trials in planning
4 in diabetes. And some of them are pretty large,
5 and some of them are funded by the
6 pharmaceutical industry, and some of them are
7 funded by government agencies. I think that
8 everything you said is a terrific stepping off
9 point for how do we do those trials even better
10 and get better answers.

11 But how much of that is the
12 regulatory requirement for a drug that shows
13 glycemic control, and does a good job of it,
14 and doesn't have a safety problem? There's a
15 lot to be discussed in that last statement.

16 But philosophically, I guess, I'd
17 love your take on this.

18 DR. CALIFF: I appreciate the chance
19 to at least render an opinion on this, because I
20 do believe strongly, and we published about it
21 in the Journal of Health Affairs about four
22 years ago, and my opinion hasn't changed. And

1 as painful as it is, I pretty much agree with
2 Dr. Nissen on his view of it.

3 I don't think that we'd be doing
4 the trials we're doing in heart failure and
5 acute MI if the FDA had not said you must do
6 these trials. Because there's too much at
7 stake for the public to get by with less.

8 But having said that, here's what I
9 believe. I think there should be a
10 requirement to look cumulatively at the data.
11 And there's no better place to do that than
12 at the Advisory Committee when a drug comes
13 up for approval. That process, I think,
14 needs to be a bit more open in terms of the
15 discussion about what comes next. So if
16 there is a signal early on, there should be a
17 discussion about it. And I think the dirty
18 little secret that many of us have who do a
19 lot of consulting with industry is that the
20 problem is not that there's some signal that
21 industry is purposefully avoiding. Usually
22 there's some hint of a possible signal that

1 there's uncertainty about. And the question
2 is do you address the uncertainty head-on
3 early or do you try to sort of hope it will
4 go away.

5 And so if there would be a way to
6 surface what the real issues are that are
7 bothering people at the time of approval so
8 the label could be appropriate, and then a
9 requirement for a proper outcome trial, which
10 would be different depending on what you knew
11 before approval -- at least one in my
12 view -- that would be a tremendous step
13 forward.

14 Now, I've also tried to make the
15 point that one trial is not going to do it.
16 There are going to have to be more than one.
17 And I've also made a plea that the structure
18 of these trials should be so that neither
19 industry nor the practicing clinicians
20 dominate, but there's a balance of power so
21 the right questions get answered. It is very
22 easy to gain outcome clinical trials in ways

1 that you and I both know. We've both done
2 this for a while. So some public proceeding
3 to vet the study design needs to be done.

4 And then the final thing I'll say,
5 I mean, the whole message in the morning at
6 the oncology things is that if you put the
7 government completely in control -- no
8 offense to you now that you're a government
9 guy -- but there's a reason in America we
10 don't like that to happen because they showed
11 data that shows your typical cooperative
12 group trial takes three years to get started
13 from the time the concept is finalized. And
14 that's unacceptable. So we need a balance of
15 all those powers.

16 So I think it should be required
17 and it should have some public proceeding,
18 but not before marketing unless there's a
19 signal in the screening at that point.

20 DR. BURMAN: Thank you. Other
21 questions for Dr. Califf? Dr. Temple.

22 DR. TEMPLE: The kind of trial that

1 you endorse and that Steve suggested -- that is
2 one where you're trying to rule out an upper
3 bound of risk -- is plainly something that FDA
4 can require of a new drug that's approved, but
5 more easily now with FDA than before. But we
6 can't.

7 Some of the trials you're talking
8 about are more sort of what the field needs.
9 That is they're not about a single drug.
10 They're about approaches to therapy. I think
11 either you or somebody said target versus
12 drug. And I wonder if you had thoughts about
13 how to get those done. It's not as easy for
14 us to insist on those because they're sort of
15 everybody's problem.

16 The other thing I wanted to take
17 the opportunity to advertise is that we
18 completely agree with what Rob says about
19 simplification of trials. Even though he
20 sounded critical of FDA, those are not our
21 requirements. We make exceptions. Anybody
22 who doesn't want to collect stupid things

1 just needs to come in and ask. Because the
2 rules are very, very clear. You don't have
3 to collect every drug the person is on. You
4 don't have to collect silly little adverse
5 effects. You don't have to submit
6 immediately the outcome measures of the trial
7 or any of those things. And everyday
8 intelligent companies get permission not to
9 do that. They don't even need permission
10 really because we've written guidance that
11 makes it possible.

12 So we totally agree with that. And
13 the time and money spent on collecting that
14 stuff is ridiculous. We just had a meeting
15 yesterday where a company was sending us all
16 of the endpoints. And I asked them why. And
17 they said, well, our safety people are
18 nervous. I don't know what they're nervous
19 about, but they don't have to do that. I'm
20 sorry. That was just an adverb.

21 DR. CALIFF: What do you do with those
22 things when they come in one at a time like

1 that?

2 DR. TEMPLE: Well, we mostly ignore
3 them when they're study endpoints. But you
4 identified the problem. They go to 260
5 investigators, and then they all send them to
6 their IRBs because they're afraid not to. And
7 then they get buried and put in the garage. And
8 everyone agrees it's stupid. Janet, five years
9 ago, and all of us since. And I don't
10 understand why it persists as much as it does.

11 DR. CALIFF: So you asked the
12 question --

13 DR. TEMPLE: But I still had a
14 question.

15 DR. CALIFF: How do we get the target
16 trials funded. And I think that is a
17 responsibility of the NIH and the practicing
18 community. I will say there are an increasing
19 number of fields where once a drug is on the
20 market being part of a trial which is looking at
21 targets, it becomes a very positive thing for a
22 company to do. So we have a number of examples

1 of that that are occurring in blood pressure,
2 for example, where we now know the combination
3 treatments look pretty good.

4 So being part of a combination that
5 is beneficial when you reach a target is a
6 favorable thing. But fundamentally, I think
7 it's a matter of public health to define for
8 the major diseases what the targets of
9 therapy are. And I hope we can do something
10 about the NIH project.

11 DR. BURMAN: Thank you. Dr. Veltri.

12 DR. VELTRI: We certainly like simple
13 trials, but many times these global trials have
14 other health authorities as well, and the FDA
15 has been very responsive to get rid of some of
16 the nonsense. But as you know, Rob, we do
17 global trials, and many of those health
18 authorities request us to collect many of these
19 things. And therefore, we're caught in a bind
20 in a way, and somehow as these trials get more
21 and more global, and as you said many of these
22 now are going to other areas of the world,

1 appropriately so, it just gets more difficult to
2 do. And I guess one plea would be that somehow
3 health authorities harmonize some of these
4 things because clearly these trials are larger
5 and larger, and many requests are just not able
6 to be done in a timely fashion and certain
7 they're very costly.

8 DR. CALIFF: Yeah, I think we really
9 have to work on this. And it's hard.
10 Culturally I think it's gotten harder the last
11 couple of years because of other tensions that
12 exist internationally. But we need to overcome
13 that.

14 DR. BURMAN: Dr. Day, you had a
15 question.

16 DR. DAY: Just a brief comment about
17 the huge difference in treatment effects for
18 U.S. and non-U.S. trials. If indeed trials are
19 going more and more global, I think something
20 needs to be taken into account here. And one
21 possibility is to look at the existing data and
22 see what the effects are for U.S., U.K., and New

1 Zealand. U.S. and New Zealand are the only
2 places that have direct-to-consumer advertising
3 and drugs. And while we're not here to comment
4 or debate the pros and cons to DTC, I think that
5 Americans are better aware that there are
6 benefits and risks of drugs more than in the
7 U.K. where I visited and looked into this a
8 little bit. And so there actually could be a
9 cognitive component of treatment effects over
10 and beyond what is picked up with comparisons
11 with placebo.

12 DR. CALIFF: I hope that
13 tomorrow -- although it's not the main
14 issue -- when Steve put out his two things that
15 should happen with approval before the outcome
16 studies, three and four for me would be no
17 direct-to-consumer advertising until the outcome
18 study is done, and an appropriate label that
19 informs the public of the amount of uncertainty
20 that exists. So people could then make rational
21 choices about what they want to do. But that's
22 not the main purpose of this meeting.

1 DR. BURMAN: Dr. Rosen.

2 DR. ROSEN: Great talk. I want to ask
3 you about off-target events. And I'm
4 particularly interested in bones since it's my
5 field.

6 So with the rosy story, I mean, we
7 have an increased adverse or increased risk
8 for congestive heart failure, myocardial
9 infarction, and fracture. What happens in an
10 approved drug when you start piling up
11 adverse events such as this that are
12 off-target in respect to utilization? Do you
13 have any comment on how we can improve on
14 that? Because it's all relative to your
15 perspective in terms of what you think is
16 important or what you don't think is
17 important.

18 DR. CALIFF: I'm glad you brought it
19 up because, I mean, I reconnected with the blind
20 man and the elephant to exemplify this issue.
21 And we all know that because health systems are
22 all developing data repositories and the bit

1 initiative from FDA is going to make it very
2 easy to find adverse events now with drugs.
3 They're going to be legion, and balancing these
4 things is really critical.

5 So I think one of the challenges to
6 statisticians -- again, not the primary
7 purposes of today, but I think as you get
8 into large outcome trials that are carefully
9 done, you're going to see significant
10 differences in things that are not the
11 primary endpoint. And we would probably all
12 agree that as human beings what we want to do
13 is to balance all the benefits and all the
14 risks, not just the primary endpoint of the
15 trial that happened to be done.

16 So finding ways of appropriately
17 displaying and stimulating discussion on this
18 would be critical. And here's an area where
19 advertising is deadly because all these
20 things have to be listed in advertisements,
21 but Dr. Day's work has shown clearly that
22 they're not going to be listed in bold print

1 on the upper left hand corner of the thing
2 that people are reading or looking at.

3 So you know, I would predict we're
4 going to find a lot of things good and bad
5 about drugs as we do more of these long-term
6 trials that will beg the question of how do
7 we put into place -- how do we put into
8 context findings that meet nominal
9 significance but weren't in the study plan.

10 DR. BURMAN: Thank you.

11 DR. ROSEN: May I just follow up for
12 just one quick session?

13 DR. BURMAN: Sure.

14 DR. ROSEN: So was the mortality
15 assessment in zoledronic acid part of the
16 primary outcome evaluation? Because we've had
17 to deal with this with vitamin D. As you know,
18 there's a study out there where a secondary
19 outcome was found for vitamin D -- that it
20 reduced cancer risk -- even though it was not
21 part of the original evaluation. And I happen
22 to be chair of the data safety monitoring board

1 for that and trying to deal with that kind of
2 information is difficult. So can you enlighten
3 us about that?

4 DR. CALIFF: Well, I think you all
5 really -- this is something you do need to talk
6 about tomorrow. And there's a famous written-up
7 interaction that involved Fleming and Temple
8 related to a cardiovascular drug that failed to
9 meet its primary endpoint but where mortality
10 was dramatically reduced. And there was a quote
11 "mortality trumps all." And one could argue
12 maybe mortality shouldn't trump terrible stroke,
13 but pretty much everything else. When you
14 really ask people, and we did it in the support
15 study in intensive care units in the U.S. very
16 vividly, almost everybody, at least in the U.S.,
17 would value staying alive over most other
18 imagined outcomes.

19 And Dave DeMets is about to write a
20 piece about monitoring trials where you might
21 see a dissociation between the primary
22 outcome and the mortality signal in a

1 positive direction, which I think would be
2 worth people looking at and focusing on.
3 Because we're beginning to see this more
4 often.

5 DR. BURMAN: One more question for
6 Dr. Califf. Dr. Jenkins, I believe you had one.

7 DR. JENKINS: I wanted to ask you
8 about the issue of feasibility of actually
9 getting these cardiovascular studies done for
10 diabetes drugs after approval. Going back to
11 Dr. Nissen's proposal he had a screening concept
12 that if you excluded a certain level of risk
13 before approval that would be acceptable and you
14 would do the long-term study after approval. So
15 I'm wondering if we had a scenario where in that
16 proposal, we've adjudicated prospectively the
17 events in the Phase 2 and Phase 3 trials and the
18 point estimate is 25, 26 percent increase in
19 cardiovascular events but it excludes the upper
20 bound of 2 that Dr. Nissen proposed, what are
21 the practical implications of the ability to
22 actually get such a trial done in the

1 post-marketing setting? Is there equipoise
2 there to enroll people into that trial? Will
3 investigators enroll patients into a trial where
4 they might be getting the drug that you have an
5 estimate of 25 percent increased risk of
6 cardiovascular events? So what about the
7 practicality of actually getting those studies
8 done under that scenario?

9 DR. CALIFF: Well, I couldn't have
10 imagined a better question to close my part of
11 the session on from what I'm really interested
12 in. And let me just say a couple of things
13 about it.

14 As somewhat of a specialist in
15 doing trials and drugs that have been
16 impugned for one reason or another to try to
17 get to the bottom of it the last talk at the
18 oncology meeting today almost left me in
19 terms. It was a private practitioner who had
20 been running a private consortium doing one
21 of the largest enrollers in NCI clinical
22 trials. And they had just voted as a group

1 to stop enrolling Medicare patients because
2 of cutbacks in Medicare funding. And it sort
3 of went like what's to not like about being a
4 clinical trialist in the U.S.? You lose
5 money, you're hated by your administrators,
6 you're hated by your practice peers because
7 you make practice less efficient, and you get
8 a chance of being turned into the FDA, and
9 written up in your local newspaper for being
10 associated with drug companies like it's the
11 plague.

12 I notice that even here you've got
13 us roped off from everybody else. We're not
14 allowed to touch you, as if we're unclear or
15 something because we've done studies with
16 industry. And yet, this investigator gave an
17 impassioned plea about why he had to fight
18 this and it needs to get done.

19 There's a drug you may have heard
20 of called nesiritide, which is believed by
21 many people to be dangerous. We, indeed, are
22 doing the outcomes trial with nesiritide, and

1 we're way ahead in enrollment, largely based
2 on U.S. enrollment. So despite all the bad
3 things -- and it is an uphill struggle right
4 now against many obstacles -- we still find
5 that astute practitioners, when really
6 educated about the truth about uncertainty,
7 and patients when informed about the truth
8 about uncertainty, are willing to volunteer
9 if they're comfortable that the practice
10 environment is appropriate.

11 So I think these trials can be
12 done. It requires dedication, and it
13 requires absolute honesty with the patient
14 about the uncertainty, and assurance from the
15 investigator that the trial is being
16 monitored and you're going to keep an eye on
17 it. In the nesiritide trial, we have a data
18 monitoring committee that looks at every
19 thousand patients because which is nowhere
20 near where an interim analysis should be
21 done. But just because of that
22 concern -- they've met once. They said go

1 ahead. I know nothing else, of course. So I
2 think it can be done.

3 DR. BURMAN: Thank you very much,
4 Dr. Califf. I thank you for your presentation.
5 At this point, from about now until 5:30, we
6 have on the agenda clarification and questions
7 from the panel to the speakers for specific
8 questions. There's no microphone, I don't
9 think, in the back, so if the speakers are
10 addressed, if you would be kind enough to come
11 up to the microphone up here, that would be
12 great.

13 I'd also like to remind you both
14 Dr. Nathan and Dr. Gerstein won't be here
15 tomorrow, so if you really want to focus any
16 questions to them as well.

17 Paul says Dr. Nathan might have
18 left already. Thank you.

19 So let's proceed and open it up for
20 the panel for any specific questions or
21 points of clarification they'd like from the
22 speakers.

1 MS. KILLION: As a patient
2 representative, I have probably a layman's
3 concern. But I'm seeking clarification and some
4 of it I think I've already gotten from
5 Dr. Califf. And I think maybe Dr. Nissen may be
6 able to enlighten me a little bit.

7 One of the statements that was made
8 by Dr. Nissen is that there are 10 drugs
9 available to lower glucose. So we know how
10 to lower glucose. And as a patient I have to
11 say we don't always know how to lower the
12 glucose levels. Drugs lose their efficacy
13 over time for many diabetics. I know I've
14 been on quite a few of the 10. And some
15 diabetics can't tolerate the side effects or
16 can't take some of the drugs for other
17 reasons. So it's not really accurate to say
18 that there's 10 options available to
19 diabetics. For some there are significantly
20 less.

21 So I guess as a diabetic my concern
22 is increasing the pharmacological arsenal to

1 deal with diabetes. And when I think about
2 adding these kind of trial requirements,
3 either pre-approval and post- marketing, my
4 concern is that -- there are two concerns
5 that I have. One is that this kind of
6 approach, while I can see the benefit of it,
7 I'm afraid that it'll have a chilling effect
8 on development. And I think Dr. Califf
9 addressed this a little bit. If you can
10 simply if to bring the cost down, then you
11 can sell it that way. You can get it done.

12 I think that's admirable and I
13 think it's something we should strive for,
14 but I'm not sure how we accomplish it.
15 Because if it was easy to do, it would be
16 done already. It requires a lot of
17 behavioral and perspective changes that are
18 not easy to enact.

19 And the second thing that I'm
20 concerned about is that these kinds of
21 requirements and focus would delay the rate
22 at which new drugs come on the market. And I

1 know there are some that said, oh, it won't.
2 And I'm just sort of wondering how it
3 doesn't. And I think that Dr. Califf again
4 addressed this a little bit on making it a
5 post-marketing event unless there's a signal
6 prior.

7 So I just would like some comfort
8 from some of the speakers for those two
9 concerns.

10 DR. BURMAN: Thank you, Ms. Killion.
11 Dr. Nissen is on his way up.

12 DR. NISSEN: Well, I fully agree with
13 you that we need more strategies to help
14 patients with diabetes. But, we don't want new
15 strategies that increase the risk of
16 morbid/mortal events. We want strategies that
17 at the very least are going to lower blood sugar
18 without harm. And hopefully we're going to set
19 the bar even a little bit higher and show
20 benefit.

21 Now, that is why -- I mean, I gave
22 a lot of thought to this process -- and

1 that's why I recommended a two-step process.
2 There are certainly people that would say we
3 really ought to have an outcomes trial before
4 we launch these drugs, and I didn't say that.
5 I said we ought to exclude a certain level of
6 risk. If you do the calculations, what you
7 see is it involves a modest increase in the
8 number of patients that are exposed to the
9 drug prior to approval, and it requires that
10 we give the drug to some patients that have
11 higher baseline risk for cardiovascular
12 disease. We actually study the population
13 where the adverse events are more likely to
14 occur.

15 You know, again, only time will
16 tell, but I think by having a two-step
17 process -- by doing that -- what Tom has
18 called a screening trial, which I think is a
19 very reasonable way to look at that, and then
20 getting the big outcomes trial going, I don't
21 think we have a chilling effect. I think
22 what we've done is we've taken a

1 moderate -- an intermediate step towards
2 stronger levels of evidence about benefit.
3 It's very important for diabetics to
4 understand that we understand the benefits of
5 lowering blood sugar, but if we take drugs
6 forward that lower blood sugar but increase
7 the risk of death or heart attack, we haven't
8 done diabetics any favors at all. And
9 unfortunately, we've seen some examples where
10 that's probably happened.

11 DR. BURMAN: Thank you. I wonder if I
12 could ask, Dr. Nissen, if you're up there, just
13 a quick question. I understand the hypothesis
14 of your proposed plan. But do you have an
15 estimate? The one negative aspect theoretically
16 would be if a drug is approved and has a signal
17 for cardiovascular events that doesn't quite
18 reach the threshold in the pre-marketing
19 circumstance but does in the post-marketing
20 where there's an increased number of
21 cardiovascular events -- and it's going to take
22 several years for this to be defined -- do you

1 have any estimates or thoughts on how many
2 people would be at risk for these cardiovascular
3 events once the drug is approved but in
4 retrospect would have been at higher risk had we
5 known more information?

6 DR. NISSEN: Look, I can't -- nobody
7 can make all the risk go away. You know, drugs
8 are risky. You know. With all good intentions
9 we -- and I mean all of us -- have been involved
10 in approving drugs that subsequently we thought
11 were going to turn out to be great drugs, that
12 turned out to be a hazard. We cannot get to
13 zero risk. And so what I'm trying to propose is
14 having some upper level of risk that's
15 acceptable. Now, does that mean we're never
16 going to make a mistake? No. It's going to
17 happen. And it has happened, and it'll happen
18 again.

19 But the way things are now where we
20 say, look, you don't have to study high-risk
21 cardiovascular patients. You don't have to
22 rule out an upper confidence interval. I

1 mean, what I showed you for rosiglitazone is
2 that it was approved with an upper confidence
3 interval of 3.6. And I think that was too
4 loose. And I think we've learned from that.
5 And we can now move on and we can come up
6 with a standard which is somewhat more
7 rigorous.

8 Now frankly, when I did this slide,
9 I frankly thought about using the 122-event
10 limit. The argument being that, again, an
11 87-event package still means that you could
12 have a point estimate of 1.3. You could have
13 30 percent more events and you'd still say
14 that drug was approvable.

15 And John Jenkins' is asking the
16 question can you enroll patients in a big
17 outcome trial when it's approved with that
18 kind of a signal. Well, we've done outcome
19 trials previously with drugs that had a
20 signal of 3.6. So you know, all I'm doing is
21 saying let's tighten it up. Let's reduce the
22 uncertainty. But we're not going to reduce

1 it to zero.

2 DR. BURMAN: Thank you. Other
3 questions?

4 Dr. Konstam.

5 DR. KONSTAM: You know, I wonder,
6 picking up on Rebecca's question, whether we
7 couldn't give our FDA colleagues a little bit of
8 homework to come back to us with tomorrow.
9 Because we're going to be thinking about ways of
10 considering tightening up the pre-approval
11 requirements. And the question I think that I'm
12 hearing is, okay, well, what will that cost in
13 terms of delaying or increasing obstacles to get
14 a drug approved. I mean, I'm sort of wondering
15 based on what we're currently doing in our
16 typical pre-approval programs how much of what
17 we have to do is focused on the nature of the
18 population being enrolled in trials so that we
19 get an increased number of cardiovascular events
20 pre-approval; how much of it is standardizing
21 the way we collect cardiovascular events; and
22 how much of it is really increasing the overall

1 sample sizes compared to what we're presently
2 doing.

3 I mean, are we talking about
4 doubling the size of typical pre-approval
5 programs? I guess I'd like some sense of
6 that. If we can't get that today, tomorrow
7 would be great to have some of that
8 information.

9 DR. JENKINS: Just a comment on that
10 and maybe to help direct the people who need to
11 go find that. Dr. Joffe presented this morning
12 kind of what our current expectations are for
13 the size of the database, but Dr. Nissen has
14 proposed -- his slide there is patient years.
15 So we would need to go back, I think, and look
16 at the recent packages of diabetes drugs and see
17 how many patient years of exposure are there.
18 Because what we presented were number of people
19 exposed for a certain period of time and total
20 number exposed versus patient years.

21 DR. KONSTAM: Well, the number one
22 issue is events. Okay. I mean, I think the

1 point has been made, and it's an important one,
2 about exposure time and differences between
3 different durations of exposure. That's a
4 critical issue. But the thing driving this
5 analysis is just events. And so that's number
6 of patients, number of patients enrolled, but
7 also what kind of patients are we enrolling.

8 DR. JENKINS: Well, Dr. Nissen showed
9 you a couple of examples of the number of events
10 in databases. He also, as you know, is
11 proposing that we study higher risk patients.
12 Increase the number of events probably. But we
13 may be able to pull something together.

14 DR. BURMAN: Thank you. I think,
15 Dr. Proschan, you were next.

16 DR. PROSCHAN: Yeah. Dr. Nissen's
17 suggestion about the screening trial and a
18 definitive trial afterwards -- Tom Fleming made
19 a comment on it, and the comment seemed to imply
20 that there would be some consequences if the
21 definitive trial showed unacceptable mortality
22 risk, for example. And that's not what I hear

1 Dr. Nissen saying. I thought that you said that
2 there wouldn't be any regulatory consequences
3 even if a larger definitive trial did show the
4 excess mortality. Is that right?

5 DR. NISSEN: You know, there are
6 always consequences. I mean, if you do a trial
7 and the trial shows evidence of harm, then that
8 becomes a regulatory decision. And I would
9 fully expect that the Agency would do as they
10 did with the rosiglitazone panel -- bring people
11 together and say here's what we've got. Take it
12 or leave it. You know, and help us think this
13 through. Or they may decide in some cases that
14 the evidence was sufficiently strong. That a
15 drug should be removed off the market, and the
16 Agency certainly has removed drugs from the
17 market.

18 The bottom line is that if the
19 studies are well-designed, we will get an
20 answer. And we'll get it in a timeframe
21 that's as timely as is reasonably possible.
22 And that's what I was seeking by having this

1 two-step process. You know, again the
2 alternative was true draconian, which was to
3 say you've got to have that degree of
4 precision before you ever bring a drug
5 forward, for exactly the reasons that you
6 stated that we do in fact have reasons to
7 want to bring new drugs to market. So that's
8 again the thinking process behind this.

9 The consequences of a trial that
10 shows harm I think the Agency would welcome
11 the opportunity to reevaluate drugs and to
12 have that clarity of data, and would try to
13 act accordingly.

14 DR. BURMAN: Thank you. Dr. Temple.

15 DR. TEMPLE: Mary and her people will
16 have to look at what the implications are for
17 the diabetes group. But it's worth noting there
18 are some classes of drugs where there isn't any
19 short-term benefit to measure. So the only way
20 to get them into the marketplace is to do
21 outcome studies.

22 Essentially every anti-platelet

1 drug is in that category. And companies do
2 manage to do very large trials. Right up
3 front, pre-marketing. Not as long in many
4 cases as these, but they do manage to do
5 them.

6 It's also worth noting that if
7 you're developing a non-steroid
8 anti-inflammatory drug, you companies have
9 managed to get 10,000, 20,000 people into
10 trials because they planned to do it because
11 they thought it was necessary. So it's
12 obviously more difficult than what's being
13 done now. But with a little planning you can
14 make a study that was going to drop off at 12
15 weeks go for a year and collect the people,
16 and then putting sicker people into trials is
17 common on cardiovascular medicine because the
18 effects are more easily demonstrated in them.

19 So these things are not alien to
20 the industry, I think.

21 DR. BURMAN: Thank you. Other
22 questions? Any speakers? I wonder if I could

1 just ask Dr. Ratner a question. This is sort of
2 a general question. I don't know that there is
3 an answer, but you presented some slides talking
4 about the benefit of lowering blood sugar and
5 decreasing microvascular complications. And I
6 think everyone agrees with that for sure.

7 But in terms of either cost or
8 biologic effectiveness, how do you factor in
9 the cumulative effect if a drug lowers blood
10 sugar, lowers HbA1c, decreases the events for
11 microvascular disease as we saw in the DCCT
12 but has let's say a 10 to 20 percent increase
13 in non-fatal MIs? How do you put all those
14 together? I realize it's just a difficult
15 question with just thoughts.

16 DR. RATNER: Well, Dr. Burman, I was
17 with you until you got to the increase in MIs,
18 and then it became a whole lot more difficult.

19 There are numerous
20 cost-effectiveness studies that have looked
21 at the value of intensive glycemc control
22 and intensive overall control in patients

1 with diabetes. There is a paper that is
2 currently online to be published in Diabetes
3 Care looking at the cost-effectiveness of the
4 Steno-2 trial where you target glucose, blood
5 pressure, lipids, smoking, and anti-platelet
6 therapy, showing clear-cut cost-effectiveness
7 changes to the benefit of the interventions.

8 So there is a lot of data there
9 showing benefit. There have been direct
10 clinical trials as well as computer modeling
11 doing all of that. The question of how do
12 you balance microvascular benefit with
13 macrovascular deterioration is a whole lot
14 harder. And I think my answer to Dr. Konstam
15 earlier I still think pertains. First of
16 all, do no harm. And we do have alternative
17 ways of treating glycemia in type 1 and
18 type 2 diabetes that if one therapy does have
19 this side effect, we would go to another
20 therapy. And I think the best example of
21 that is to simply look to see what has
22 happened in the marketplace in the last year

1 to the use of TZDs.

2 So that there is a marketplace
3 decision that says we're not going to use a
4 drug to lower glucose if, in fact, there is
5 evidence of risk. So that becomes very, very
6 important.

7 I think what we end up doing is
8 really going back to Ms. Killion's point,
9 trying to find those drugs that have the best
10 benefit-to-risk ratio in each individual
11 patient. And we discover that as we go
12 along, because type 2 diabetes, in
13 particular, is an evolving disease. What
14 works today won't work in three years. And
15 so we end up moving through a sequence of
16 events to try and find out what the best
17 combination is. So I would actually go back
18 to this distinction between what is the
19 regulatory requirement versus what is the
20 therapeutic decision tree.

21 And a regulatory decision, in my
22 mind, clearly is looking at populations and

1 looking at risk versus benefit. The
2 therapeutic decision tree is based solely on
3 that individual -- what works for them. Now,
4 that also takes into account the clinical
5 trials that have been done in terms of the
6 initial decision-making. But I think that we
7 end up deciding on our therapeutic regimen
8 based on the natural history of the
9 disease -- what we observe to be the primary
10 defects in the patient sitting across the
11 desk from us that day -- and then seeing how
12 it works and modifying off of that.

13 I don't know if that completely
14 answered your question.

15 DR. BURMAN: I appreciate your
16 thoughts tremendously. Other questions for the
17 speakers? Dr. Parks.

18 DR. PARKS: Yes, my question is to
19 Dr. Nissen. I'm looking at this slide here and
20 I'm just wondering if you can help me. How is
21 it that FDA could justify -- if these are
22 screening studies -- so this is your Stage 1 or

1 Step 1 studies -- how we could justify approving
2 a drug that had a point estimate of as low as
3 1.26. I think 1.17 we might be able to explain,
4 but 1.26 and above, how could we justify
5 approving that? Especially given that we have
6 already 10 classes of drugs out there to treat
7 type 2 diabetes.

8 DR. NISSEN: Well, what you're arguing
9 for is for moving down the table. And where in
10 this table you want to set the threshold is, in
11 fact, what the job I think of this committee and
12 of the Agency is to decide. What I think we
13 have to think about is if you have a point
14 estimate of 1.31 -- well, here's an example of a
15 drug. Okay, the second example where you had 48
16 events in the active arm and 39 in the control
17 arm. The relative risk is 1.23, which does not
18 exclude a benefit of around 20 or 25 percent,
19 and does not exclude a risk of 2.0. So that's a
20 drug that I believe equipoise would exist for an
21 ongoing clinical trial.

22 Now, the reason that I made this

1 more moderate suggestion, unlike what's
2 required for let's say anti-platelet drugs,
3 is that we do know there are some benefits of
4 lowering blood sugar, and we do know that we
5 do struggle with multi-drug regimens to try
6 to get blood sugar down. And so I was
7 looking here to draw the line and I have no
8 lease on wisdom here.

9 I mean, you might want to look at
10 this and say, hey, we want 256 events in the
11 development program. And frankly I don't
12 think that's a wrong decision. This
13 committee may make that recommendation. If
14 you do that you've ruled out an upper
15 confidence interval of 1.5. And that means
16 the point estimate is going to have to be
17 less than 1.17. And that gives greater
18 clarity.

19 And so the question that we're
20 asked here is how much clarity do we require
21 before we will allow the imputed benefit of
22 lowering blood sugar to dominate our

1 thinking. This is a really tough call.

2 It's a really tough question. When
3 I actually did this, I was going to propose
4 to this committee -- I vacillated between 87
5 and 122 events for that reason. But I also
6 recognized that if you take that bottom-line
7 -- if you need 12,800 patient years of
8 exposure to approve, well, that's going to
9 set a pretty high bar. And maybe the bar
10 should be set that high.

11 You could make the argument we have
12 so many drugs there now to treat blood sugar
13 that that's an appropriate bar for a new
14 drug. I felt -- I'm convinced -- and I do
15 take care of these patients -- that we could
16 use some additional ways to lower blood
17 sugar. And that might be a bar that would be
18 sufficiently high that it might discourage
19 innovation. And so I didn't propose that.
20 But it's certainly not an unreasonable way to
21 go.

22 DR. JENKINS: Can I follow up on that?

1 Because I'm struggling with the 1.3 estimate as
2 well or as our comfort level in approving a new
3 diabetes drug that has that risk estimate,
4 particularly in the scenario where you describe
5 where these will be pre-adjudicated. You'll
6 have a protocol in place to adjudicate. So this
7 isn't the old days where you had events that you
8 weren't really sure about. These would be
9 events that had been adjudicated. And 1.3 isn't
10 very far from the 1.4 that was the rosiglitazone
11 meta-analysis that led a lot of people to
12 recommend withdrawal of the drug.

13 So I'm just interested in the 1.3.
14 That seems like a lot of potential risk of a
15 bad outcome.

16 DR. CALIFF: I feel like I ought to
17 add a comment on two of these things but they're
18 related.

19 I mean, if you look through FDA
20 approvals over the last decade, you would
21 find many cases where risk like this has
22 actually been put in front of the panel.

1 Because the confidence intervals are from
2 here to here, no one pays attention to it.

3 There's another -- this reminds me
4 of a lot of things. When you objectify
5 something and call attention to it, all of a
6 sudden it looks different. But there's
7 another nuance here. Within a composite
8 cardiovascular endpoint you're going to see
9 variance in the components of the endpoint.
10 And there's a very high risk that if death is
11 a minority of the endpoint that it could be a
12 radically threefold increase where the
13 overall estimate is 1.3 just because it's the
14 least frequent event.

15 And this obviously has to be
16 balanced. So with regards to the question
17 about balancing microvascular and
18 macrovascular, I just -- I think it's really
19 important to point out there's a good
20 literature on patients being able to make
21 these judgments. But the key, which I think
22 is a very American value, is the informed

1 consumer can make a judgment. But obviously
2 in the absence of information, can't make a
3 judgment.

4 So I would disagree a little bit
5 with Professor Ratner in this regard. I
6 don't know how you make an individual
7 judgment if you have no data.

8 So if you have microvascular data
9 but you have no data about macrovascular, I
10 don't know what you're trading off. So the
11 key to me is to provide the consumer with the
12 information. I would actually disagree with
13 Dr. Nissen. Some rational people may say
14 I'll go ahead and take a 10 percent higher
15 risk of death if it's going to reduce my risk
16 of renal failure by 20 percent.

17 But right now we're not providing
18 them with that information because it doesn't
19 exist.

20 DR. NISSEN: And I would also agree.
21 I mean, I think that historically drugs have
22 been approved in diabetes that would be far

1 outside -- in fact, drugs have been approved
2 that would not have met the standard for the top
3 line. In fact, as I showed you, many of the
4 previous development programs did not even have
5 50 events available for analysis.

6 So you know, how this is not this
7 is something that's been done all along. And
8 I also think that if you have this degree of
9 clarity, then physicians and patients can
10 make decisions. I think that there will be
11 some people that would look at a drug
12 approved that had a point estimate of a
13 hazard ratio substantially above one and say
14 I'm going to wait until the outcomes trial is
15 done before I use the drug, except as a
16 fourth- or fifth-line drug.

17 And that is absolutely a rational
18 behavior. I tend to do that now. You know,
19 when a new drug is approve, and I have in my
20 own mind a wide confidence interval around
21 risk versus benefit, I tend not to use that
22 drug except when other drugs have failed. So

1 to speak to our patient's point here is maybe
2 when you approve a drug that has a signal
3 like that you say given this signal, this
4 drug should only be used when other drugs
5 have failed. You approve it as a fourth-line
6 drug. And we as physicians look at that and
7 say this is a fourth-line drug until this
8 signal is either confirmed or refused by the
9 more definitive trial.

10 So I think we can deal with
11 uncertainty, as long as we know what the
12 bounds of that uncertainty actually are.

13 DR. BURMAN: Thank you. I think
14 Dr. Proschan, did you have a question?

15 DR. PROSCHAN: No.

16 DR. BURMAN: Let me see if any --

17 DR. KONSTAM: You know, I just was
18 thinking that the cardiologists in the room --
19 and I'm one of them -- we're slipping into
20 really accepting a dividing line between
21 microvascular and macrovascular effects as if
22 that dividing line means something to the

1 patients. And, in fact I defy any of us to
2 measure up the clinical impact of going blind to
3 that of a MI, for example. And so looking at
4 this -- and I think that Steve's proposal here
5 has slipped us into that -- and I think when we
6 discuss this tomorrow, we really have to look at
7 that very critically. And one might consider
8 expanding -- you know, so Rob makes a great
9 point. You know, the patient needs to decide.
10 But the dividing line between macrovascular and
11 microvascular clinically is completely
12 arbitrary. I mean, there may be some
13 mechanistic basis for it, but there's no
14 clinical basis for it.

15 So you know, one of the things to
16 consider tomorrow is how to consolidate that
17 information into the so-called safety signal.
18 So in fact, if there are fewer retinal
19 hemorrhages occurring, I mean, I think we
20 have to face the question of what does it
21 mean that there are a few more MIs? And I
22 think we have to think about it that way.

1 DR. BURMAN: Thank you. I think
2 Dr. Genuth was first and --

3 DR. GENUTH: I'm sort of having
4 trouble coping with this discussion. On ethical
5 grounds, theoretically we should want a point
6 estimate of 1.000 with the narrowest confidence
7 intervals possible. I don't understand exactly
8 why we expect that we have to have a beneficial
9 drug with an increased risk. Why we can't
10 direct the pharmaceutical companies to focus
11 their research and development efforts on drugs
12 that are both effective and safe, rather than
13 sort of thinking so much about, well, how much
14 danger are we willing to expose patients to in
15 order to get some benefit.

16 And the other part of my difficulty
17 coping with this is I haven't heard enough
18 discussion about what other quality the drug
19 should have -- beneficial quality -- if we're
20 going to think about some point estimate for
21 danger that we can accept. So I'm thinking
22 that I would require that a new drug have

1 more benefit in blood glucose lowering than
2 the best comparative drug that we
3 have -- best in terms of efficacy and
4 safety -- before I would even consider
5 designing a trial to find out how much real
6 danger it has.

7 So I would like to hear the
8 presenters focus a little bit more on what
9 drug they would compare a new drug to when
10 they're trying to decide if it's too risk to
11 use even though it has some benefit.

12 DR. BURMAN: Thank you. In the spirit
13 of focusing on questions to the speakers, do any
14 of the speakers want to address Dr. Genuth's
15 salient questions?

16 DR. HOLMAN: So welcome to our world.
17 I'm not surprised you're wrestling with these
18 problems. It's what we diabetologists do all
19 the time.

20 The issue of combining -- and
21 that's a fact -- I mean, there are different
22 ways of doing it and quality adjusted life

1 years will pull together in a patient
2 reference frame what they feel the impact of
3 really quite disassociated events
4 are -- whether it's going blind, having a MI,
5 having a stroke. So there is a metric by
6 which you can do that.

7 This discussion today has had two
8 almost opposite polar concentrations. One
9 has been the glucocentric story and the other
10 has been the cardiocentric story. It's true
11 that cardiac death is probably the commonest
12 exit for our patients, therefore, any adverse
13 signal tends to be seen there first. But in
14 the holistic view of what's going on with our
15 patients, the problem is that agents that
16 treat glucose often have multiple effects,
17 both good and bad. We're talking about
18 weight gain, hypoglycemia, nausea. You name
19 it. There's a whole constellation. Sadly,
20 we don't have a statin for glucose or a drug
21 which lowers LDL reliably with very few side
22 effects, and basically seems to work over

1 many years.

2 We have a problem, as we've heard,
3 of an evolving disease in which increasing
4 therapy is needed. We're balancing the side
5 effects and the reducing effectiveness over
6 time. And we just have not had sufficient
7 long, head-to-head trials that have allowed
8 us to get the information basis that Rob
9 alluded to just now. If we are going to go
10 forward with new drugs and put them into
11 outcome studies, I support totally what's
12 being suggested. But we do need to collect
13 this additional information, of which the
14 cardiac safety signal is one.

15 Now, if there isn't a cardiac
16 safety signal up front, we still need the
17 outcome study because there may be other
18 off-target effects. There may be off-target
19 benefits. And what's really missing is the
20 ability in clinical practice to evaluate the
21 effectiveness of the drug in the wider
22 population. Too frequently the drugs that

1 come to market now have been put through
2 niche studies that have been designed to get
3 the maximum A1c lowering, to avoid the
4 high-risk patients, and to provide the
5 information that current regulator
6 requirements are asking for.

7 If we're going to treat people in
8 the round in the future, then we need much
9 wider base studies. You've heard the
10 designs. We agree totally between us.
11 Pragmatic, large scale, simple studies will
12 collect the real information that we need
13 with directed focus on the outcomes that we
14 might expect to see being counted in a
15 proactive manner as we move forward.

16 This approach to do no harm up
17 front -- to have a gated entry into what you
18 might want to put into limited circulation
19 until an outcome study is available -- I
20 think is reasonable. But it's an incremental
21 process. We need to be looking as the data
22 come in, just like a DSM in a trial and the

1 net effect. And put together, as Saul was
2 just saying, the issue of the various
3 complications that occur balanced with the
4 cost, both in terms of side effects and, of
5 course, eventually with cost of providing the
6 drug.

7 In the U.K., we have NICE, the
8 National Institute for Clinical
9 Effectiveness. This is what they spend their
10 time doing. Once a drug is approved, they
11 then go into a mode looking at the net
12 effectiveness of it. And there are drugs
13 like metformin which actually, if you believe
14 the UKPDS data, reduce events and actually,
15 because of the cost of the drug, are actually
16 cost saving in the end. And that's one of
17 the few examples of a drug that does it.

18 So there are different ways
19 depending on your viewpoint as to how you
20 might want to assess these drugs. In the
21 end, the consumer, the patient, must have a
22 voice, but they cannot have that if we do not

1 provide the long-term data so that they can
2 truly judge the long-term benefits and the
3 long-time harm that occurs.

4 DR. BURMAN: Thank you. Excuse me one
5 second. Ms. Killion, did you have a question
6 from before? And then we'll get to Dr. Temple.

7 MS. KILLION: I think I had more of a
8 comment. I think that patients -- diabetes
9 patients and the physicians that treat them are
10 dealing with a daily 24/7 evolving, changing,
11 variable situation. So information is
12 excellent. Information is critical in order to
13 move forward. But, I guess I'd make two points.
14 One is that you deal with short-term immediate
15 problems, and you deal with long-range trends.
16 And you can't -- and those are very hard to
17 meld.

18 And they're also very hard to
19 maintain focus on because if you want to keep
20 your blood sugar low but not too low, you're
21 dealing with that on a management basis every
22 day, hour by hour. If you're worried about

1 the effects of cardiovascular risk, then it's
2 probably stretched out more over time.
3 That's a little bit harder to focus on when
4 you're dealing with the immediacy of your
5 every day struggle.

6 So that's something that the
7 patient and the physician work in concert
8 with during the course of their entire
9 treatment. And I think that good information
10 is critical to make the decisions that allow
11 the patient and the physician together to
12 make the decisions about their individual
13 treatments. In a very diverse population
14 group some may not live long enough to face
15 the cardiovascular risk at the out term.

16 So I think it's a struggle to make
17 sure that in thinking about regulatory
18 changes, that you don't let the perfect be
19 the enemy of the good. And it's not an easy
20 process for anybody involved -- the
21 clinicians, the physicians, the patient, the
22 pharmaceutical companies -- and working

1 together to make all that -- well, that would
2 be utopia if we could approach it. But it's
3 a struggle. We appreciate it.

4 DR. BURMAN: Dr. Temple.

5 DR. TEMPLE: With respect to the slide
6 up there, I thought I understood it, but some of
7 the conversation here has made me uncertain. So
8 I'd like to ask either Tom or Steve.

9 Those are what I understand to be
10 the worst case that could still go forward.
11 For example, if you wanted to talk
12 about -- say you way to exclude two. One way
13 to exclude two is to have events evenly
14 distributed into two groups, so the hazard
15 ratio would be one. The upper bound would be
16 less than two. That wouldn't take 2,500
17 people. That might take 1,000. It would
18 take many fewer.

19 What this is is the worst it could
20 be and still make it under the threshold. So
21 it's being discussed as if that would be the
22 typical result. I don't think that's what

1 you meant at all. This is the worst it could
2 be. And as some people have said, maybe
3 that's bad enough. We don't really want it.
4 But most of the time it would be a much
5 smaller database because you don't expect
6 these drugs to be harmful. And it's only
7 when they are a little bit potentially
8 harmful or uncertain that you'd get up into
9 these kinds of numbers. And then as people
10 have been saying, there would be a
11 consideration of whether you really want to
12 risk it, or you might ask for another 2,000
13 people or so.

14 DR. NISSEN: You said that very well.
15 What you're really hoping for, Bob, is the first
16 line. You know, where you've got a balanced
17 number.

18 You know, it's .98 and you know
19 what the confidence interval is around that.
20 And you know, there's clearly equipoise to go
21 forward. And as you move to the right you
22 get into areas that we all have our own

1 comfort level with. I'm not sure what the
2 right answer is.

3 You also really would hope that
4 it's example number three where the point
5 estimate is well under one and the upper
6 confidence level is barely above one. You'd
7 have no trouble at all with that drug. Where
8 you clearly get into trouble is in the second
9 and the fourth example. And that just
10 becomes a question of where you set the
11 level. And I think that some of this can be
12 addressed in whether a drug, when it's first
13 launched, is one that one wants to put some
14 pretty significant language into that says if
15 it's the second example there where the point
16 estimate is going in the wrong direction,
17 there's some uncertainty here.

18 I would argue, however, that from
19 an equipoise point of view, in the second
20 example, there's still plenty of reason to do
21 a trial because you have an excluded benefit.
22 And I also want to make a point that several

1 others have made that's very important. You
2 could have an example like the second example
3 there where most of the hazard was
4 in -- these are going to be relatively
5 short-term studies in the screening phase.
6 What if the drug has a late benefit? You're
7 never going to get there if you set the bar
8 so high for that first screening study.

9 And so I'm prepared to accept the
10 fact that there may be drugs out there that
11 have an early hazard that after three, four,
12 five years turn into a benefit. And if
13 you're ever going to find that out, you have
14 to take some amount of uncertainty at the
15 time of drug launch and resolve that
16 uncertainty.

17 What I am unwilling to accept is
18 that you have a signal, like the one in the
19 second example, and you never answer the
20 question. And that's what happened,
21 obviously, with rosiglitazone. And it's
22 happened in some other circumstances. And

1 that's a mistake because we don't get the
2 answer that we ultimately need.

3 DR. BURMAN: Thank you, Dr. Goldfine.

4 DR. GOLDFINE: Thank you. He actually
5 just in part answered my question. But I think
6 I'm going to phrase it slightly differently
7 because I think -- don't sit down, please.
8 Sorry.

9 So many have actually considered
10 diabetes to be a cardiovascular risk
11 equivalent. And if you take somebody with
12 diabetes who has already had a MI, many of
13 which are actually included in our
14 pre-FDA-approved studies -- these are
15 actually a particularly high-risk and
16 vulnerable group. So if you actually now are
17 exposing our highest risk individuals to the
18 newest agents before you're actually having
19 FDA approval -- and I'm sort of pointing on
20 your three step pre-approval development
21 program where you just commented on useful,
22 at least one study in patients with CV risk,

1 perhaps 1,000 patients for one to two
2 years -- you actually run the risk of
3 exposing these people and missing those where
4 the benefit may be over extended time. And I
5 wanted you to comment on that possibility as
6 well.

7 DR. NISSEN: Well, again, unless
8 you're willing to say we need a five year
9 outcome trial before approval, we're not going
10 to know about that.

11 We're just not going to know about
12 the long-term effects of the drug.

13 One of the questions that was
14 implicit in what you're asking is is it
15 appropriate -- is there equipoise -- for
16 taking a group of people that are at the
17 highest risk and exposing them to a drug that
18 we know the least about? Let me point out
19 something to you. If we approve drugs
20 ACCORDing to the current standard, that's
21 exactly what's going to happen.

22 I'm going to tell you what my

1 experience has been at the Cleveland Clinic.
2 When I send the patients out of the CCU, they
3 go upstairs.

4 You know, in the post-rosiglitazone
5 controversy era, the first drug they get put
6 on upstairs by the endocrinologist is a
7 DPP-IV inhibitor for which we have the least
8 amount of long-term data. And so look, high
9 cardiovascular risk patients in the diabetic
10 population are everywhere. And they're going
11 to get exposed to these drugs. Wouldn't you
12 rather know what the risks look like before
13 the drug gets on the market rather than
14 after?

15 And so that's why I'm proposing
16 that you have to go into a population that's
17 vulnerable. If you don't do that, you have
18 the possibility that you're going to turn a
19 drug loose into hundreds of thousands of
20 people for which the hazard has not been
21 adequately defined. And so I'm trying to say
22 we've got to define it earlier on -- or at

1 least define what the limits are around
2 it -- relatively early on.

3 DR. BURMAN: Thank you. We just have
4 a couple more minutes. Any further questions?

5 DR. KONSTAM: Steve, while you're
6 still up there. So could you defend MACE as the
7 appropriate safety endpoint -- primary safety
8 endpoint, let's call it here. So you know, it
9 certainly makes sense -- well, I think it makes
10 sense as a composite efficacy endpoint for
11 cardiovascular interventions.

12 You know, maybe it makes sense
13 mechanistically because we've heard a lot
14 about the distinction between microvascular
15 and macrovascular effects. But, we have the
16 ACCORD study that had a diversion a
17 difference of direction between the composite
18 endpoint, and mortality, and cardiovascular
19 mortality. So it didn't really work there.

20 And then, so if it doesn't really
21 work there, then I'm sort of back to
22 wondering, well why isn't retinal hemorrhage

1 a safety endpoint? And so if you had a drug
2 that clearly shows benefits in the
3 microvascular effects, maybe it exceeds a
4 model based on glycemic control. Why isn't
5 that the safety endpoint?

6 DR. NISSEN: Well, if you get these
7 kinds of sample sizes, you're going to get a lot
8 more information than we have now about these
9 other adverse and favorable effects.

10 I can argue either way. If you
11 wanted to debate me on MACE, I could take
12 either side of the question and argue it.
13 That's why I gave you a table that had
14 exposures for both. I think it's going to be
15 one of the challenges of this committee to
16 decide whether that left column, which is
17 based upon rates of death, MI, and stroke, is
18 the more appropriate endpoint, or whether
19 you're comfortable enough with adding
20 hospitalization for acute coronary syndromes,
21 which enriches the event rate and makes the
22 bar a little bit lower. You know, I tend to

1 prefer those events of death, MI, and stroke,
2 because they're the clearest, the most easily
3 adjudicated, the most objective. But there
4 are choices.

5 DR. KONSTAM: I guess I'm asking a
6 different -- I think I'm asking a slightly
7 different question. I'm wondering why isn't
8 retinal hemorrhage in there?

9 DR. NISSEN: As a composite?

10 DR. KONSTAM: Yes.

11 DR. NISSEN: Because I'm not going to
12 mix macrovascular and microvascular endpoints.
13 We already know that there's a very robust
14 effect on microvascular endpoints.

15 DR. KONSTAM: Okay, well -- and maybe
16 we'll talk about this tomorrow.

17 DR. NISSEN: It dilutes the signal.

18 DR. KONSTAM: But, again, when we look
19 at the data from ACCORD, it sort of flies in the
20 face of saying there's a single mechanistic
21 explanation for macrovascular events and they're
22 all going to go in the same direction.

1 Your points are well-taken. I'm
2 just wondering where to wind up on this
3 because we have a clinical spectrum. And I'm
4 just a little bit fuzzy about why this is the
5 key safety endpoint for this population.

6 DR. NISSEN: Well, again, I would not
7 throw together the microvascular and the
8 macrovascular endpoints because I think -- one
9 thing I was convinced of by the talks this
10 morning is we know a pretty good amount about
11 the relationship between blood sugar lowering
12 and microvascular outcomes. In fact, one of the
13 reasons why I am proposing not requiring a very
14 large outcomes trial prior to approval is
15 because there are microvascular benefits. And
16 so, now what you want to do is in a context
17 where microvascular benefits are assumed, you
18 want to rule out that those are counterbalanced
19 by macrovascular harm. And that's why I focused
20 this on the macrovascular events.

21 Now, whether it should be death,
22 MI, and stroke, or death, MI, and stroke plus

1 some softer macrovascular endpoints, this
2 committee can opine. And Bob Temple, you've
3 been looking at this for a lot of years. I
4 don't know what your feeling is about it, but
5 you know, I would keep in mind that some
6 pretty good trials used MACE, including Prove
7 It, which changed our practice in the use of
8 statins in the post-MI world. So it's not a
9 crazy idea, and it does conserve sample size
10 to some extent.

11 DR. BURMAN: Thank you. I think the
12 hour is approaching. Are there any last
13 questions for the speakers? One last question,
14 please.

15 DR. DAL PAN: I have one question for
16 Dr. Nissen. If you go to the slide with the 4
17 scenarios of 87 events, it seems like your
18 proposal for the bottom scenario, where you have
19 the point estimate of 1.56, is you do further
20 pre-approval testing --

21 DR. NISSEN: Absolutely.

22 DR. DAL PAN: For scenario 2, the

1 estimate of 1.23 you do post-approval testing.

2 How about scenarios 1 and 3? Would you go to
3 the second step in each of those?

4 DR. NISSEN: Yes. Yes.

5 DR. DAL PAN: Okay.

6 DR. NISSEN: You know, but I think
7 that I would be pretty comfortable with the
8 Agency approving those drugs to lower blood
9 sugar -- that is scenario 1 and
10 scenario 3 -- under the provision that the large
11 outcomes trial is underway. Why? Because we
12 clearly need more information.

13 And particularly in scenario 1, the
14 upper confidence interval is still in the
15 direction where we could see some pretty
16 significant hazards. And because these are
17 going to be based largely on short-term
18 trials. And we need to know what happens
19 over, say, a four- or five-year period of
20 time.

21 So regardless of whether you think
22 it's likely to be risky or not, there may be

1 a dissociation between short-term outcomes
2 and long-term outcomes. And I want to give
3 every drug a chance to prove benefit or harm
4 in that setting -- in the long-term
5 setting -- the setting where it's used.

6 Because, frankly, my patients with diabetes
7 are getting treated for years, and years, and
8 years.

9 And I hope if we get better at this
10 they might be treated for 15, 20 years. I've
11 got patients who had diabetes for a long
12 time. Thank goodness. Because we're able to
13 keep them alive.

14 DR. BURMAN: Thank you. Any last
15 burning questions? Dr. Parks, do you have any
16 announcements or anything? No? Okay. Then I
17 think we will -- we want to thank the speakers
18 for their excellent discussions and for staying
19 all day. It was really a wonderful session.
20 Thank the panel members. And we'll adjourn now
21 and re-assemble tomorrow morning at 8:00.

22 Thank you.

1 (Whereupon, at approximately 5:28
2 p.m., the MEETING was continued.)

3 * * * * *

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22