

Capital Reporting Company

Page 101

1 PETER KOWEY: Can I have SA-7.

2 BARTH RELER: Please introduce yourself also,
3 for the record.

4 PETER KOWEY: Sure. I'm sorry. I'm Peter
5 Kowey, I'm a cardiologist from Philadelphia. Could I
6 have that up, SA-7 please. No, that's not the one I
7 wanted. It was from the QT package.

8 While we're looking for that, in regard to
9 your question, I don't think that we can make any
10 meaningful interpretation of a difference between those
11 two numbers that you just saw from day one to day four.
12 There's a lot of variability in the measurement.

13 The slide that I was going to show you, and if
14 we can find it I'll put it up, is the numbers of
15 patients who actually exceeded a 500 millisecond value
16 for QTcF. It is extraordinarily rare for patients to
17 experience torsade. And obviously -- yeah, that's the
18 slide, you can put that one up. It's obviously -- the
19 thing that we're all sort of dancing around here is
20 what is the liability if this drug to cause torsade.
21 And as you've heard from the presentations, I think
22 it's pretty clear that this is probably a risk that is

1 fairly close to what the risk might be for
2 moxifloxacin, an already marketed antibiotic.

3 The most compelling piece of data, I think,
4 from this entire data package, and it's a very, very
5 well refined data package I must say, is that there are
6 vanishingly few patients in the categories that we
7 really care about, QTcF, for any of these categories
8 with iclaprim who exceeded a critical value of 500
9 milliseconds. And not exceeding 500 milliseconds, as
10 far as I'm concerned, means that this drug -- it's
11 virtually impossible to develop torsade with a QTcF
12 less than 500 milliseconds. And this is, I think, the
13 most reassuring thing that we have.

14 In addition you saw all the data on the Delta
15 60 millisecond changes. They weren't really any
16 different between the comparator and iclaprim. So I
17 think the composite of data here, and it's a, as I
18 said, a very refined data set, would lead me to the
19 conclusion that is torsade possible? It's certainly
20 possible. Is it likely in patients that are treated
21 with the recommended doses, I think that probability is
22 pretty small.

Capital Reporting Company

Page 103

1 KHALID ISLAM: Thank you.

2 BARTH RELLER: Dr. Fleming has a question for
3 the speaker.

4 THOMAS FLEMMING: Torsade, as you point out
5 correctly, is not common. Obviously this is an area of
6 great challenge, because we have a bio-marker but as is
7 the case with so many bio-markers it's certainly giving
8 us an awful lot of uncertainties. But to look at the
9 data and reflect on the fact that we're not seeing
10 torsade, and I don't know that this is what you're
11 saying, that this is greatly reassuring, just remember
12 the rule of three. The rule of three would say in a
13 trial with 300 people all you can do is rule out that
14 the rate is more than one percent.

15 We'd be in deep trouble if the rate were one
16 percent. So these trials are obviously seriously
17 underpowered to be able to really look at the actual
18 occurrence of the clinical consequences that an
19 elevated QTc would make us worry about.

20 PETER KOWEY: Tom, I couldn't agree with you
21 more, and that's the reason why we deal with the
22 surrogate, is because real clinical events are so very,

1 very rare in clinical development. You can count on
2 the fingers of one hand how many times I'm sure you've
3 seen that actually occur in a data set of this size. I
4 mean it's very, very rare.

5 So we use the QT, and I think that's fine.
6 But it has to be put in some kind of a context,
7 especially for a drug that clearly has a QT effect.
8 There's no doubt here that this drug prolongs QT
9 interval. And it does it in a dose dependent way, it
10 does it in a concentration related way. It's perfectly
11 understandable, and I think the interpretation of the
12 data are very clear. The question is, at the end of
13 the day, in this particular data set of these patients
14 being treated for this term of therapy in this
15 particular fashion, with co-morbidities and other QT
16 prolonging drugs, and they did receive other QT
17 prolonging drugs, there were no cases of torsade. And
18 in addition, as I pointed out, there were no extreme
19 outliers of greater than 500 milliseconds, a smattering
20 of them but very, very rare.

21 So I agree with you, Tom. I think you have to
22 take it all in context.

1 BARTH RELLER: Next was Dr. Follmann.

2 DEAN FOLLMANN: Just to elaborate a little bit
3 more on the QT discussion. So the dosing for this drug
4 is from seven to ten days in the trial and yet the QT
5 evidence that you have is either based on a single dose
6 or within the trial you looked at day one and day four.
7 So I was wondering if there was any QT data that was
8 after 10, 14 or more days that would reflect what was
9 seen in clinical practice?

10 KHALID ISLAM: Yes, coming back a minute. We
11 were talking about the QT effect and also we discussed
12 something about higher doses of iclaprim being used and
13 the number of -- or the duration of therapy. So so far
14 we experience with both .8 milligram per kilogram as
15 well as 1.6 milligram per kilogram doses. In our Phase
16 2 trial we used both of those sums. We didn't see any
17 QT issues that arose in that context. And the duration
18 period in the Phase 2 study was ten days and in the
19 Phase 3 studies they've gone on to 14 days.

20 We also showed that actually when we did Phase
21 1 pharmacokinetic studies we went up to four times the
22 therapeutic dose, it's the current therapeutic dose.

Capital Reporting Company

Page 106

1 And within those doses we know that there is no
2 accumulation of the drug with time. So we do not
3 expect an accumulation of the QT effect.

4 And coming back to what you were just asking,
5 we do not have experience which exceeds 14 days. When
6 we discussed with the FDA, both us and the FDA felt
7 that it was better to collect the data on day one and
8 day four as you might risk losing data as you went
9 further out in time, because maybe patients drop out
10 from therapy, maybe they discontinue, maybe they don't
11 turn back -- come back for test of cure visit.

12 So in agreement with the FDA we felt this
13 would give some idea, some feel around the QT change,
14 which we see on day one and day four, because this was
15 a better way to collect the data. And I don't know if
16 you want to --

17 DEAN FOLLMANN: But you don't have the data at
18 day 14?

19 KHALID ISLAM: No, we do not because we've not
20 collected that data. We know that there is no
21 accumulation of the drug after marked for
22 administration. And therefore we believe that day one

1 and day four actually pretty adequately represent the
2 type of changes that you might see.

3 PETER KOWEY: Just so that -- these studies
4 are extraordinarily difficult. And what people try to
5 do with these things is intensify the measurements at
6 time points when they believe that the drug has its
7 maximum liability and that's C-MAX. Whether it's true
8 in every case or not, we've all chosen to believe that
9 it's all about C-MAX. And so the comfort here is that
10 there is intensive sampling around the time when you
11 would have achieved your maximum concentrations.

12 Doing more sparse sampling at later time
13 points, as Khalid points out, can lead actually to
14 misleading information rather than really getting the
15 truth. So I don't have any problem with the way this
16 was done. That is sampling intensively at times when
17 you expect the patients to have their maximum QT
18 effects.

19 LEWIS NELSON: There's only one -- it's Lewis
20 Nelson. But the only time that C-MAX would be the
21 right time to measure QT is when it's the parent
22 compound that causes the problem. And I guess my

1 question really goes back to the fact that metabolites
2 don't necessarily have the same pharmacokinetics as the
3 parent compound. We really don't know that there's not
4 a metabolite that's slowly building up, that's a
5 potassium channel blocker, that's going to not really
6 show itself for four days, eight days or even a week
7 after accumulative dosing. And that's kind of where my
8 question was coming from.

9 KHALID ISLAM: I'll also take back something
10 that I said. I told you we only measure on day one and
11 four, my apologies. We did measure on additional days,
12 if there were QT changes seen and/or if there was
13 potential ConMed that were given. So we do have some
14 information regarding other days. Obviously it's not
15 as extensive or as intensive as day one and day four.

16 BARTH RELLER: At this juncture I'd like to
17 ask if anyone in the audience had intended to speak at
18 the open public hearing. Please come to the microphone
19 and introduce yourself.

20 JAMES FLOYD: I'm James Floyd, I'm a physician
21 and researcher at Public Citizen, a nonprofit public
22 interest group based in Washington, D.C.

Capital Reporting Company

Page 109

1 BARTH RELLER: Dr. Floyd, thank you. You had
2 signed up and I wanted to outline the confines of the
3 open public hearing. And since you have announced
4 yourself, I will read the following statement.

5 Both the Food and Drug Administration and the
6 public believe in a transparent process for information
7 gathering and decision making. To ensure such
8 transparency at the open public hearing session of the
9 advisory committee meeting, FDA believes that it is
10 important to understand the context of an individual's
11 presentation. For this reason FDA encourages you, the
12 open public hearing speaker, at the beginning of your
13 written or oral statement, to advise the committee of
14 any financial relationship that you may have with the
15 sponsor, its product and if known, its direct
16 competitors.

17 For example, this financial information may
18 include the sponsor's payment of your travel, lodging
19 or other expenses in connection with your attendance at
20 the meeting. Likewise, FDA encourages you, at the
21 beginning of your statement, to advise the committee if
22 you do not have such a financial relationship.

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

Capital Reporting Company

1 If you choose not to address this issue of
2 financial relationships at the beginning of your
3 statement it will not preclude you from speaking.

4 The FDA and this committee place great
5 importance on the open public hearing process. The
6 insights and comments provided can help the agency and
7 this committee in their consideration of the topic
8 before them. That said, in many instances and for many
9 topics there will be a variety of opinions. One of
10 today's goals is for this open public hearing to be
11 conducted, and as I mentioned, in a fair and open way,
12 where every participant is listened to carefully and
13 treated with dignity, courtesy and respect.

14 Dr. Floyd, thank you. You have three minutes.
15 Please, we look forward to your presentation.

16 JAMES FLOYD: Thank you. I'll be brief. No
17 conflicts of interest to declare. I'm sure this will
18 be discussed later when the advisory -- in the advisory
19 committee, but I just want to make a few comments about
20 efficacy which haven't been brought up yet. And also,
21 I don't know if I've heard it yet this morning but that
22 this drug did not meet non-inferiority criteria just

1 explicitly based on criteria set up by the FDA and by
2 the sponsor in co-primary end points in both studies.

3 And more worrisome, I think the upper limit of
4 the confidence (inaudible) for effect size was less
5 than zero. This drug was actually worse than the
6 active comparator.

7 Now also one thing confounding things was the
8 higher rate of concomitant antibiotic use which I don't
9 think was mentioned as well, but is in the FDA briefing
10 document, up to 40 percent in the iclaprim group, which
11 if anything biases towards a finding of non-
12 inferiority. However, we saw that the upper bound is
13 actually less than zero and this drug is worse than the
14 active comparator.

15 There are some safety signals that have been
16 brought up as well that are -- have been discussed and
17 will be more fully discussed. But I'm thinking, as an
18 internist who is a hospitalist and takes care of
19 cellulitis frequently in an in-patient setting, for a
20 drug that has been shown to be worse than the active
21 comparator, I can't think of a scenario where I would
22 use this as empiric therapy.

1 And I think some people have brought up the
2 scenario of this is, you know, another drug in the
3 arsenal or a drug of last resort. And in that case it
4 might be reasonable to use, if every other drug that's
5 been shown to be more effective either does not work or
6 there's a contraindication for using it. And in that
7 case that should be the indication for the drug.

8 But to justify its use as empiric therapy for
9 soft tissue infection, I don't think this drug meets
10 the criteria for efficacy. And also there are
11 concerning safety signals that doesn't make this a
12 better safety profile. So those are my only comments.
13 Thank you.

14 BARTH RELER: Thank you, Dr. Floyd. The open
15 public hearing portion of this meeting is now
16 concluded. And we will no longer take comments from
17 the audience.

18 The committee will now turn its attention to
19 the address -- continue addressing the task at hand.
20 The careful consideration of the data before (break in
21 recording) as well as the public comment.

22 Our list of inquirers are Dr. Cross,

Capital Reporting Company

Page 113

1 Wiedermann and Steckelberg, in that order. Dr. Cross.

2 DR. CROSS: I'd like to follow up on a concern
3 that Dr. Bennett mentioned about myelosuppression. In
4 the briefing document that we received it lists the
5 target organs in the toxicology studies and does not
6 mention the bone marrow. However further down it's
7 pointed out that there were a decrease in the white
8 blood cell count in rats, at four weeks.

9 A concern is one it's not clear in that
10 document what multiple of human dose were used in those
11 studies and for how long and whether it is single or
12 multiple doses. I wonder if you might address that
13 please.

14 KHALID ISLAM: Thank you for the question.
15 Actually the doses that were -- that the animals were
16 exposed to in the full week IV study, around 30
17 milligram per kilogram. So as in a human exposure that
18 would turn out to be around three to six times roughly.
19 We've also done studies by the oral route for 13 weeks
20 and we've also done studies in marmosets. Again, we
21 didn't see these effects. And also the no observed
22 effect level is always around three to six times in

Capital Reporting Company

Page 114

1 these animals, with respect to the human therapy dose.

2 DR. CROSS: And was the bone marrow actually
3 examined histologically?

4 KHALID ISLAM: We did full histological
5 examinations and this was not -- this is past -- any
6 effects that are seen are those that are being seen
7 much higher above the (inaudible). But we did not --
8 this was not noted by the study director at the time.

9 BARTH RELER: Dr. Wiedermann.

10 BERNHARD WIEDERMANN: Yes, thank you. This is
11 back on the QTc controversy, trying to get a little
12 clarification here concerning two slides. First on
13 sponsor slide 51, there's been some discussion to imply
14 that iclaprim's profile in QTc is similar to
15 moxifloxacin with moxifloxacin having a four to six
16 millisecond increase. I'm wondering, is that -- you
17 know, that ball park is referring to, at least with
18 iclaprim, the delta, the difference between iclaprim
19 and linezolid, is that number for moxifloxacin also
20 compared? Is that a delta compared to linezolid to
21 some other drug or is it an absolute increase and
22 really shouldn't be compared to these numbers here?

1 KHALID ISLAM: The moxifloxacin number is
2 actually from the PDR and it's for oral moxifloxacin.
3 The IV number is around nine milliseconds, that's what
4 we worked with from the PDR. We did not do the drug
5 comparison. Obviously this is in comparison to
6 linezolid. But moxifloxacin has been used in quite a
7 lot of trials.

8 We did look at the NDA data, accrued data and
9 compared that with our dose dependent increases in QTc.
10 And on that basis we actually get almost a super
11 imposable graph with respect to IV iclaprim and oral
12 moxifloxacin. Again, I'm taking the data from the NDA
13 from moxifloxacin and our data from our ECG studies.
14 And that went up to four times the therapeutic dose.
15 So that should literally overlap. However, I'd repeat
16 again, we didn't do the direct study so what I'm
17 referring to is literature data compared to our dose
18 dependents.

19 BERNHARD WEIDERMANN: Okay.

20 PETER KOWEY: Peter Kowey again. Just so that
21 we're clear, it is the delta of the delta for
22 moxifloxacin as a placebo. The central tendency

1 effects that we're talking about are in the same range,
2 absent this comparison with linezolid. The other thing
3 that's very comparable with the moxifloxacin data or
4 the outlier data, the categorical data, so the delta
5 30's and the delta 60's and the 500's, all of that
6 really -- if you go back and look at the moxi data it
7 looks very similar to what you see for this.

8 BERNHARD WEIDERMANN: And that was actually my
9 second question, slide 52.

10 PETER KOWEY: You can put 52 on.

11 BERNHARD WEIDERMANN: If you can put another
12 column there for moxifloxacin, because I'm much more
13 interested in not the group mean of increase but how
14 many patients are at risk. So do you have numbers
15 available for moxifloxacin, if you just duplicated
16 another column on this table?

17 PETER KOWEY: I don't have the exact numbers,
18 but they would look almost super imposable. If you --
19 again, a lot of this has to do with the concentrations
20 of the drugs that are -- of the drug that's used in the
21 experiments. And one of the things that we've learned
22 from the thorough QT designs is that, again as I said

1 earlier, it's all about the concentration. But supra-
2 therapeutic concentrations of moxifloxacin do about the
3 same thing that you see here.

4 You saw data, for example, as Khalid pointed
5 out, at fourfold the concentration. And it's the same
6 thing with moxifloxacin. The concentration effect is
7 exactly the same. But at the therapeutic dose that's
8 recommended for moxifloxacin, these outlier data look
9 very similar. I can't say that they're identical, but
10 they're very similar.

11 BERNHARD WEIDERMANN: Thank you.

12 LEWIS NELSON: Can I just ask a follow up
13 question to that? Lewis Nelson. In the -- and you
14 might have said this and I just forgot. People were
15 excluded if they had a QT on their cardiogram of what,
16 400 milliseconds, did you say that?

17 KHALID ISLAM: Yeah, the exclusion initially,
18 in stage A, up to 200 patients in the ASSIST program
19 was that the baseline QTc ought not to exceed 470
20 milliseconds. They would then not be included in the
21 ASSIST Program, for the first 200 patients, after which
22 the DMC removed that criteria.

Capital Reporting Company

Page 118

1 LEWIS NELSON: I mean -- I guess maybe this
2 needs to go in -- stated, those are the people who, if
3 they prolonged their QT more than 30 milliseconds would
4 have been in the 500 and above range.

5 KHALID ISLAM: Correct.

6 LEWIS NELSON: So you took out a substantial
7 proportion of patients at risk for developing the
8 complication of note, right. Now there are probably
9 some people who were less than that, had to have the
10 potential to prolong beyond 500 milliseconds. And
11 those would be the people that are truly the outliers,
12 the people that go from 400 to 600 milliseconds. And
13 those are the rare cases of people that we probably
14 have to be aware of.

15 BARTH RELLER: I had overlooked Dr. Kauffman
16 in the queue, so the order now will be Kauffman and
17 Steckelburg, Fohlmann and Lesar.

18 KHALID ISLAM: May I just make one quick
19 comment. One, we've just put you up some data
20 regarding patients who had baseline QTc's and QTcF's
21 that were included in these trials. As you can see
22 that's probably representative of the general

1 population.

2 Two, I'd just like to make one quick comment.

3 Regarding QT, we will be discussing, with the FDA,

4 having appropriate cautionary language within the

5 label. So we actually point out that this is a drug

6 which has a QT effect and obviously we'll be

7 discussing, in the label, how best to manage that QT

8 effect. So we're not trying to say it is not a QT

9 prolonging drug. We're saying it has a QT effect and

10 we're telling you that the QT effect is similar to that

11 of oral moxifloxacin.

12 PETER KOWEY: Just to make sure you understand

13 that, we were very concerned about your question. Your

14 question is right on. And that is if you exclude

15 people with a lower -- with a higher QT then obviously

16 you can miss the signal. And that's why what was built

17 in the program was an opportunity to open up the

18 aperture after the first 200 patients.

19 And when we didn't see anything we let people

20 in that had any QT interval. So there were -- I think

21 the distribution of QT for the entry patients was

22 pretty much characteristic of what you would see in a

Capital Reporting Company

Page 120

1 hospitalized patient population. But an excellent
2 point about the 500 milliseconds.

3 BARTH RELLER: Dr. Kauffman.

4 CAROL KAUFFMAN: Well I continue to be struck
5 by the differences geographically. Across the board
6 the data are much better coming out of Eastern Europe
7 and Russia and some of the countries in the area around
8 Russia. And I'm just wondering, was -- PAREXEL is an
9 international corporation and the monitoring was the
10 same throughout the world? And did the Data Monitoring
11 Safety Board look at this and say, what's the
12 difference here, why is it different here than it is in
13 the States and Canada? Any comments on that?

14 KHALID ISLAM: Maybe Wayne can -- Dr. Dankner
15 could answer for PAREXEL. Would you like to answer?

16 WAYNE DANKNER: Yes, PAREXEL is a global
17 company, but PAREXEL did not monitor the Eastern
18 European countries in this particular trial. This was
19 done by another CRO called PSI which has extensive
20 experience in that region of the world and has worked
21 with the investigators on multiple trials in this
22 indication in the past. PAREXEL monitored the U.S.

1 sites in this particular trial.

2 In ASSIST-2 it was a different CRO that
3 monitored the U.S. sites and PSI again monitored the
4 Eastern European sites for that ASSIST-2 Trial. But
5 again, PSI uses -- they have their SOPs just like other
6 large CROs do because they all have the ability to be
7 investigated by any regulatory authority throughout the
8 world.

9 CAROL KAUFFMAN: So was there any concern of
10 the data monitoring board that there were these
11 differences in different places? Or how did they
12 explain that? Or how do you explain that?

13 KHALID ISLAM: Well as also the agency pointed
14 out, we do not know why there are these differences.
15 They're obviously there. We look carefully to try and
16 identify perhaps some differences in the medical care
17 setting and the medical procedures that are used in
18 Eastern Europe with respect to the U.S.

19 But we didn't see any obvious explanation for
20 the differences in the cure rate. I think this has
21 happened a few times in a few other trials. The
22 monitoring was done very carefully and we have followed

1 all of the patients very carefully. But we do not have
2 an explanation, just as the agency.

3 BARTH RELLER: Dr. Steckelberg.

4 JAMES STECKELBERG: Most of the discussion has
5 been about staphylococci and streptococci, but I note
6 there are some enterococci isolettes in the Phase 3
7 studies. And I can't find the slide in the book, but I
8 think you showed some data that for enterococci the
9 results were actually pretty good. But this is a
10 folate antagonist and for other folate antagonist,
11 because enterococci are oxytroths (ph) you don't really
12 expect an in vivo response.

13 So have you an explanation for that, as to
14 whether these were maybe not causative enterococci or
15 there's something different about iclaprim or the self-
16 resolve maybe their abscesses.

17 KHALID ISLAM: Okay, can you put the slide up
18 for me, please. So this is actually the data on the on
19 the enterococci again. The numbers are small, so
20 please care of that. The difference is, for linezolid
21 and iclaprim, again are in the same region as you would
22 see, for example for strep pyogenes. Somewhere half

1 way between the strep pyogenes and staph aureus.

2 The actual E. faecalis strains, and maybe I'll
3 need to ask Dr. Jones, probably distributed in
4 different infection types and maybe Dr. Jones can
5 comment on that.

6 MARK JONES: I think two brief points with
7 regards to enterococci. There is some considerable
8 heterogeneity of the DHFR in enterococci. So one can
9 get something like biomodal distribution, in terms of
10 in vitro activity. But in terms of the clinical cure,
11 many of the enterococcus considered as baseline
12 pathogens were also present with staph aureus. So to
13 your question, there is potential to cure isolettes,
14 but many of them are in conjunction with another
15 significant gram-positive baseline pathogen. Excuse
16 me.

17 BARTH RELLER: To follow up on Dr.
18 Steckelberg's question, which is one that I wished to
19 ask, going to the ability of enterococci to do an end
20 run around DHFR in using preformed folate, and the
21 discrepancy between in vitro and physiologic clinical
22 response, do you have a counterpart for enterococci for

Capital Reporting Company

Page 124

1 your strep pyogenes slide EE-69? So for strep pyogenes
2 EE-69, one had a category of the kinds of infections
3 that harbored streptococcus pyogenes. And related to
4 Dr. Steckelberg's query, it would be of interest to see
5 that for enterococci.

6 (Off microphone conversation)

7 KHALID ISLAM: Slide up, please. Is that the
8 correct slide, Dr. Reller?

9 BARTH RELLER: This is strep pyogenes. So
10 this exact breakdown but for those infections
11 attributed to enterococci that the number was even --
12 about half of the strep pyogenes but greater than all
13 of the rest of the streptococci in the aggregate.
14 There were 15 cases.

15 KHALID ISLAM: Yeah.

16 BARTH RELLER: It's -- the total numbers and
17 the outcomes that were nearly 100 percent that Dr.
18 Steckelberg pointed out was slide 8 of the FDA
19 presentation. But that was the total numbers, it did
20 not break it down by category of cSSSI, as you have
21 here for pyogenes.

22 KHALID ISLAM: Yeah, could you put up CC-16.

1 The actual number of strains that were present are
2 actually quite small. We do not have the breakdown of
3 them, we didn't try to break them down in the different
4 wounds types, if that helps.

5 BARTH RELLER: Because the question would
6 arise whether those enterococci that were so
7 successful, where it does not make, to my knowledge,
8 physiologic sense, may all have been drainable
9 abscesses. We'll continue in the order of those
10 requesting to question. Dr. Follmann.

11 DEAN FOLLMANN: So I wanted to talk a little
12 more about the margin, which was raised by Dr.
13 Alexander. And I'd like the FDA's slide number five
14 brought up. And while it's being brought up I'd like
15 to offer a brief comment.

16 So in superiority trials it's comment that a
17 benchmark is to have two P values less than .05, to
18 provide some level of evidence that the treatment
19 actually works. And all that's not a hard and fast
20 rule. You always have to look at each set of studies
21 to feel comfortable with it. You know, it's somewhat
22 context independent, you can start of take that from

1 one setting to another. Non-inferiority trials I think
2 are a bit different, and even if you meet a particular
3 margin that was a priori specified, you have to
4 reexamine the assumptions that went into that in a way
5 that I think is just shakier and less reliable than in
6 a superiority trial.

7 And specifically this, I think gets to the
8 choice of margin now. In the documents we saw earlier,
9 the margin was chosen to be 12.5 percent based on an
10 assumed cure rate of 85 percent and based on some other
11 justifications. And in this trial now we see, you
12 know, dramatically greater cure rates for the protocol
13 population, one of the two co-primary end points.

14 And so if you look at this slide here, in the
15 pre protocol population analysis you see cure rates of,
16 you know, very high in the linezolid group for both
17 studies, 99 percent or 95 percent. And I think, you
18 know, you can't sort of just automatically just say
19 well they met their margin of 12.5 or 10 percent. You
20 have to interpret it in the context of the cure rates
21 that were achieved for that study. These are very high
22 cure rates and it makes you think that, you know, 12

1 percent or a 10 percent margin is quite liberal for a
2 study like this.

3 So the point I'm making really is just that I
4 think the margin of 12 or even 10 percent has to be
5 interpreted in the context of the cure rates we see for
6 this study.

7 BARTH RELLER: Dr. Follmann, this is an
8 opportune time. I wanted to ask you, Dr. Hilton and
9 Dr. Fleming what your view is on Dr. Wei's thesis that
10 the comparator chosen should or might influence the NI
11 margin selected. Dr. Follmann, Hilton, Fleming.

12 DEAN FOLLMANN: I would say in principal, that
13 you know, the comparator can be factored in when you
14 chose a margin. And if you play that game though you
15 have to accept that you could meet the margin and be
16 demonstrably inferior to that. And you have to really
17 believe then that you're within an acceptable tolerance
18 of inferiority and that the drug that you are
19 interested in offers some substantial advantage in some
20 other way over the comparator.

21 So I do accept different margins for different
22 comparators. But, you know, you still have to

1 interpret it in the context of that study.

2 BARTH RELLER: Dr. Hilton, any comment?

3 JOAN HILTON: I don't have the slide in mind
4 right now, but I think that the sponsor showed that the
5 comparator chosen was better than vancomycin, on
6 average. And so it was a good choice of a comparator.

7 But Dr. Valpavil (sic) also pointed out that
8 there was considerable uncertainty in the comparator.
9 And we do worry about the constancy assumption over
10 time. I think the sponsor did the best they could with
11 that choice.

12 BARTH RELLER: Dr. Fleming.

13 THOMAS FLEMING: Well this is certainly a very
14 key issue. And I think there are a number of facts that
15 we need to revisit. We had a great discussion two days
16 ago to really try to go through the complexities. And
17 I don't think there's a need to revisit all those
18 issues.

19 But just to remind you of a couple of key
20 thoughts that were very important, the IDSA assessments
21 attempted to formulate what a margin would be. And we
22 had much discussion, as Dr. Follmann has also alluded

1 to, in the fact that what's an appropriate margin is
2 certainly situation specific. The margins that were
3 put forward by IDSA are inherently based on their
4 analysis on trying to understand what the effective and
5 active comparator is. And they were assessing it in
6 non-randomized trials.

7 And that creates an awful lot of uncertainty
8 about whether there's bias. There's confounding
9 between the penicillin and the no treatment group.
10 There's also, as we were discussing a couple days ago,
11 a lot of issues about effect modifiers. If we're
12 looking at what the effect is of an active comparator,
13 it depends on whether or not there's emergence of
14 resistance and what's the impact of that on the active
15 comparator's effect. It depends on co-morbidities.
16 And in the historical evidence there was a lot of
17 evidence of pneumonia, meningitis, bacteremia that
18 certainly can influence overall success rates. And it
19 depends on supportive care, as was indicated in the
20 open public hearing. When there's a lot of concomitant
21 antibiotics that are available, that can certainly
22 influence outcome. Now one approach here was to define

1 those patients as failures.

2 The definition of the end point also matters.
3 And this is what Dr. Follmann was getting at. When the
4 IDSA assessments were done, that was done in a setting
5 where the assessments of benefits were based on shorter
6 term assessments. And the longer you follow someone
7 the more likely there will be resolution. And so the
8 magnitude of the difference, when you have longer
9 follow up, will be less.

10 And as in the per protocol analysis, you're
11 excluding people that didn't get four days of
12 treatment, that didn't have at least seven doses. They
13 have no major protocol violations. You're looking at a
14 very pristine situation. There was -- there's no
15 direct way to say that what IDSA did would tell us what
16 the magnitude of effect would be of penicillin against
17 no supportive care, again no antibiotic care, in that
18 context.

19 And when you have these very high response
20 rates there's an assay sensitivity issue. It is -- to
21 allow a 10 percent margin in that setting is giving a
22 considerable risk of allowing a therapy through that's

1 not effective.

2 Now specific to the point of the attempt by
3 the sponsor to say should the margin be larger because
4 linezolid is the control, I want to compliment the
5 sponsor or choosing an active comparator that is among
6 the arsenal that we have that is very effective. And
7 maybe it is somewhat more effective. The data that was
8 put forward to indicate that linezolid is more
9 effective than vancomycin, we certainly need to have an
10 opportunity to independently carefully scrutinize that
11 evidence. There's not established analyses, to my
12 knowledge today, that would establish that linezolid
13 would beat vancomycin. And Thamban was pointing out
14 some of those issues for caution, open label studies,
15 etcetera. These things need to be reassessed.

16 But let's suppose that we said that linezolid
17 was a couple percent better than vancomycin today.
18 That's not the key issue in the margin, using the
19 historical margin evidence we had before. The question
20 is does that mean that it's better than penicillin in
21 the pre 1950's when there wasn't resistance? We were
22 looking, when we were using the IDSA data, we were

1 establishing what the margin was based on what
2 penicillin did in a non-resistant setting, against no
3 treatment.

4 And so the question of relevance here is if
5 you're going to have a bigger margin, does that mean
6 that you're concluding that linezolid is better than
7 penicillin? If you can't say that then there's no
8 basis for justifying that the margin should be even
9 larger.

10 And there's also the clinical relevance issue.
11 And it's again, somewhat coming back to Dr. Follmann's
12 point. What is an acceptable increase beyond what you
13 could have? How much worse are we willing to be? And
14 I always -- as I was saying two days ago, I always like
15 to turn the tables around and say, if you could be five
16 or ten percent better, would that be really important.
17 And most of us, I think, would say it would. To
18 justify any margin greater than ten percent, then I
19 think is very problematic.

20 This group did come to a consensus that while
21 the margin should depend on the given setting, a
22 general sense was ten percent would be the margin that

1 would be the upper limit of what's acceptable. The
2 FDA, prior to that discussion, when they were
3 discussing with the sponsor, indicated -- suggested a
4 ten percent margin. Sponsors typically want the
5 biggest margin possible, it allows for a smaller trial,
6 it increases the chances of being successful. But in
7 essence, the critical issue here is the margin has to
8 be sufficiently rigorous that we're not losing a
9 meaningful level of benefit.

10 And the FDA guidance, in 2007, a lot pointed
11 out that even if there had been an agreement to a 12.5
12 or a larger margin historically, those are off the
13 books. It has to be justified based on the science of
14 today.

15 I guess my last thought on this is that it is
16 -- when post hoc analyses are done to try to justify a
17 larger margin when a result is problematic -- and this
18 is not uncommon, a margin is set, it's not met, results
19 are problematic. If post hoc analyses are viewed as
20 persuasive, then there's real risk to the integrity of
21 non-inferiority. If we're going to be able to use non-
22 inferiority as an option to a superiority trial, it is

1 critical to maintain the integrity of those non-
2 inferiority analyses.

3 So, in essence, I think the key issues here
4 are that if linezolid is better than vancomycin, it's
5 very appropriate that it was used as the active
6 comparator. If in fact it's better it doesn't justify
7 a bigger margin unless you can say linezolid is better
8 than the historical agent, penicillin, when there
9 wasn't resistance, in terms of the evidence used to
10 establish that particular margin.

11 I guess there's one last thought. And it's
12 somewhat related to this so I might mention it at this
13 point. And that is one might say, in fact for instance
14 we had slide -- slide five, looking at these results
15 relative to the prespecified margin, and I view that as
16 ten percent as the justifiable margin and as Dr.
17 Follmann points out, that even is a very liberal
18 margin, I would think for the per protocol analysis,
19 these data are essentially not addressing or not
20 meeting that margin. And the same is true if you do
21 the analysis that is -- using the sponsor data and
22 their analysis, when you leave out the major abscess

1 patients, in both studies we didn't meet the ten
2 percent margin. But there's also evidence here that
3 there's worse -- that you're worse. Three of these four
4 are ruling out equality.

5 And so there's not only considerable concern
6 about establishing non-inferiority, but the estimates
7 are suggesting that you have a considerably lower
8 success rate. And the confidence intervals are
9 suggesting, at least providing considerable evidence,
10 that you may be worse.

11 One could argue well, okay but an agent still
12 could be used even though it's worse than another
13 agent. I suppose because in settings for example when
14 you would have MRSA and you couldn't use linezolid,
15 then this would be an option. But what scientific data
16 do we have indicating that this is effective in a
17 specific cohort of patients when other available MRSA
18 agents, including linezolid are contraindicated? That
19 would be, I guess I'm kind of jumping ahead to future
20 studies, that would help us answer the question if it's
21 worse, and there's considerable evidence to suggest it
22 is, and it's not met the non-inferiority margin, might

1 there still be a role? Well what we need is a study
2 when it's contraindicated to use this agent, or others
3 that may be better, to find out if it provides a role
4 in that setting. And those data haven't been
5 presented. In fact that study hasn't been done.

6 BARTH RELLER: Dr. Hilton, and then we'll have
7 the last question from Dr. Lesar. And I'm assuming
8 it's directly related to the statistical issues. Yes,
9 Dr. Hilton.

10 JOAN HILTON: I liked the point that Dr.
11 Follmann made, and I just wanted to put it in more
12 quantitative terms. If we have a delta of non-
13 inferiority margin of ten percent, and a baseline
14 response rate of 85 percent, that translates into an
15 odds ratio that's less than two. But if we have a
16 baseline response rate of 95 percent, which is more
17 typical here, then that translates into an odds ratio
18 of 3.35 percent.

19 So those numbers escalate when we change the
20 non-inferiority margin to 12.5 percent and for 85
21 percent response rate it's an odds ratio of 2.15 and
22 for 96 percent baseline response rate it's 4.0. So

Capital Reporting Company

Page 137

1 four times the odds ratio is what we're thinking about
2 when the margin is 12.5 percent. So I think just
3 quantifying it just helps make his point even stronger.

4 BARTH RELLER: Thank you, Dr. Fleming.

5 THOMAS FLEMING: Just one sentence on that. I
6 agree with Dr. Hilton. And in fact if you do that
7 calculation, when it's 99 the odds ratio is ten. We're
8 saying it's fine to have a tenfold increase.

9 BARTH RELLER: Dr. Lesar.

10 TIMOTHY LESAR: In order to continue having
11 continuity of thought, my question was actually on QTc,
12 so I'll withdraw.

13 BARTH RELLER: Therefore we did not intend to
14 totally take a break -- I mean to totally miss the
15 break. We will take a please, only 15 minute break to
16 stretch, refresh the oxygen supply to the brain for
17 getting across the goal line in the next hour.

18 (Break)

19 BARTH RELLER: Dr. Laessig, the deputy
20 director, will make some remarks before we launch into
21 consideration of the questions themselves and any
22 residual queries that need to be taken before the -- or

Capital Reporting Company

Page 138

1 addressed before the vote. Dr. Laessig.

2 KATHERINE LAESSIG: Thank you, Dr. Reller. So
3 this is basically to take a few minutes to recognize
4 two our committee members who are rotating off at the
5 end of the month, Drs. Kathleen Gutierrez and Bernhard
6 Weidermann have served on the AIDA committee since
7 2006. And their commitment and service to this
8 committee has been beyond reproach. They have really
9 complimented the committee with separate and unique
10 experience and expertise. And during their years of
11 appointment their professionalism and guidance have
12 really been vital to our regulatory review process.

13 They have allowed our division to provide safe
14 and effective products for the treatment of infectious
15 diseases to the American public in a timely manner.
16 And in appreciation of their service the agency would
17 like to provide them with plaques, which Dr. Kim is
18 handing our right now. And we are extremely
19 appreciative of the work that you've done and have
20 valued your opinions greatly. Thank you. Back to you,
21 Dr. Reller.

22 BARTH RELLER: Dr. Cox, would you like to

1 present the charge to the committee regarding the
2 questions?

3 EDWARD COX: Sure, thanks Dr. Reller. I'll
4 start out by thanking all the presenters and
5 discussants at today's morning session. There's been
6 much information provided, both on the safety and the
7 efficacy. And I just want to touch on a couple of
8 things.

9 With regards to efficacy, we've had discussion
10 on the confidence limits for the study results and
11 where they fall. We've also talked about the non-
12 inferiority margin. There was also discussion on the
13 issue of comparator. And discussion of different
14 categories of skin infections within the overall
15 category of complicated skin and skin structure
16 infections. And as -- we've also had a fair bit of
17 discussion too on safety issues. And we've talked
18 about safety data overall, including the size of the
19 safety database, and also had considerable discussion
20 on the QT effects.

21 So with that and all the presentations and
22 discussions that we've had so far as consideration for

Capital Reporting Company

Page 140

1 the questions, we have two questions that we'd like to
2 get the committee's advice on. The first one, if I
3 have it up, yeah.

4 So the first question is, did the data
5 presented demonstrate the safety and effectiveness of
6 iclaprim for the treatment of complicated skin and skin
7 structure infections. We're asking you to vote yes or
8 no.

9 And if the answer is yes, are there any
10 specific issues that should be addressed in labeling?
11 And if the answer is no, what additional data or
12 studies are needed? And as always I need a rationale
13 that supports your yes or no, that would also be very
14 helpful and much appreciated.

15 Our second question. Should there be any
16 limitations on the use of iclaprim? Please vote yes or
17 no. In your response please discuss the following:
18 The comparative outcomes for iclaprim and linezolid
19 from the Phase 3 trials; the specific clinical
20 situations where iclaprim should be used and the basis
21 for any specific restrictions.

22 So those are the two questions that we have

Capital Reporting Company

Page 141

1 from you and we look forward to your advice. Back to
2 you, Dr. Reller.

3 BARTH RELLER: Thank you. We'll have a brief
4 period for any residual questions that were not
5 addressed this morning, including we have retrieved the
6 teratogenicity data, that question was raised as well,
7 and we have that on that. But first a question from
8 Dr. Weinstein.

9 MELVIN WEINSTEIN: So as I've listened to the
10 discussions this morning something has sort of troubled
11 me and maybe sort of crystallized as I was walking out
12 of the room for the break. The information that we've
13 looked at over the last couple of days has suggested
14 that vancomycin, which is the comparator that has been
15 used in other trials, has an efficacy rate of somewhere
16 in the range of 70 to 80 percent. And it seems to me
17 that those are the kinds of data that are being shown
18 for iclaprim. And yet what we've -- what I've heard is
19 that because the comparator used in these studies was
20 not vancomycin, was linezolid, that this drug that's
21 been presented this morning is inferior.

22 And clinically it seems to me that it probably

Capital Reporting Company

Page 142

1 -- had they chosen vancomycin as the comparator, we
2 wouldn't have been having a lot of the discussions this
3 morning about inferiority that we have been having.
4 And so I just would like to hear some discussion from
5 my colleagues around the table about that issue.

6 BARTH RELLER: Any takers?

7 DEAN FOLLMANN: Well, I think it's fair to say
8 that we just don't know. We saw very high cure rates
9 in the study and what that's due to, because of the
10 relative superiority of both drugs compared to
11 vancomycin or just because the study was done when
12 there was a lot of use of other antibiotics or done in
13 Eastern Europe, we just don't know. And so it's a fair
14 speculation, but you know that things might have turned
15 out differently if vancomycin had been used as a
16 comparator, but we just don't know.

17 BARTH RELLER: Dr. Leggett.

18 JAMES LEGGETT: One thing that comes to mind,
19 now as we are talking about that and we talked about it
20 and that I noticed was on Tuesday was that we're sort
21 of suddenly talking about these newer trials as somehow
22 being better than the -- at that point talking about

1 better than the older trials, pre, you know, 18th
2 century that we talked about using penicillin and
3 sulfa. And the immediate thought to me was, well those
4 are the same trials that came before the FDA within the
5 last ten or years. And I can't ever remember a trial
6 being so pristine and pure that we then suddenly say,
7 okay that drug is so much better.

8 So I was left with the fact that sure,
9 linezolid may look a little bit better, in those three
10 or four trials that were shown. But that doesn't
11 necessarily mean that it -- it certainly wasn't shown
12 that it was statistically better. And then each of
13 those trials, in my mind, probably had the same give
14 and take as we're facing here. So it's not -- it's as
15 if it were sort of standing a little bit on shifting
16 sand. And I wouldn't really say that, oh clearly if
17 they had chosen vancomycin that we would have been okay
18 with it. I wouldn't -- I just -- that doesn't compute,
19 to me.

20 BARTH RELLER: Dr. Septimus.

21 EDWARD SEPTIMUS: Just one other variable in
22 that is the test of cure, as I remember, in this

1 particular trial was 7 to 14 days after the completion
2 of therapy. And how does that compute into the
3 results?

4 BARTH RELLER: May we have the teratogenicity
5 data that we left unfinished before the break.

6 KHALID ISLAM: Thank you. Put this slide up,
7 please. So these are some of the studies. We looked
8 at the repertaxin in rats, we don't have any affect on
9 fertility. In terms of teratogenic effects we have
10 seen somewhere around five, six times the human
11 exposure dose, some effects in rats and mini-pig by the
12 oral root. And -- but they're very similar to those
13 known for the folate class, trimethoprim.

14 As you know, trimethoprim, you do use
15 trimethoprim in pregnant women. You need to take care
16 not to use it in the first trimester and I believe this
17 is very similar as teratogenic effects for this drug.
18 And so I think that the agency was suggesting Category
19 C and I think that would be reasonable, that's what
20 trimethoprim is. Our pleasure.

21 Can I also just take the occasion, Dr. Reller,
22 to make one more comment? If that would be helpful?

Capital Reporting Company

1 BARTH RELLER: Please.

2 KHALID ISLAM: Thank you. Could I just have
3 the U.S. population data, please. Thank you, yes slide
4 up. We were discussing the differences between Eastern
5 Europe and the U.S. Or European countries, I'd just to
6 mention that we've had somewhere around eight to nine
7 of these study sites that have been audited by
8 regulatory authorities. And we have not had any
9 notification to tell us that there was something wrong
10 with those sites.

11 This is just to show you the U.S. data. And
12 as you note, always iclaprim in the yellow bars
13 compared to linezolid in the green, this is just the
14 combined data of only the U.S. population with respect
15 to what we are looking as primary analysis. We also
16 noted the differences, we specified the differences for
17 why we consider our patients to be cured and did not
18 feel that the FDA analysis is necessarily coherent with
19 our analysis, but there are differences.

20 So I just wanted to share that data with you
21 so that you can keep this in mind. Thank you very
22 much.

Capital Reporting Company

Page 146

1 BARTH RELLER: Thank you. It's time for the
2 vote, and then we'll go around the table for any
3 amplification, clarification of why one voted as they
4 are about to vote. Electronically, are we set?

5 Question number one, do the data presented demonstrate
6 the safety and efficacy of iclaprim for the treatment
7 of complicated skin and skin structure infections?
8 Please vote yes or no. The buttons are flashing.

9 We have one monitor that is not working, Dr.
10 Katona's. It's okay now? Has everyone voted? The
11 vote is locked in. The results when we -- the results
12 are yes, two; no, 16; abstentions, zero.

13 We will go around the table this time on the
14 left side, but counter-clockwise. Dr. Septimus. Your
15 vote and rationale or any comments that you wish to
16 make, not compelled to make but wish to make.

17 EDWARD SEPTIMUS: I voted no. I'm concerned
18 about our non-inferiority margins that we agreed to a
19 couple of days ago and the analysis of the data. I'm
20 also concerned about the differences between the
21 European trials and the North American trial. I'm
22 cautious about the Group A strep data as well. There's

1 some safety signals that we've already talked about.
2 And concerns about unintended risks with long term
3 risk, as Dr. Bennett discussed. So for all those
4 reasons I voted no.

5 BARTH RELLER: Dr. Nelson.

6 LEWIS NELSON: I voted no as well. I think
7 that the efficacy data is a little bit concerning in
8 terms of the non-inferiority margins as well. I'm also
9 obviously concerned about some of the safety signals.
10 And what I'd like to see, if this drug comes forward
11 again, is some, you know, risk assessment and
12 management plan rather than just kind of a promise that
13 they would be dealing with that issue when it arose,
14 and perhaps some sort of scientific evaluation, on a
15 different basis, to show that both QT and hepatic
16 issues, and a little bit more about this developmental
17 toxicity which we just heard about, is going to be
18 handled.

19 BARTH RELLER: Dr. Lesar.

20 TIMOTHY LESAR: I voted no. Again, my primary
21 reason was that if the measure of non-inferiority was
22 the target that was not met and also some concerns

1 related to the potential risk of rapid infusions of
2 this drug.

3 BARTH RELLER: Dr. Bennett.

4 JOHN BENNETT: I voted no. If I was reaching
5 for a dihydrofolate reductase inhibitor I would
6 probably pick trimethoprim-sulfamethoxazole. Four
7 years of experience, cheap, available orally and IV.
8 Yes, some people are allergic to it, but it's not the
9 only option for patients who have complicated skin and
10 soft tissue infections. And so with what we've heard
11 about the issues about safety and about the possibility
12 of it being, for example, ten percent worse, I think
13 there are many other options that would be preferable.
14 So I would not pursue development of this compound any
15 further.

16 BARTH RELLER: Dr. Leggett.

17 JAMES LEGGETT: I voted no. I don't believe
18 that efficacy was shown to be sufficient. In regards
19 to safety I think the drug is reasonably safe, even
20 considering all the QTc and other aspects. But it's
21 primarily on the basis of lack of showing efficacy.

22 BARTH RELLER: Dr. Fleming.

1 THOMAS FLEMING: I voted no. I think with all
2 the discussions that we had justifying a context for
3 margins, the ten percent margin would be as large as I
4 could justify, particularly in settings in the per
5 protocol analysis for reasons that Dr. Follmann clearly
6 laid out. The data -- when we look at the data for ITT
7 or per protocol analyses in both studies, they're not
8 meeting this margin. And in fact when you pull out the
9 patients with major abscesses you're still not meeting
10 the margin.

11 What's problematic is that in fact there is
12 suggestions, with many of these analyses, ruling out
13 zero that you're actually inferior. Also when we look
14 at MRSA, and numbers are somewhat small, but the data
15 are suggesting that you are having a higher failure
16 rate, or a lower success rate. And the degree of that
17 -- the magnitude of the difference in success rates
18 between iclaprim and linezolid is actually somewhat
19 larger when you look within the MRSA subcategory than
20 when you look within the entirety of the data set. So
21 this sense of being less effective also applies in the
22 MRSA category.

1 From the safety profile, numbers are small,
2 but there were six deaths against two. And again it's
3 an imprecise science as to cause, but suggestions that
4 two of the cardiac deaths, two of the renal deaths
5 could have been related.

6 And QTc is certainly an issue. It's very
7 difficult to know the exact consequences of elevated
8 QTc but those issues are also influential. So it's not
9 a setting where I can say there's safety issues that
10 are favorable and that in some sense could argue for
11 adjusting the margin.

12 Regarding if your answer is no what additional
13 data or studies are needed, I commented before the vote
14 that given considerable evidence suggesting an
15 inferiority of iclaprim to linezolid, what would only
16 be left would be -- or what would be an obvious place
17 to potentially go would be to understand the effect of
18 iclaprim when existing agents, such as linezolid for
19 anti-MRSA would be contraindicated. Can we -- can a
20 future study be done to say in settings where you
21 couldn't use other therapies, like linezolid that would
22 be thought to be more effective, that here's where you

Capital Reporting Company

Page 151

1 have the Allen wrench. The Allen wrench could be used
2 in those settings.

3 But for all I know today you might put that
4 Allen wrench where it's the only thing you can use and
5 it might not have the right bit, it might not work. So
6 it remains to be shown if you can find circumstances
7 where other options that could be better are
8 contraindicated, that this one would do the job. And
9 that could be addressed in a future study.

10 BARTH RELLER: Dr. Goetz.

11 MATTHEW GOETZ: Yes, I also voted no. Going
12 over my reasons, they're similar to those that
13 preceded. I'm concerned about the lower bound of the
14 non-inferior RE limit being set in 12.5 percent. And
15 the study clearly exceeded, in my view, the boundary of
16 -10 percent that we discussed several days ago. I'm
17 concerned as well by the evidence that suggests that
18 the agent may be inferior in that several of the
19 outcomes that were looked at, the upper bounds of the
20 95 percent confidence limits was less than zero,
21 favoring linezolid. In particular in that regard, I'm
22 concerned about the lesser outcomes seen in people with

1 cellulitis or Group A streptococcal infections who
2 receive iclaprim rather than the comparator agent.

3 The safety signal did not trouble me as much,
4 but clearly more data are needed, because rare outcomes
5 are very difficult to evaluate in studies of this size.

6 Thinking about what additional data or studies
7 are needed, if development of the drug is to proceed,
8 in my view, it may have a role for staphylococcal
9 infections, although the point estimates don't favor
10 the drug at this time. The confidence limits are broad
11 enough, I think, it's potentially a valuable agent in
12 that regard. It'd be difficult for me to imagine
13 exactly how a study would be done, because I would
14 really, at this point be reluctant to include people
15 with Group A streptococcal infections or cellulitis,
16 unless I had convincing evidence that cellulitis was
17 not to due to Group A streptococci.

18 BARTH RELLER: Dr. Alston.

19 KEMPER ALSTON: Well it reflects my utter
20 inability to predict, because I thought this was a no-
21 brainer. I think it improves on an existing drug
22 class, doesn't request the use of sulfa, it has the

1 potential for oral administration. I was impressed by
2 the comparator being linezolid. I liked that the
3 trials were recent, with recent microbiology. I liked
4 that the trials were identical and could be pooled.
5 The drug seems safe to me, and we have decades of
6 experience with trimethoprim.

7 And, you know, looking at this clinically and
8 not statistically, we're used to having drugs on a
9 gradient of potency. And while I wouldn't use this or
10 trimethoprim sulfa in a patient with a life-threatening
11 bacterimic streptococcal infection, I think this has a
12 role just like trimethoprim sulfa has a role. We're
13 happy with the relative lack of potency with
14 vancomycin, we understand the superiority of beta
15 lactams, but I don't think that means we can't use
16 those drugs.

17 And I like that using an older drug class with
18 an older target would allow us to protect and preserve
19 newer drug classes with newer targets, like linezolid,
20 for when they're really required.

21 So looking at it purely clinically and not
22 statistically and not getting bogged down in its

1 comparison to linezolid, I'm comfortable if it's even
2 in fact inferior to linezolid but I'm not sure that
3 doesn't mean there's not a clinical role for it.

4 BARTH RELLER: Dr. Katona.

5 PETER KATONA: I voted no. You know, I don't
6 have a desire to have absolutes in non-inferiority
7 margins. But I do think that it came below what we
8 would have expected. And even looking at the subsets,
9 when you look at the kind of thing that was treated, or
10 the bug that was involved, it all seemed to kind of
11 fall into place. But at the same time, you know, it's
12 very hard to have a non-inferior margin that's
13 acceptable when you've got a 99 percent cure rate in
14 your comparator, which I understand.

15 Linezolid and vancomycin, I mean I'm willing
16 to accept that there's -- that linezolid may have a
17 non-inferiority with vancomycin, but I'm not sure I can
18 accept that it's a better drug than vancomycin. But
19 I'll accept the fact that they're close.

20 But I was concerned with the fact that you
21 were looking at diverse populations here. You know,
22 each of these diverse populations were in small numbers

1 and they had divergent results. And that was somewhat
2 bothersome to me in terms of interpreting the
3 information.

4 And also, in terms of the organisms, the
5 ASSIST-1 didn't have very good data for MRSA and there
6 wasn't particularly good results for strep pyogenes and
7 that may be a factor of the cellulitis association that
8 Dr. Goetz mentioned, but that was also a negative for
9 the whole thing.

10 I won't go into the side effect issues,
11 because I think that's been covered by others. But
12 that's my comments.

13 BARTH RELLER: I voted no. I have physiologic
14 reservations about interpreting the outcome with
15 enterococci not getting even close on the subset of
16 streptococci, so that leaves staphylococci. And I was
17 not persuaded along the lines that Dr. Katona presented
18 and Dr. Goetz, particularly that there was sufficient -
19 - that the drugs are different. That is linezolid and
20 vancomycin are different, I'm not persuaded that one is
21 superior to the other, they're just different and
22 therefore complimentary. And as a consequence, that

1 there was sufficient comfort in departing from the ten
2 percent non-inferiority margin.

3 As far as additional studies, one option would
4 be versus vancomycin and clear this issue. The other
5 would be if this drug is taken forward as an oral
6 agent, then this question of linezolid could be
7 addressed again. And I must say that I was made -- I
8 was a bit uneasy about the lack of explanation about
9 the Eastern European results. Dr. Weidermann.

10 BERNHARD WEIDERMANN: I also voted no and I
11 won't necessarily reiterate as for many of the same
12 reasons as has already been expressed. I would just
13 mention I'm certainly not opposed to a post hoc fudging
14 of the margin. But in my mind I started with a ten
15 percent margin in mind and there were too many fuzzy
16 things surrounding this which have already been
17 commented on for me to fudge the margin. So that's
18 what I stuck to and that resulted in my no vote.

19 BARTH RELER: Dr. Kauffman.

20 CAROL KAUFFMAN: I voted no but not without
21 lots of consternation. I was back and forth many times
22 during the morning, because we clearly need new drugs

Capital Reporting Company

Page 157

1 for staph aureus, for MRSA. And I guess in the end I
2 thought that the analysis by the FDA and the analysis
3 by the company, probably in the middle was the truth,
4 because I think some of those cases actually were cures
5 that the FDA didn't believe were. But it still ended
6 up that most of the confidence intervals didn't cross
7 zero and were just skewed to one side.

8 And the company was evidently told that a ten
9 percent margin was suggested highly by the FDA, but
10 still persisted with a 12.5 and I think then they
11 didn't make it. So in the end I voted no.

12 BARTH RELLER: Dr. Gutierrez.

13 KATHLEEN GUTIERREZ: I also voted no. I did
14 go back and forth throughout the morning too. And, you
15 know, some of my issues I think were the same as maybe
16 Dr. Alston's. I liked the fact that there was the
17 potential for an oral preparation and that, you know,
18 we do need new drugs and that possibly this might be
19 something that even we could use for less complicated
20 skin and soft tissue infections.

21 However I think I -- you know, in just looking
22 at it in comparison to linezolid I -- you know, I felt

Capital Reporting Company

Page 158

1 it was an inferior drug.

2 BARTH RELLER: Dr. Follmann.

3 DEAN FOLLMANN: I also voted no, primarily
4 because I thought, you know, the non-inferiority
5 margins of 12 or 10 percent were too large for this
6 study where we had such very high cure rates. And I
7 think, you know, it's unfortunate that the study just
8 didn't -- wasn't a very sensitive assay to see if there
9 was a non-inferior difference between the two.

10 And I didn't see a -- you know, there's also
11 concern that linezolid might actually be superior to
12 Iclaalarpim (sic). So I voted no.

13 BARTH RELLER: Dr. Weinstein.

14 MELVIN WEINSTEIN: Like some of the others I
15 went back and forth over the course of the morning. In
16 the end I voted yes because I thought there might be
17 situations where the drug could be useful.

18 BARTH RELLER: Dr. Davis -- excuse me, Mr.
19 Levin.

20 ARTHUR LEVIN: I voted no because of the
21 margin concerns and because of the safety signals in a
22 very small population. And we've seen a lot of

Capital Reporting Company

Page 159

1 experience -- we've had a lot of experience where
2 safety, when the drug gets out on the market then many
3 thousands of people are exposed, these safety issues --
4 unfortunate safety issues have proven to be a problem.

5 BARTH RELLER: Dr. Hilton.

6 JOAN HILTON: I voted no because of the
7 overall results as well as the pathogen specific
8 results. But I feel there's encouragement in the
9 ASSIST-2 data set which had a larger fraction of
10 patients with MRSA than the ASSIST-1 did and showed
11 better results in that population. So I think that
12 there's still hope for this compound.

13 BARTH RELLER: Dr. Cross.

14 ALAN CROSS: I also voted no. Like Dr.
15 Kauffman, I felt that the disputed cases would put the
16 data somewhere between the sponsor and the FDA's
17 conclusions. However I was most disturbed by the
18 discrepancy between the Eastern European and the North
19 American data. And as a result I really didn't have
20 full comfort in the quality of the data. And that
21 coupled with the evidence here that it was probably
22 inferior to linezolid tipped me over.

1 I do think that it's a potentially valuable
2 agent and I'm not as concerned about the toxicology,
3 except I would like to see a bit more information based
4 on what we know by trimethoprim sulfa, in terms of
5 further bone marrow toxicity.

6 BARTH RELLER: Dr. Steckelberg?

7 JAMES STECKELBERG: I voted no and agree
8 largely with what Dr. Reller has said. However, I
9 guess I'm not that worried about the margin or the
10 margin of discussion, although it's very interesting in
11 the theory. The way that it's set up is really to test
12 whether this compound preserves 50 percent of the
13 effect, using wide margins of patients treated when
14 Theodore Roosevelt and Calvin Coolidge were President,
15 compared to some treated when Franklin Roosevelt was
16 President and then taking a very precise 50 percent of
17 that to come down at 12.5 percent of the effect versus
18 ten percent. And frankly -- and so there's a question
19 of whether or not we've actually met that non-
20 inferiority margin. But what that would show is that
21 this drug is different than placebo, using penicillin
22 in historic controls.

1 And to be -- you know, okay so maybe it's
2 questionable whether it met that. I really don't have
3 any question, in my mind, that it's more effective than
4 placebo. To me the question is is it as effective as
5 the comparator. And so I'm more concerned about the
6 point estimate. And I do see a worrisome pattern that
7 there are lots of point estimates in the overall
8 analysis in the PP analysis that are somewhat less than
9 the comparator.

10 Having said that, the argument was made that
11 well had we chosen vancomycin, well first of all if
12 vancomycin may be a couple of percentage points less
13 effective than linezolid, which the sponsor finds
14 important. But iclaprim is a couple percentage points
15 less effective than linezolid, which is to be
16 discounted. And that worries me a little bit, that
17 kind of thinking. These are not usually transitive
18 kinds of relationships.

19 So with respect to vancomycin, it may be true
20 that had vancomycin been chosen as the comparator that
21 then there would have been equivalence, and then it
22 might be true that my vote would be different. But

Capital Reporting Company

Page 162

1 that's different than data, and I think we have to make
2 a decision based on the data that's submitted rather
3 than supposition about what might have happened if it
4 had been done differently.

5 BARTH RELLER: Thank you. In moving to
6 question two, it's worth remembering the name of our
7 committee, an advisory committee without authority for
8 decision making. Consequently question two is relevant
9 and we shall address it and vote yes or no.

10 Question two, should better be limitations on
11 the use of iclaprim, were it approved? Please vote yes
12 or no. The lights are flashing. Any changes? The
13 vote is locked in. The results are yes, 15; no, 2; one
14 abstention.

15 Dr. Steckelberg, you had the last word on the
16 first question and the first word on the last question.

17 JAMES STECKELBERG: Well the primary -- two
18 primary areas of concern would be microbiologically,
19 and I'm not sure, for instance in the labeling where
20 enterococci would fit, but I would have concern about
21 that based on the physiology and the small numbers.
22 And then I also have concern about streptococci.

Capital Reporting Company

1 BARTH RELLER: Dr. Cross.

2 ALAN CROSS: I voted no because I thought that
3 while we reviewed the toxicology fairly thoroughly, I
4 did not think that this drug represented a more toxic
5 drug than others that we have discussed. And if we
6 were to accept this based on its efficacy then I
7 wouldn't see any further restrictions. I'd like to see
8 more data on some of the microbiology. Our last two
9 days I've been puzzled by the lack of efficacy against
10 Group B strep and strep pyogenes. But leaving that
11 aside, if one started with the premise that they showed
12 efficacy then I don't see any further restrictions
13 would be required.

14 BARTH RELLER: Dr. Hilton.

15 JOAN HILTON: My understanding is that most of
16 the serious adverse events and deaths that were in
17 balance between the two arms were due to patients
18 underlying conditions. But I did hear a lot of concern
19 about QT coming from the clinicians on the panel. And
20 so I was -- I didn't feel that I was in the best
21 position to make this choice, so I abstained.

22 BARTH RELLER: Mr. Levin.

Capital Reporting Company

Page 164

1 ARTHUR LEVIN: So I voted yes. In sort of
2 just thinking about the comments from the public
3 session that I would think that if this drug were
4 approved that the indication should be for use where
5 patients are refractory or where other drugs are
6 contraindicated, so it's the Allen wrench.

7 BARTH RELLER: Dr. Weinstein.

8 MELVIN WEINSTEIN: I voted yes and I had the
9 same concerns that Dr. Steckelberg had about
10 enterococci and Group A strep.

11 BARTH RELLER: Dr. Follmann.

12 DEAN FOLLMANN: I voted yes. I guess the
13 reason is that I would think you would prefer to use
14 linezolid first if you were considering either of these
15 two antibiotics.

16 BARTH RELLER: Dr. Gutierrez:

17 KATHLEEN GUTIERREZ: I voted yes for the
18 microbiologic reasons of not enough information on
19 strep and enterococci.

20 BARTH RELLER: Dr. Kauffman.

21 CAROL KAUFFMAN: I voted yes again for the
22 same reason that I think the data with streptococci

Capital Reporting Company

Page 165

1 weren't very strong. And I would think there would be
2 some limits put on it in terms of patients with -- on
3 other drugs that influence QT or patients who have long
4 QT syndromes.

5 BARTH RELLER: Dr. Weidermann.

6 BERNHARD WEIDERMANN: I voted yes as well and
7 basically it would be -- if it were approved it would
8 be for staphylococcal complicated skin and skin
9 structure infections and probably leaving out the
10 abscesses, although we didn't really get a thorough
11 look in all classes about excluding abscesses; and then
12 some obvious concern for use in pregnancy and some kind
13 of warnings for the usual QTc issues.

14 BARTH RELLER: Dr. Reller. Yes, the same
15 comments that Dr. Kauffman and previous people have
16 made about streptococci and enterococci, just to
17 emphasize the point for the record; and some kind of
18 delineation of the importance of the QTc interval. Dr.
19 Katona.

20 PETER KATONA: Since my name wasn't up there
21 I'll just go right into the explanation instead of
22 telling you how I voted. I'm not a big fan of

1 limitations, I mean I think that the more restrictions
2 you put on something the more complex our job becomes.
3 And there are off label uses for things automatically
4 when something gets approved. So I'm not a big
5 believer in putting limitations, with the understanding
6 that there are QTc issues here and there are strep
7 pyogenes issues here but those are more subjective than
8 objective in terms of how you phrase a limitation and
9 its use.

10 BARTH RELLER: Dr. Alston.

11 KEMPER ALSTON: I voted no, and I have no
12 further comments.

13 BARTH RELLER: Dr. Goetz.

14 MATTHEW GOETZ: I voted yes. My concerns are,
15 as has been previously elucidated regarding -- by
16 others, the cellulitis issues, the microbiological
17 issues with Group A streptococci and enterococci. As I
18 think about how the drug might possibly be used,
19 looking at the protocol I felt that there was not a
20 demonstration of efficacy for complicated skin, soft
21 tissue infections as a whole. But for the subset of
22 staphylococcal infections there might -- complicated

1 skin and soft tissue infections due to staphylococci
2 there might be an indication there. There should be
3 some guidance about use of the drug in people with
4 prolonged QT syndrome or medications of concern in that
5 regard.

6 BARTH RELLER: Dr. Fleming.

7 THOMAS FLEMING: I voted yes. And I agree
8 with comments that have been made before that there
9 should be limitations in patients with long QT
10 syndromes and where there's co-administration with
11 drugs known to be prolonging QT syndrome. I do think
12 there should be limitations to its use in refractory
13 patients where treatments like linezolid would be
14 contraindicated.

15 BARTH RELLER: Dr. Leggett.

16 JAMES LEGGETT: My thoughts echo those of
17 people who've spoken previously.

18 BARTH RELLER: Dr. Bennett.

19 JOHN BENNETT: I'm reminded of when you're
20 consulted by a surgeon about a wound infection and you
21 say, I wouldn't give this patient antibiotics and they
22 say, but if you did what antibiotic would you use? So

1 I have said that I didn't think this drug should be
2 marketed. So now you say if it was marketed how would
3 I label it.

4 And one of the things -- there are two things
5 that I would be concerned about. One is there are
6 strains of staphylococci, as shown on the sponsor's 17
7 and 18, that are resistant to 8, 16, 32, micrograms per
8 milliliter so I might have said for susceptible strains
9 of staphylococci in the labeling, not assuming that
10 they're all susceptible.

11 The other is I'm not so certain that C is the
12 adequate (inaudible) category, it might be X. Why?
13 Because we have drugs of better known efficacy and
14 safety. And so would you choose this if there was
15 another drug? And I think no. So my understanding is
16 that's the difference between X and C. So I don't have
17 the answer to that, but I think that would deserve some
18 more thought.

19 BARTH RELLER: Dr. Lesar.

20 TIMOTHY LESAR: I voted yes for many of the
21 same reasons. Again, the issues related to the
22 relative efficacy of this drug compared to other agents

1 and also related to the potential adverse events,
2 particularly during infusions in a sick population on
3 multiple drugs.

4 BARTH RELER: Dr. Nelson.

5 LEWIS NELSON: Yeah, I won't comment on the
6 microbiology, but I will stick to my original safety
7 issues which includes, I think, that until it's much
8 more clearly defined patients should have
9 electrocardiograms before they start therapy and daily
10 at least until therapy is ended with some criteria for
11 when to stop the drug. I think liver function study
12 should be monitored until we have more information as
13 well.

14 I think the use in pregnancy categorization is
15 very important. And I think we should, at the
16 beginning, either contraindicate the drug in pregnant
17 women or require -- at least require pregnancy testing
18 prior to the start of therapy. And I think that we
19 really need to get a better handle on potential drug
20 interactions, knowing that it's a 3A-4 inhibitor means
21 that there's going to be a host of drug interactions,
22 most of which are well described already and need to be

Capital Reporting Company

1 laid out very clearly before the drug is given to
2 anybody.

3 BARTH RELLER: Dr. Septimus.

4 EDWARD SEPTIMUS: I voted yes for the reasons
5 discussed by Dr. Kauffman and Dr. Reller. I have
6 little else to add.

7 BARTH RELLER: Dr. Cox. I think the committee
8 has given a clear sense, with exposition, on their
9 views on the two questions that you posed. Do you have
10 any further comments before we conclude the meeting?

11 EDWARD COX: Thank you Dr. Reller and thanks
12 to the committee. And I wanted to also give Dr.
13 Laessig a chance to thank everybody. I think it's been
14 a very productive two and a half days and we're very
15 grateful.

16 KATHERINE LAESSIG: Yes, congratulations on
17 making it to the end of 26.2 miles. We're sorry we
18 don't actually have any medals for you, but at least
19 you get to go home now.

20 But seriously, it was really invaluable. The
21 discussion has been very thorough and thoughtful and
22 you've really provided us with some excellent advice.

Capital Reporting Company

Page 171

1 And I think it identified some issues that perhaps we
2 hadn't thought about enough. So we will certainly take
3 this back and consider it in depth.

4 So have a safe trip home. Thank you.

5 BARTH RELLER: I have one last request for Dr.
6 Katona, for the record, from our designated federal
7 official. Your vote on question two, since your
8 monitor was not working? No, Dr. Katona is registered
9 as a no.

10 (Off microphone comment)

11 BARTH RELLER: Excuse me? Question one also.
12 Two nos. Dr. Katona no on number one, no on number two.
13 Please revise the final summaries accordingly for the
14 record.

15 Thank you very much. It is exactly 12 noon.

16 * * * *

17

18

19

20

21

22

CERTIFICATE OF COURT REPORTER

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

Capital Reporting Company

Page 172

1 I, NATALIA KORNILOVA, the officer before whom
2 the foregoing was taken, do hereby certify that the
3 following was taken by me by audio recording and
4 thereafter reduced to typewriting under my
5 direction; that said transcript is a true record of
6 the recording taken by me; that I am neither counsel
7 for, related to, nor employed by any of the parties
8 to the action in which this deposition was taken; and,
9 further, that I am not a relative or employee of any
10 counsel or attorney employed by the parties hereto,
11 nor financially or otherwise interested in the outcome
12 of this action.

13

14

15

NATALIA KORNILOVA

16

Notary Public in and for the

17

State of Maryland

18

19

20

21

22