

1 London, where Dr. Miller reviewed the scans at the end of  
2 the study.

3 DR. GRUNDMAN: And this was done at the end of the  
4 study.

5 DR. GHALIE: Right.

6 DR. GRUNDMAN: And how was the order of those  
7 scans given to the rater? Was there some sort of deliberate  
8 randomization process, or were they just given in order of  
9 subject number?

10 DR. GHALIE: They were sent in two batches. The  
11 first series was for about half of the patients enrolled in  
12 the study, and the other series was for the other half. And  
13 they were all analyzed at the end of the study. And again,  
14 the patients were identified only by their initials or a  
15 coding system, not by what treatment arm they were in.

16 In the efficacy results I will present to you  
17 today, we are going to focus on Month 6 at the end of the  
18 study as the primary comparison for some of the p values--  
19 but in fact I will present all the data, so you can look at  
20 all the data.

21 [Slide.]

22 I will present first, then, data on patient  
23 disposition and demographics. Forty-four patients were  
24 randomized onto the randomized part of the study. Two  
25 patients, one from each group, withdrew from the study after

1 the first course of therapy and before they underwent their  
2 first MRI evaluation. Therefore, these patients did not  
3 have efficacy evaluation available.

4           Thus, 42 patients of these 44 are evaluable for  
5 efficacy, 21 in each of the two groups. Of these 42  
6 patients, 37 completed the 6 months of treatment and all  
7 associated procedures. All 21 patients were randomized to  
8 mitoxantrone plus methylprednisolone, and 16 patients were  
9 randomized to methylprednisolone alone. Five patients were  
10 randomized to methylprednisolone who discontinued treatment  
11 and withdrew from the study before completing the six  
12 courses of treatment. They withdrew at Month 4 or Month 5.  
13 All of these five patients withdrew due to lack of efficacy  
14 and symptomatic and neurologic deterioration.

15           [Slide.]

16           There is no difference in demographics at baseline  
17 between the two groups.

18           DR. GILMAN: Excuse me for one second.

19           Dr. Kawas?

20           DR. KAWAS: Just a quick question. The patients  
21 who withdraw from the prednisolone group because of lack of  
22 efficacy--did they know that they were in the controlled  
23 group, essentially?

24           DR. GHALIE: Yes, indeed. These patients were not  
25 blinded, because there was a group that was receiving the

1 blue chemotherapy and methylprednisolone, and the other  
2 group methylprednisolone. So here, the patients and the  
3 physicians were unmasked, so they knew which treatment.  
4 However, when they withdrew from study, there was clear  
5 evidence that they had a high number of relapse, and EDSS  
6 worsening, and therefore, the physicians treating these  
7 patients decided it was time to withdraw them from study.  
8 We have data on these five patients.

9 DR. KAWAS: Thank you.

10 DR. GHALIE: Again, these are the baseline  
11 demographics that show there was no significant difference  
12 between the two groups at baseline. About 80 percent of the  
13 patients were considered retrospectively as having  
14 relapsing remitting multiple sclerosis based on the fact  
15 that at the time of enrollment, they had at least two  
16 relapses in the preceding 12 months. These patients, even  
17 though they had relapse and were considered relapsing  
18 remitting, also had progression of their EDSS as well. The  
19 other 20 percent of the patients were considered to have  
20 secondary progressive MS because their reason for being  
21 enrolled in the study was a 2-point EDSS progression in the  
22 preceding 12 months.

23 A typical patient enrolled in the study was a 31-  
24 year-old patient who had a disease history of about 6 years.  
25 The patient population as a whole had an average of three

1 relapses in the year preceding enrollment, and the mean  
2 baseline EDSS was 4.5, which, as in the Phase III study,  
3 represents a disability severe enough to impair full-day  
4 activity.

5 [Slide.]

6 I will now turn to the efficacy endpoints of the  
7 study, beginning with the primary efficacy endpoint. Again,  
8 that is the number of patients with new gadolinium-enhancing  
9 lesions during the 6 months of treatment.

10 Fewer patients in the mitoxantrone group had new  
11 gadolinium-enhancing lesions over time. This became  
12 evident, as you can see on this slide, at Month 2 and  
13 persisted for the remaining period of their treatment.

14 [Slide.]

15 If we look now at Month 6, two of 21 patients  
16 randomized to mitoxantrone plus methylprednisolone, which is  
17 10 percent of the patients, here in yellow, had new  
18 gadolinium-enhancing lesions compared to previous months,  
19 compared to 11 of the 16 patients randomized to  
20 methylprednisolone who were still on study. That is 69  
21 percent of the patients.

22 This difference represents an 86 percent decrease  
23 in the number of patients with new gadolinium-enhancing  
24 lesions at Month 6, and the p-value for Month 6 is presented  
25 here as p equals 0.001.

1 [Slide.]

2 If we turn to the secondary MRI endpoint, which is  
3 the mean number of new gadolinium-enhancing lesions, again,  
4 one can see that in the mitoxantrone group, it steadily  
5 declined from Month 1 to Month 6, in yellow. In the placebo  
6 group, it was more or less unchanged. And again, if one  
7 looks at the p-value at Month 6 for the mean number of new  
8 lesions, p equals 0.001.

9 Again, this is a primary and secondary endpoint of  
10 MRI done by masked evaluators.

11 Dr. WOLINSKY: Can you tell me--usually, when one  
12 does a study like this, somehow or another, one or more  
13 scans don't get done that should have been done. So how  
14 much of the data are we looking at? Did you actually have  
15 all of it? And the other question which goes along with  
16 that is for those patients who withdrew, I assume there was  
17 no last observation carried forward, and if there was a  
18 missing scan, was there a last observation carried forward  
19 or not?

20 DR. GHALIE: To the first question, for this  
21 study, we have data for every cycle where the patient was on  
22 study, so it is really a full database for all the months  
23 where a patient went on study.

24 To question number two, what did we do for those  
25 five patients who dropped out--we did both analyses. The

1 analysis I presented to you today is having the patient with  
2 last value available presented, and for the month where they  
3 withdrew, they were not included in the presentation.

4 That's the most conservative way.

5 If we included now for these patients last value  
6 carried forward, the difference becomes even larger, because  
7 those patients were getting worse when they withdrew. But  
8 we haven't presented that. The data is available, and  
9 again, it shows even more significant difference.

10 DR. WOLINSKY: You don't know whether they would  
11 have gotten worse--they would have gotten worse clinically,  
12 but you don't know what the MR showed.

13 DR. GILMAN: They withdrew indeed because  
14 clinically, they were getting worse--correct--it was felt at  
15 that time that one could not keep them on treatment with  
16 worsening EDSS--

17 DR. WOLINSKY: I understand, but you can't make  
18 any assumption about the MR changes, because they weren't  
19 done.

20 DR. GHALIE: Right. And this is why we felt the  
21 most appropriate evaluation to show was for those patient  
22 with the MRI data available.

23 [Slide.]

24 Now I will turn to the clinical evaluation in the  
25 study, beginning with EDSS. In the mitoxantrone group, the

1 mean EDSS score improved by 1.1 point, from baseline at  
2 Month 0 to Month 6. Mean EDSS remained more or less  
3 unchanged in patients randomized to methylprednisolone. And  
4 again, I would like to add what I mentioned before, that  
5 patients who withdrew in Months 4 and 5 in the  
6 methylprednisolone group, that data is not included here as  
7 last value carried forward, so it is exactly the patients  
8 who remained on study who are shown here.

9 The p-value for this comparison at Month 6 is  
10 equal to 0.013.

11 DR. GILMAN: Let me ask a question about that--go  
12 ahead, Dr. Van Belle.

13 DR. VAN BELLE: Clearly, one issue is whether the  
14 clinical scores are correlated at all with the physiological  
15 scores. Did you do any correlation at all of the EDSS with  
16 such things as the number of lesions?

17 DR. GHALIE: We did in fact two analyses, and one  
18 of them was for each patient--and there are 42 patients  
19 here--a correlation on individual patients between the  
20 number of gadolinium-enhancing lesions--so any gadolinium-  
21 enhancing lesion--and their EDSS score at that month. So  
22 for each patient, we had a correlation for that series of  
23 values. And then, we did the average for these 42 patients,  
24 and we compared that between the two groups. There was a  
25 correlation between EDSS value and the number of new

1 lesions, and this is available on a slide if you would like  
2 to look at it.

3 We also looked at another analysis, a much simpler  
4 analysis--and I recognize that with a small number of  
5 patients, it is hard to draw a conclusion, but at least we  
6 looked at it--where we looked at patients who had EDSS  
7 worsening by one point or less, and whether they had  
8 gadolinium-enhancing lesions or not. We did this table, and  
9 again, with a small number of patients, it is hard to draw  
10 any conclusion, but that data is available if you wish to  
11 look at it.

12 DR. GILMAN: I wonder if you would address the  
13 question that Dr. Katz raised, which is--as I recall the  
14 numbers, 15 of these patients had relapsing remitting, and 6  
15 had progressive MS--how does that play with your request  
16 that the drug be approved for progressive multiple  
17 sclerosis?

18 DR. GHALIE: Really, what we are looking at in  
19 this study and when the study was designed, the rationale  
20 behind designing the study as it was designed was to take  
21 patients who really had very active disease and to be able  
22 to treat them with the treatment and see very quickly  
23 whether these patients had any effect with mitoxantrone.  
24 Therefore, they elected to take patients who had very active  
25 diseases, and therefore, patients who had a lot of relapses

1 were more readily available to enroll in that study.

2 In fact, that had to triage 85 patients to end up  
3 with 44 patients who were randomized and 42 who were  
4 evaluable.

5 Now, to answer your question specifically, the  
6 majority of the patients who were called relapsing remitting  
7 also had EDSS worsening. It is not like their EDSS was  
8 stable over the preceding year. Clearly, patients who had  
9 secondary progressive, which was 20 percent of the patients,  
10 had at least a 2-point EDSS progression.

11 DR. GILMAN: Wait, now--are you saying that these  
12 were remitting progressive patients, or that they were  
13 relapsing remitting, some of whom had progression?

14 DR. GHALIE: They were really defined as  
15 relapsing. Again, this study--just to make a small  
16 parenthesis--was also designed before the new classification  
17 of MS in 1996, so the patients were defined as having a  
18 number of relapses of at least two, or EDSS progression of  
19 at least 2 points.

20 DR. GILMAN: That still doesn't address the  
21 question. The question specifically is whether the 15  
22 patients who were defined as relapsing remitting did have  
23 progression month-to-month. In other words, in the current  
24 classification, were they remitting progressive?

25 DR. GHALIE: These patients were defined, again,

1 based on their relapse, but they again had some progression  
2 of disability. Dr. Edan, who was the study chair, would  
3 also like to comment on that, please.

4 DR. EDAN: We studied relapsing patients at the  
5 very bad period of their disease. These patients were  
6 selected as having at least two relapses within the previous  
7 12 months, relapses with sequelae, which means worsening of  
8 EDSS during that time, or secondary progressive patients  
9 with an increase of at least 2 points EDSS, whatever the  
10 number of relapses during this 12-month period.

11 So the way to select the patients was really to--  
12 all the patients started their disease with relapses, and we  
13 selected a group of relapsing patients at a very bad period  
14 of their disease. They were in at least two different  
15 situations. Either they had at least two relapses with  
16 sequelae within the previous 12 months, or they moved to  
17 secondary progressive with rapid worsening of EDSS.

18 DR. GILMAN: Well, I am still struggling to  
19 understand this. You had 15 cases that had relapsing  
20 remitting disease. Which number of this 15 had what in  
21 current terminology would be relapsing progressive disease?

22 DR. EDAN: They were relapsing remitting disease  
23 with worsening of EDSS after the relapse within these 12  
24 months.

25 DR. GILMAN: But subsequently, did their EDSS

1 return to its previous level?

2 DR. EDAN: No--they had an increase of their EDSS  
3 during that period compared with the 12 months before, for  
4 most of the patients.

5 DR. WOLINSKY: Probably Dr. Lublin will help us  
6 with our confusion later, Sid, but I think we are going to  
7 stay confused on this forever.

8 DR. WEINER: I would just make a point. I think  
9 there is this transition period in MS where people are  
10 moving from many relapses into the secondary progressive  
11 stage, and I think this is an important point that we will  
12 discuss later, because you have people who might be called  
13 secondary progressive who aren't really having relapses, who  
14 are slowly progressing, and the issue is whether those  
15 patients are different from the patients who are having  
16 relapses. Also on MRI scan, the patients who enter the  
17 secondary progressive stage and are slowly progressing do  
18 not have as many MRI-enhancing lesions, and I think this  
19 will become an important point in terms of if the drug is  
20 approved, what is it really approved for in terms of which  
21 patients are going to be treated. But I would also echo  
22 what Jerry said, and Fred has struggled with this--the MS  
23 Society has tried to segregate into different categories,  
24 but it may not be that possible.

25 But I guess if we were to ask--and we could ask

1 the presenters or whatever--if you had to classify the  
2 patients in this trial as either relapsing remitting or  
3 secondary progressive, would they be more in the relapsing  
4 remitting category or would they be secondary progressive?

5 DR. EDAN: They would be relapsing remitting MS.

6 DR. GHALIE: Eighty percent are the relapsing  
7 remitting.

8 DR. EDAN: Eighty percent, correct.

9 DR. GILMAN: So we have to remain with the  
10 numbers, that 15 of these 21 patients were relapsing  
11 remitting, and 6 were progressive; correct?

12 DR. EDAN: Yes.

13 DR. GILMAN: I think we have to take that series  
14 of numbers.

15 DR. GHALIE: I think Dr. Lublin, who was going to  
16 comment on that later, may also add a little bit. We can  
17 introduce that topic right now and then come back to it  
18 later as well.

19 DR. LUBLIN: Yes. When I do, I can go through the  
20 whole classification and tell you where I think these  
21 patients fit in, but I think that what Drs. Weiner and  
22 Wolinsky have said is essentially correct, and the  
23 conclusion is that those 15 patients are relapsing remitting  
24 patients. And then I'll show you how that fits in terms of  
25 the worsening and so on.

1 DR. GILMAN: Thank you.

2 Dr. Lipton?

3 DR. LIPTON: Actually, I just wanted to take the  
4 presenter up on his offer to show us the relationship  
5 between the clinical measures and the MR measures.

6 DR. GHALIE: Okay. I would like to have Slide B-  
7 130, and then we will look at Slide B-131, which looks at  
8 the correlation.

9 [Slide.]

10 DR. GHALIE: Again, with these small numbers, it  
11 is hard to make a determination, but again, this is EDSS  
12 deterioration during these 6 months. Patients who did not  
13 have a deterioration, in the methylprednisolone group, 4 had  
14 negative scans, 11 had positive scans at month 6. For the  
15 mitoxantrone group, they did not have deterioration in EDSS  
16 during the 6-month period; 17 had negative scans, and 3 at  
17 some time had positive scans.

18 So the negative-negative seems to be a reasonably  
19 good correlation. When we look at positive-positive, 6  
20 patients in the methylprednisolone group worsened, and their  
21 scans were positive. Only one patient worsened, if you  
22 recall--actually, I will present the data in a moment; you  
23 haven't seen that--and this patient's scan was negative.

24 So again, this is a very small number, and it is  
25 hard to make a determination based on that, but I think

1 perhaps the clearer evaluation is when we did the  
2 correlation, which is actually the next slide, B-131.

3 [Slide.]

4 DR. GHALIE: As I mentioned, for each patient--  
5 now, we have more data--for each patient, we look at the  
6 correlation between their MRI results and their EDSS  
7 gadolinium-enhancing lesions, any type of lesions, and we  
8 then average these 42 patients for which we had data. The  
9 mean correlation for all the groups together was 0.21, and  
10 the p-value was significant. So in other words, when there  
11 was gadolinium-enhancing lesion, the EDSS seemed to be  
12 higher, and where there was no gadolinium-enhancing lesion,  
13 EDSS seemed to be lower.

14 DR. GILMAN: Dr. Katz?

15 DR. KATZ: Yes. I just want to remind the  
16 committee that the clinical data with which the MRI has been  
17 correlated is unblinded data.

18 The first slide you showed, the one prior to this,  
19 looked at the--

20 DR. GHALIE: B-130.

21 [Slide.]

22 DR. KATZ: I just want to make sure I read it  
23 correctly. It looked at patients who had a one-point  
24 deterioration--not more than one point, just one point--is  
25 that correct. The other question I had was, as in the first

1 trial, do we have data on what the nature of the relapses  
2 was in this? We apparently didn't have that data for the  
3 first trial. Do we know what the nature of the relapses was  
4 in this trial?

5 DR. GHALIE: This protocol also defined clearly  
6 the three categories of relapse, whether mild, moderate or  
7 severe, and also determined when to treat them.

8 DR. KATZ: I understand that, but I mean the  
9 actual relapses that occurred. Do we know--

10 DR. GHALIE: The numbers?

11 DR. KATZ: Well, beyond the numbers--do we know  
12 clinically what these people looked like? I gather we  
13 didn't have that information for the first study, and I am  
14 just wondering whether we have it--

15 DR. GHALIE: Maybe Dr. Edan would like to describe  
16 what was a typical patient with relapse in his study, but  
17 again, I can also show you the slide showing how it was  
18 defined in the protocol.

19 Dr. Edan, would you like to tell us in your study  
20 how you defined relapse and give us an idea about these  
21 patients' relapses?

22 DR. EDAN: We defined relapse according to the  
23 consequences of the relapse on daily life and the  
24 consequences on EDSS, and we separated mild, moderate, and  
25 severe groups according to these two criteria.

1 DR. KATZ: Do we know how many were in each  
2 category in this study? Again, I gather you didn't know  
3 that the first time around in the first study.

4 DR. EDAN: Yes, we should know that.

5 DR. GILMAN: Dr. Katz, what was your question?

6 DR. KATZ: Do we know--I mean, there is moderate,  
7 there is severe,, and there are different criteria for  
8 diagnosing--I am just wondering how many patients met what  
9 criteria, and how many were moderate, how many were severe,  
10 that sort of thing. I am just trying to get a sense of--  
11 again, because blind is an issue here--are we talking about  
12 minor changes that are potentially questionable, or are we  
13 talking about big league changes?

14 DR. EDAN: Only patients with moderate or severe  
15 relapses received methylprednisolone for 3 days. We have  
16 the exact number of patients who were treated with  
17 methylprednisolone in each group.

18 DR. KATZ: I don't want to beat a dead horse, but  
19 in the first study, there were at least two criteria by  
20 which a severe relapse could be diagnosed--either a new  
21 lesion with an increase of at least 2 points in the Kurtzke,  
22 or a worsening by only one point in a previously existing  
23 sign. And again, we didn't know the breakdown of which  
24 relapses met which definition in the first study, and I'm  
25 just trying to get a handle on whether we have that sort of

1 data for this study or whether we do not.

2 DR. EDAN: Our goal was not to treat patients with  
3 light relapses, so the physician had to measure the  
4 consequences of the relapse on daily life and on EDSS, and  
5 only if they considered that relapse had consequences on  
6 daily life, with a significant change in EDSS, did he decide  
7 to treat the patient. We have this data in the publication.

8 DR. GHALIE: Slide B-135 in fact describes the  
9 number of patients who received corticosteroid for the  
10 relapse, as well as the number of corticosteroid-treated  
11 relapses. So if one follows what Dr. Edan said, they  
12 treated only patients who in their opinion had a severe  
13 relapse.

14 This is for the methylprednisolone group. Eleven  
15 patients received corticosteroid, and they received 21  
16 courses. In the mitoxantrone group, six patients received  
17 corticosteroid--and again, this was during the 6-month  
18 period--for a total of seven courses. So one patient had  
19 two courses.

20 So that addresses a little bit your question about  
21 what was the severity and the degree of relapse in this  
22 patient population, and these are the corticosteroid-treated  
23 relapses.

24 DR. GILMAN: Dr. Wolinsky?

25 DR. WOLINSKY: If I could ask a couple more

1 questions about these relapses, especially when patients are  
2 seen monthly--I guess I would like to know how many of these  
3 relapses occurred between visits, and how many of them wound  
4 up being defined at visits and whether or not the  
5 neurologist who was declaring these relapses and deciding  
6 whether or not to treat them was making reference to the  
7 MRIs which were done on these visits.

8 DR. GHALIE: Dr. Edan, please answer.

9 DR. EDAN: We had some patients who had their  
10 relapses at the time of the MRI, but for practical reasons,  
11 it was impossible to change the date of the MRI. So we  
12 performed the MRI even if the patient had received  
13 methylprednisolone 2 or 3 days before. And remember that  
14 most of the patients were in the group with  
15 methylprednisolone, so this should have improved the results  
16 in the group with methylprednisolone, as we know that  
17 methylprednisolone the day of the injection did decrease the  
18 number of lesions if the MRI is performed at the same time  
19 as methylprednisolone.

20 So doing so did not break down the validity of the  
21 MRI analysis--on the contrary.

22 DR. WOLINSKY: The question actually was a little  
23 bit different, and that is did the physician who was  
24 declaring a relapse have access to the MRIs which were done,  
25 and did that influence whether or not a relapse was

1 declared?

2 DR. EDAN: No. He didn't have access to the MRI  
3 data. He decided only on a clinical basis.

4 DR. WOLINSKY: So that the MRIs were kept from the  
5 investigators at the site and just shipped off to London; is  
6 that correct?

7 DR. EDAN: Yes.

8 DR. WOLINSKY: Thank you.

9 DR. GHALIE: I would like to continue the  
10 presentation.

11 [Slide.]

12 The next slide looks at another measures that  
13 physicians like to see for EDSS deterioration, which is they  
14 categorized one-point EDSS change as to whether it improved,  
15 remained stable, or worsened by one point or more.

16 [Slide.]

17 Again, there was a difference seen between  
18 patients randomized to mitoxantrone plus methylprednisolone  
19 compared to the control group. One patient on mitoxantrone  
20 worsened by one point during this time period versus six on  
21 methylprednisolone. This is the p-value.

22 If we look now at patients who had improved EDSS  
23 by one point during the 6-month period, again, we see a  
24 significant difference between the two groups, 57 percent  
25 versus 14 percent.

1 [Slide.]

2 And to come back now to the issue of relapse  
3 assessment which we already approached earlier, here again,  
4 one can show a significant difference with mitoxantrone plus  
5 methylprednisolone, compared to methylprednisolone alone,  
6 and that is looking at the annualized relapse rate. Here, I  
7 have put the baseline in the first row to compare, so you  
8 can look at how it was at entry, and then the annualized  
9 relapse rate on study, which is the second row, and you can  
10 see that there is a significant difference between the two  
11 groups. The annualized relapse rate dropped in the patients  
12 with mitoxantrone from a 3.1 mean relapse rate per year in  
13 the year preceding enrollment to 0.7 for the year they were  
14 on study--rather, for the 6 months on study--whereas it was  
15 unchanged for methylprednisolone. And the p-value here is a  
16 comparison between the two groups, 0.7 versus 3.0.

17 And if we look at patients who remained free of  
18 relapse during this 6-month period of study, again, there  
19 were 67 percent of patients randomized to mitoxantrone  
20 versus 33 randomized to placebo, a doubling of the  
21 proportion, and the p-value was also significant.

22 [Slide.]

23 So in conclusion, this study, Study 902, shows  
24 that mitoxantrone plus methylprednisolone given every month  
25 for 6 months significantly reduced gadolinium-enhancing

1 lesions at monthly MRI scans; slowed neurologic impairment,  
2 as seen by EDSS evaluation; and decreased the relapse rate.  
3 This is in patients with highly active or rapidly  
4 deteriorating multiple sclerosis.

5 I would now like to turn to the safety data on the  
6 use of mitoxantrone for patients with multiple sclerosis.

7 DR. GILMAN: I wonder if you would handle a few  
8 questions now related to the data you have just covered for  
9 the two studies, 02 and 01.

10 DR. GHALIE: Certainly.

11 DR. GILMAN: Dr. Katz asked whether you had  
12 actually shown a change in basic progression of the  
13 disorder, and he made the point in his narrative that if  
14 there were a change in progression, to evaluate this, you  
15 would have to look at the patients after they had stopped  
16 the medication and look to see whether the group that was on  
17 drug returned to the level of the placebo-treated group or  
18 whether they formed parallel measures over time.

19 Would you address that question?

20 DR. GHALIE: I will begin with Study 902 and say  
21 that the data is not available. So let's go to Study 901,  
22 which is our Phase III study.

23 During the 2 years on treatment, clearly, patients  
24 who were on mitoxantrone had less EDSS progression and the  
25 other Ambulation Index. But we also have data on Month 36.

1 Almost all patients who completed Year 2 ended up coming at  
2 Year 3 for their evaluation, and as I have shown you on that  
3 slide, patients who were randomized on placebo still had  
4 less EDSS progression between baseline and Month 36 than  
5 patients who were randomized to placebo. And that was true  
6 for the EDSS, the Ambulation Index, and the SNS scores. We  
7 can come back to that slide, if you wish, to make the point.

8 DR. GILMAN: Please do.

9 DR. GHALIE: That is Slide M-43.

10 [Slide.]

11 DR. GILMAN: Dr. Katz has another question.

12 DR. KATZ: Just two points about that. One, in  
13 the design that you are talking about and that I have  
14 mentioned, as you point out, in order for a true effect on  
15 progression, at least by this paradigm, you would have to  
16 maintain that parallelism that you talked about. The  
17 question here, as we will see, is whether they were still  
18 different at 36 months or whether they were approaching each  
19 other. In other words, were they still as different as they  
20 were at the end of the double-blind. That is number one.

21 Number two, again, I will remind the committee  
22 that the Month 36 data are unblinded--I believe that's what  
23 were told--so that should be taken into consideration.

24 DR. GILMAN: Dr. Temple.

25 DR. TEMPLE: I just want to comment a little

1 further on that issue. This comes up in cardiovascular  
2 evaluations also, where people would like to treat a  
3 condition like heart failure with a drug like an ACE  
4 inhibitor and find improvement over a period of time, that  
5 is, find that some measurement of heart failure is better at  
6 the end of a period of time, and suggest that there has been  
7 a change in the heart. We have generally said, well, that's  
8 nice, but to show that there has been a change in the heart,  
9 you need to have people off therapy, because we already know  
10 that ACE inhibits treat symptoms. So the fact that you are  
11 better at that period could mean that you are treating the  
12 symptoms; it doesn't necessarily mean you have changed the  
13 heart.

14           So in response to that, there is a trial of people  
15 who have had a heart attack who were given an ACE inhibitor  
16 for 6 weeks and were then evaluated at 6 months--that is  
17 called the Gissey II [ph.] trial--and it makes the case that  
18 ACE inhibitors given early really do have an anatomical  
19 effect on the heart.

20           But it seems worth pointing out that that issue  
21 arises when the drug in question has both a potential claim  
22 for changing the underlying disease and also has the  
23 potential for symptomatic improvement. So that, for example,  
24 in Alzheimer's disease, we have been very rigorous in saying  
25 that before you want to claim anything other than a

1 symptomatic benefit, like that we slow the rate of  
2 progression, you have to show what the results are off-  
3 therapy, and when that was done with Araccept [ph.], there  
4 was no effect off-therapy. People came back to the  
5 baseline.

6           It is just worth saying, without trying to  
7 prejudge the answer, that how worried you are about that  
8 depends a little bit on mechanism. So that if one used an  
9 antibiotic, for example, to treat coronary artery disease,  
10 it isn't too plausible that an antibiotic has an effect on  
11 angina other than on its effect on fundamental  
12 atherosclerosis. So the question is a little less forceful  
13 when the mechanism doesn't seem to have a potential for  
14 symptomatic benefit. Now, you have to make the judgment  
15 whether this mechanism has a potential for just improving  
16 symptoms transiently, but that does get factored into how  
17 important that question is, I think.

18           DR. GILMAN: Well, I think that clearly this drug  
19 would be expected to have a symptomatic effect based on what  
20 we know about the immunologic basis of multiple sclerosis  
21 and the effectiveness of other immunosuppressive agents.

22           Dr. Grotta was next, and then Dr. Weiner.

23           DR. GROTTA: Well, I guess this bears on this  
24 question, and that is whether the drug sort of has a  
25 nonspecific effect on MRI lesions. Maybe the oncologists

1 can tell us from the oncology experience in patients who  
2 have, say, brain metastasis in whom the drug is given. Is  
3 there an effect on the MRI scan, or has that ever been  
4 observed, or can we be convinced that the changes that we  
5 are seeing in the gadolinium-enhancing lesions are actually  
6 an effect on MS activity?

7 DR. SWAIN: I think that's a very good question,  
8 and I don't really know the answer. I actually tried to  
9 find out some of this information before I came, and one  
10 question I had was how much of the Mitoxantrone actually  
11 gets into the CNS and into intracerebral tumors, and the  
12 data is very minimal and it shows actually it doesn't get  
13 into the tumors very much; it is more in the surrounding  
14 brain tissue. And if there is not a disrupted blood brain  
15 barrier, it actually doesn't get in much at all. And I  
16 assume that in MS, you do have a disrupted blood brain  
17 barrier, so you would get drug in there, but I wanted to  
18 later have the company comment on that.

19 For Mitoxantrone particularly, I don't know of any  
20 data looking specifically at brain metastasis in a study.

21 DR. GHALIE: I would like to have Dr. Alberts  
22 comment on that, and then I will come back and answer your  
23 question, Dr. Swain, about the systemic effect versus the  
24 central nervous effect of Mitoxantrone.

25 Dr. Alberts?

1 DR. ALBERTS: I think Dr. Swain is on target here.  
2 The studies that we have suggest that Mitoxantrone does not  
3 get through the blood brain barrier to any extent. The  
4 effects on MS, on the other hand, are not related to the  
5 Mitoxantrone effects directly on the lesion; they are  
6 related to the immunologic effects that they are having.

7 So I don't think that we have any data to suggest  
8 that Mitoxantrone directly would affect lesions seen on MRI  
9 scan.

10 DR. GILMAN: But the lesions on the MR scan  
11 reflect breakdown of the blood brain barrier, so is there a  
12 possibility that the drug has an effect upon the vessels  
13 rather than the nervous system?

14 DR. ALBERTS: Well, I think we are in a very gray  
15 zone area of the pharmacology of Mitoxantrone and, for that  
16 matter, most of the antineoplastic drugs. I think the only  
17 real data that bear on this are looking at CNS levels or  
18 actually cerebrospinal fluid levels of Mitoxantrone after  
19 intravenous injection, and in fact, studies have not been  
20 able to determine levels or pick up levels of the drug in  
21 cerebrospinal fluid in cancer patients. I think that's the  
22 closest I can come to answering the question about whether  
23 it's really getting into the brain itself.

24 DR. GILMAN: Thank you.

25 Dr. Penn, then Dr. Weiner.

1 DR. PENN: I had a follow-up immunology question  
2 and then I had another question I wanted to raise. Is there  
3 any data in MS patients--and I know some of this was  
4 presented earlier--regarding levels of Interferon gamma or  
5 TNF or other regulatory-type cytokines, et cetera, in  
6 patients treated with Mitoxantrone in multiple sclerosis?  
7 Do we have any data on that?

8 DR. GHALIE: There are data available both in  
9 animal models and in patients. There is a lot of data from  
10 the models that were done in the seventies and eighties that  
11 show effect on cytokine, and there is data also on patients  
12 that shows a decrease in B-lymphocytes, a significant  
13 decrease in B-lymphocytes, to a lesser extent a decrease in  
14 T-lymphocytes, particularly T-helper lymphocyte, CD4. There  
15 is also data, although more limited--and Dr. Hartung is  
16 intending to do this in his own Phase III study--looking at  
17 cytokine, where there is also a decrease IL-2, Interferon  
18 gamma and TNF alpha.

19 DR. WEINER: So there is a decrease in IL-2,  
20 Interferon and TNF alpha. That was done in--

21 DR. GHALIE: In a small number of patients.

22 DR. WEINER: Another question that I have--if  
23 there is a shift--with some of the other chemotherapy drugs,  
24 for example, cyclophosphamide, where you have a decrease of  
25 Interferon gamma, et cetera, and you might have a shift more

1 toward a TH-2 or TH-3 response, in the bloodstream, there is  
2 actually an increased number of eosinophils which is seen on  
3 the white blood count which would indicate an immunologic  
4 effect. Was there a change in eocynofils [ph.], or was that  
5 looked at in MS patients treated with Mitoxantrone?

6 DR. GHALIE: We have no information on eocynofil  
7 [ph.] counts. That may be something we can look at later.

8 DR. WEINER: Okay. That was just a follow-up  
9 immunologic question. The other question I'd like to  
10 address is related to the 901 study, and it relates to page  
11 081 which was provided by Immunex to the committee, where  
12 analysis is done based on the type of MS. There, from the  
13 901 study, we have remitting progressive patients and  
14 secondary progressive patients, and they are broken out. I  
15 have a number of questions I'd like to ask.

16 First of all, based on the classification of  
17 patients as remitting progressive or secondary progressive,  
18 how many patients, then, in the 901 study were classified as  
19 relapsing remitting?

20 DR. GHALIE: Yes, that's something we addressed  
21 earlier in the morning. Remitting progressive are patients  
22 in that study defined as patients who have relapsing  
23 remitting disease with incomplete neurologic recovery after  
24 relapse. That represents about half of the patients  
25 enrolled in the study.

1 DR. WEINER: The other question I wanted to ask,  
2 and I don't know if any statistics are presented, is is  
3 there a statistical difference between the placebo and the  
4 Mitoxantrone 12 mg per meter squared in the secondary  
5 progressives when you compare placebo 23 versus Mitoxantrone  
6 15?

7 DR. GHALIE: With respect to which endpoint? I'm  
8 sorry.

9 DR. WEINER: Well, there are a number of endpoints  
10 listed--EDSS, AI--

11 DR. GHALIE: Okay, for the efficacy endpoint, no,  
12 there was no difference in baseline between the two groups.  
13 As far as treatment effect, again, we are talking about  
14 patient subsets here, and there were no significant  
15 differences, but there was a trend, as you can see, in favor  
16 of Mitoxantrone 12 mg per meter squared.

17 DR. WEINER: The last question I would like to ask  
18 is a very important issue in terms of patients with  
19 secondary progressive disease is that the longer they have  
20 secondary progressive disease, the less likely they may be  
21 to respond to immunomodulatory therapy, and if this is used  
22 in patients with secondary progressive disease, some  
23 patients who have had disease for long periods of time might  
24 be treated.

25 Do you have any data on the response in terms of

1 subgroups in terms of how long the patients were secondary  
2 progressive--in other words, those who were progressive for  
3 a year or two years versus those who might have been  
4 progressive for five years? Do you have any data on that?

5 DR. GHALIE: We have read, Dr. Weiner, your paper  
6 which was published in December 1999, and I knew that was  
7 going to come up as a question. The data was not collected,  
8 so unfortunately, I will not be able to answer whether there  
9 is a relationship between duration of the secondary  
10 progressive part of their disease. We have the duration of  
11 their disease overall, and here there was no relationship.  
12 But as far as the duration of secondary progressive, we  
13 don't have that information. That may be something that Dr.  
14 Hartung may have some information on.

15 DR. HARTUNG: Yes. This is obviously an important  
16 question bearing on the possible differentiation of  
17 differences between the various forms of progressive MS. We  
18 have tried to go back and look at the 14 patients enrolled  
19 in the Wurzburg [ph.] Center, and we found, if I remember  
20 correctly, that those patients classified as secondary  
21 progressive had disease for something between 1.5 and close  
22 to 4 years. We don't have information from all the centers,  
23 but I am trying to somehow retrospectively get that data.

24 DR. WEINER: Thank you.

25 DR. GILMAN: Dr. Penn, then Dr. Grotta.

1 DR. PENN: I just want to make sure that I am  
2 clear on a few points. I think the committee is going to  
3 have a lot of discussion about the claim of progression and  
4 whether your drug helps to avoid progression of the disease.  
5 Is the only data that supports that the 36-month data which  
6 you show on your Meyer-Kaplan curves? Is there anything  
7 else that we should consider other than mechanistic types of  
8 arguments that you don't have data for that we should take  
9 into account as we debate that point?

10 DR. GILMAN: Can we see that slide as you answer  
11 the question, please?

12 DR. GHALIE: The only data I can present to you  
13 today on a slide is this Month 36, and again, we can go back  
14 to that slide.

15 [Slide.]

16 DR. GHALIE: Again, I mentioned in the beginning  
17 for full disclosure that our protocol allowed physicians and  
18 patients to be unmasked to study drug during the third year  
19 of follow-up. That was the most conservative way, and in  
20 fact, in many centers, that was not done. In other words,  
21 patients and the EDSS disability assessor remained masked.  
22 But I can't add to that how many were.

23 So that's the only data we have for that study.  
24 We don't have follow-up beyond Month 36. In fact, this is  
25 happening these days beyond 36. So this data is not

1 available.

2           There are two other sets of information that are  
3 available that were not submitted to FDA, so I cannot  
4 present it to you as fact with a slide, but at least I can  
5 mention it to you.

6           There is one publication that I referenced very  
7 early in my presentation by Nili Firini [ph.] and  
8 collaborators. This is a study that was done in Italy  
9 published in 1997, a randomized trial with 57 patients with  
10 relapsing remitting disease who were randomized to receive  
11 Mitoxantrone or placebo. In that study, patients were given  
12 Mitoxantrone or placebo on a monthly basis for one year, and  
13 they had EDSS assessment relapse and MRI results. The  
14 patients were seen a year later at Month 24--and again, in  
15 this publication which is in the briefing document you have  
16 received--at Month 24, there was still a difference between  
17 the two groups in EDSS progression. Again, that is one year  
18 after stopping treatment. I don't have the raw data to  
19 share with you, but that was in the publication.

20           DR. KAWAS: Was that study masked?

21           DR. GHALIE: This was masked. It was a placebo,  
22 and the EDSS evaluator was masked; correct. It is in the  
23 paper.

24           DR. GILMAN: How do those scores compare with the  
25 status at Month 24--the SNS, the AI, the EDSS, for example?

1 DR. GHALIE: What I am hearing is that you would  
2 like to see what happened between Month 24 and Month 36.

3 DR. GILMAN: The differences between treated at 12  
4 mg per meter squared and placebo.

5 DR. GHALIE: It is Slide B-25.

6 [Slide.]

7 DR. GHALIE: Now, this is only in the year of  
8 treatment, Month 24, again in the three groups. For the  
9 SNS, Ambulation Index, and EDSS--and that is EDSS  
10 progression during the third year of treatment for those  
11 patients followed for a total of 3 years. That's the mean  
12 change also, not total EDSS.

13 DR. GILMAN: So there is essentially no change in  
14 EDSS between Months 24 and 36?

15 DR. GHALIE: They were relatively stable, correct,  
16 the three groups, here for EDSS, Ambulation Index, and then  
17 SNS was higher in the placebo.

18 Dr. Lublin has a comment here.

19 DR. LUBLIN: Thank you.

20 Let me alter the perspective. This is a  
21 relatively new definition of progression in the field of MS  
22 that Dr. Katz has posed. So what you are looking at is the  
23 very best data extant in any study done anywhere in MS that  
24 matches the criteria he is trying to put up.

25 The nature of this agent is such that none of us

1 would have thought of this as being a symptomatic therapy in  
2 the way we used symptomatic therapy, such as baclofen and  
3 tisadidine [ph.] and things that alter things. And the  
4 nature of EDSS is also such that we don't view it as a  
5 measure of symptoms in MS.

6           The thing that we get worried about that this  
7 panel has been worried about in the past is whether the  
8 change that we are seeing over time in EDSS is reflective of  
9 true alteration of the underlying disease or alteration of  
10 intercurrent relapses. And that's why we've used the  
11 sustained EDSS to try to take the issue of relapses out.  
12 But we have never thought of it in terms of disease coming  
13 back. That's something that we just don't expect to see,  
14 either with the measure of the EDSS in this type of disease  
15 and over this time period or with this type of agent.

16           DR. GHALIE: I would like to complete my answer to  
17 Dr. Penn. You had asked if there is other data out that I  
18 have not presented. In fact, there is also data from Dr.  
19 Edan's Study 902. In his own site, there were 17 patients  
20 enrolled in this study, half of whom were treated with  
21 Mitoxantrone, half with placebo. He had looked at these  
22 patients long-term now, obviously--these are his patients.  
23 Clearly, we can't present these data today because they were  
24 never submitted to the FDA, but Dr. Edan had shown us the  
25 data yesterday, and he had graphs on those where he showed

1 in these graphs that once he stopped treatment with  
2 Mitoxantrone--and again, it is 6-month treatment--up to 3  
3 years post-treatment for those patients who have 36-month  
4 follow-up, the EDSS was stable compared to what it was at  
5 the time he stopped treatment for patients with secondary  
6 progressive MS.

7 So there is some information accumulating  
8 suggesting that patients who stop Novantrone do not have a  
9 disease rebound; it stays where it is. How long this is  
10 going to persist will be addressed by following patients  
11 longer in the future.

12 DR. GILMAN: We have some questions now. First,  
13 Dr. Katz, then Dr. Wolinsky--were you through, Dick?

14 DR. PENN: I think we ought to discuss this topic  
15 as much as possible, and then I want to ask another question  
16 after that on a different topic.

17 DR. GILMAN: Let's stay with this topic, then.

18 Dr. Katz?

19 DR. KATZ: Again, I would just point out that all  
20 this post-treatment data when we're talking about rebound is  
21 unblinded data, so that has to be factored in.

22 Also, when we talk about progression or  
23 symptomatic, I am a little nervous when people talk about  
24 what their expectations are in terms of how the drug is  
25 working or what sort of effects it has based on what we

1 think we know about what it's doing, and what the EDSS  
2 reflects and what we think that reflects. That's why it is  
3 useful to have some sort of an operational definition of  
4 progression that you think makes sense, like this blinded  
5 withdrawal sort of phase, and see whether or not the disease  
6 returns under those conditions.

7           This way, we don't have to worry about the  
8 mechanism of action, because we don't really know, as was  
9 pointed out earlier, what the mechanism of action is.  
10 That's why I think we ought to--I mean, it's worth  
11 discussion, but I don't think it is absolutely germane to  
12 the question, because it is based on a whole bunch of  
13 assumptions for which we don't really know the truth.

14           DR. WOLINSKY: Maybe I got confused, particularly  
15 around these issues of what we are calling these patients,  
16 because I think you just referred to Dr. Edan's subset of  
17 patients in the French study as secondary progressive, when  
18 I was under the impression that he had referred to them as  
19 relapsing remitting, some with accumulating disability.  
20 This is not a small issue.

21           Now, as I look across these two studies, one of  
22 the things that strikes me, since one of the things that is  
23 in common is at least a baseline MRI, is that the group of  
24 patients selected to be included in the French study were,  
25 albeit enriched for the presence of an enhancement on one of

1 the two MRIs coming into the trial, they did what I would  
2 expect patients with relapsing remitting disease to do, that  
3 is, based on the clinical criteria, about half of them had  
4 an enhancement.

5           What strikes me in the other study--the  
6 multinational study--is that the enhancement frequency was  
7 only about 24 percent even though the patients were stated  
8 to be rather aggressive in their phase of disease. This  
9 surprises me. I would have thought it would have been  
10 closer to 40, 50 percent.

11           Again, trying to get a feel for what kind of  
12 patients we really want to hopefully approved these drugs  
13 for, what are the similarities and differences between the  
14 patients who were entered into these two trials in terms of  
15 type.

16           DR. GHALIE: Let me first address your first  
17 questions about Dr. Edan's patients. And again, I feel  
18 uncomfortable talking about this, because this is data which  
19 was not submitted to the agency, and we have not analyzed  
20 it. This is follow-up data that Dr. Edan-

21           DR. WOLINSKY: What they were when they started  
22 is submitted.

23           DR. GHALIE: No, no--I'm saying that in the data  
24 we looked at, he had data on patients who were classified as  
25 relapsing remitting, patients defined as primary

1 progressive, and patients defined as secondary progressive.  
2 So it is not only the patients in Study 902, it is the  
3 patients in his practice. And I don't want to talk any  
4 longer about that; I would like Dr. Edan to comment briefly  
5 about that. But I didn't want to give the impression that it  
6 is only 902. It is 902 plus other patients he has in his  
7 practice. And again, we don't have the data to show you,  
8 and I apologize for that; I think we could do that. But Dr.  
9 Edan, very briefly, if you could tell us.

10 DR. EDAN: Yes; it shows our experience. At the  
11 present time, in the MS clinical frame, we have the  
12 experience of 106 patients who were treated with  
13 Mitoxantrone monthly for 6 months. Our concern was to know  
14 what happened with these patients after the induction  
15 treatment, and we found 52 relapsing remitting patients; 35  
16 secondary progressive patients, and 19 primary progressive  
17 patients.

18 We had a follow-up period of 3 years, and what we  
19 observed openly is a clear-cut effect of Mitoxantrone during  
20 the induction treatment, allowing the patient to improve  
21 quite a lot the EDSS level, beneficial for the next 3 years.

22 But I have to confess that most of these patients  
23 were treated after the induction treatment also by  
24 immunosuppressive or immunomodulatory agents. They were  
25 treated by betaseron, they were treated by methyltrexate.

1 So just to tell you my experience with the effect of an  
2 induction treatment for 6 months, followed by less  
3 aggressive treatment, we have very clear-cut, good results  
4 for a 3-year period after this attitude.

5 DR. GILMAN: These were not blinded cases; you  
6 were not blinded?

7 DR. EDAN: No, no. It is our experience. It is  
8 not a study; it is the experience of my center.

9 DR. WOLINSKY: To follow up on that just a small  
10 amount, so in the patients in 902, who obviously didn't  
11 disappear from France at the end of the study--

12 DR. EDAN: No.

13 DR. WOLINSKY: --and even though this is  
14 anecdotal, and we don't have the same level of rigorous  
15 anecdote that we have from the multinational study, what  
16 happened to them?

17 DR. EDAN: Yes. We published that two years ago  
18 at a meeting in Stockholm. In the five French centers, we  
19 had two different attitudes. Half of the patients were then  
20 treated with Mitoxantrone every 3 months for 2 years, and  
21 another attitude was to give no treatment at all--that was  
22 for the patients included in the trial.

23 What we observed were quite similar results for  
24 the two groups of patients. It was the reason why some of  
25 the French centers decided not to continue to treat every 3

1 months with Mitoxantrone in that group of patients after  
2 that.

3 DR. WOLINSKY: That brings me back to a question I  
4 had before, which is what is the appropriate way to use  
5 Mitoxantrone in patients with, to use newer terms,  
6 transitional or very active MS.

7 DR. GHALIE: That is something that we would like  
8 indeed to discuss as I go along in our presentation. But in  
9 a capsule, what I am getting to is the two studies that I am  
10 presenting to you today, in our opinion, show that  
11 Mitoxantrone has an effect on patients with multiple  
12 sclerosis. These two studies were designed differently.  
13 The drug was given on a different schedule. But what we are  
14 seeing is an effect on EDSS progression as well as relapse  
15 and MRI.

16 And in our opinion, where we are proposing the use  
17 of Mitoxantrone, it is to slow neurologic disability in  
18 patients who have what we call progressive forms of multiple  
19 sclerosis. And I don't want to add a new classification to  
20 MS; that's what Dr. Lublin will address.

21 Really, it is, in our opinion, what was tested in  
22 the Phase III study--patients with secondary progressive MS  
23 or patients with relapsing remitting MS with accruing  
24 disability following relapses. In our opinion, that's where  
25 we had the strongest data about the role of Mitoxantrone,

1 and that's what we propose as an indication.

2 DR. GILMAN: Dr. Grundman first, then Dr. Grotta.

3 DR. GRUNDMAN: I think the question of dosing is  
4 actually a key question. If you look at Slide M-38 in the  
5 main presentation book, you can see that after 6 months of  
6 treatment with Mitoxantrone at 12 mg per meter squared, they  
7 perform no better than placebo.

8 Now, when you use the induction phase that was  
9 just described and which was performed in the 902 study,  
10 there was an effect, so kind of an interesting question,  
11 getting back to Dr. Wolinsky's point is what is the  
12 appropriate way to treat these patients, and I'm not sure we  
13 know.

14 DR. GHALIE: The data we have on the larger study,  
15 the randomized trial, the one that has the blinded EDSS  
16 evaluation, is the one where it was given every 3 months.  
17 And I am not sure I follow what you said, but the placebo  
18 patients are the ones in gray, so indeed, at the 3-month  
19 evaluation, which is only after one course, there was no  
20 difference between the two groups, but after that, the line  
21 separates.

22 DR. GRUNDMAN: I thought it said 6 months there.

23 DR. GHALIE: This is 3 months after one course;  
24 this is 6 months after two courses; and after that, the  
25 curves do separate, and the patients on placebo get worse,

1 and the patients on Mitoxantrone improve. So it could be  
2 that one needs one or two courses of Mitoxantrone for a  
3 population of patients with MS to separate. For individual  
4 patients, some of them may respond sooner; some may not  
5 respond. It is always hard to do on a mean result, but  
6 clearly, the curves separate at that point.

7 DR. GILMAN: Dr. Grotta, then Dr. Temple.

8 DR. GROTTA: Notwithstanding some of these  
9 confusions we have just been discussing, at least from my  
10 perspective, I am becoming convinced that there is some  
11 clinical effect here, but I need to come back to the MR  
12 data, because that's what you are presenting from the second  
13 study as confirmatory evidence, and I'm still not certain  
14 about this.

15 I am still bothered by the fact that the main  
16 outcome you were looking at in the MR studies were  
17 gadolinium enhancement, and it bothers me that these  
18 patients were getting monthly high-dose steroids in both  
19 groups and that that is not a nonspecific effect. So I  
20 would like to--and this reflects my own ignorance of what is  
21 the best thing to follow on MRI, but to me, when you see T2  
22 abnormalities, long-term differences, that might be somewhat  
23 convincing.

24 I noticed in Study 901 that while it wasn't  
25 significant--this is on your Slide M-50--there appeared to

1 be some changes in the T2-weighted lesion load in the first  
2 study, 901, and I wondered whether the same thing was looked  
3 at in Study 902 and whether any of those were looked at  
4 long-term even beyond the end of the dosing period and  
5 whether maybe you even combined the data from Study 901 and  
6 Study 902 to increase your numbers.

7 DR. GILMAN: As you point out, in Study 901, we  
8 present the T2-weighted lesion data, and there was at least  
9 a trend that one can see. In the Mitoxantrone group, they  
10 were stable, and in placebo, they were worsening.

11 I did not present the data for Study 902 because  
12 it was not written in the protocol as one of the secondary  
13 endpoints. However, it was done, and the look at the data  
14 was available to us. In fact, it is on Slide B-129. This  
15 is the data for T2-weighted lesions, and they are small  
16 lesions, and that changed in T2-weighted lesions at baseline  
17 versus Month 6 at the end of the study. And the lesions  
18 were classified as small, moderate, and large in size, and  
19 then, the combination of all these lesions.

20 If one looks at the change between--we have mean  
21 and median shown for you here--in the Mitoxantrone group,  
22 for the small lesion, it had increased by 0.6, and for the  
23 methylprednisolone, 1.7.

24 Now, if one looks at moderate to large lesions,  
25 the difference is more significant, and these are the p-

1 values.

2           Again, those are the data. I didn't want to  
3 present them up front because they were not part of the  
4 protocol-defined criteria, but clearly, that was looked at.

5           As far as your first question, or at least the  
6 introduction to your question, about the effect of  
7 methylprednisolone on MRI results, this is something that I  
8 would like to have our consultant comment on, but my first  
9 answer to that is that methylprednisolone may have an effect  
10 on MRI when it is given immediately before, within maybe a  
11 day or two prior to, doing the MRI. In this study, MRI was  
12 done, and then methylprednisolone was given. Then, the  
13 patients were scanned another month later. So any potential  
14 effect of methylprednisolone on MRI is not going to be a  
15 month later.

16           DR. GROTTA: I thought that that was what the  
17 protocol stated, that if the patients deteriorated or had a  
18 relapse during that month, the physician might have given  
19 them steroids for symptomatic treatment prior to their  
20 coming in for their MRI scan. I guess I'm just not--maybe  
21 it's just me--but I'm just not 100 percent certain that the  
22 gadolinium enhancement done monthly was that precise a  
23 measure of disease activity.

24           DR. GHALIE: Actually, I would like to follow your  
25 reasoning. Indeed, there are a few patients who may have

1 had methylprednisolone given to them prior to MRI, within a  
2 few days, but if you recall the data I presented to you,  
3 there were more patients in the methylprednisolone group who  
4 had relapse treated with corticosteroid. So if it is in any  
5 way going to impact the study results, it is going to be in  
6 favor of methylprednisolone. Again, this is an observation  
7 that one can think of.

8 DR. GILMAN: Dr. Temple, do you still have a  
9 question?

10 DR. TEMPLE: Yes. I just wanted to get back to  
11 the dose question. The second study does, as was pointed  
12 out, appear to show a neurologic difference as early as  
13 Month 1, and it raises the question of whether there is  
14 still more to explore about how best to use this agent.

15 You have evidence that you have provided that  
16 makes you believe, anyway, that the effect is long-lasting--  
17 that is, even after you stop the drug, you still see  
18 improvement relative to the untreated patients even in the  
19 year after treatment--now, that's not blinded; leaving aside  
20 those reservations. It really does raise a question of  
21 whether a loading dose approach in the long run is better  
22 and actually leads to more rapid benefit and perhaps even  
23 less toxicity in the long run. I mean, one doesn't really  
24 know until one looks.

25 Do you have further studies ongoing of any of

1 these questions, or plans?

2 DR. GHALIE: Allow me to answer your question in  
3 two parts. Number one, on why this schedule was selected,  
4 every 3 months, there were a number of rationales. One of  
5 them was that the pilot studies which were done and were  
6 published in the early nineties showed that every 3 months  
7 works. And the investigators knew that this was a treatment  
8 that eventually had a cumulative dose that you cannot  
9 exceed, so they wanted to stretch treatment as much as  
10 possible, and every 3 months seemed to work, so it made  
11 sense to do it in the Phase III study, and this is the data  
12 we have, and we would like, at least for the time being, to  
13 consider this as the appropriate dose and schedule for  
14 Mitoxantrone.

15 Now, to answer your question number two, whether  
16 there are currently ongoing studies to evaluate other  
17 schedules, Dr. Edan indeed and investigators in France and  
18 Italy are currently conducting a study which looks at this  
19 induction regimen with Mitoxantrone for six courses, monthly  
20 for six courses, and then, either stopping Mitoxantrone and  
21 going to Interferon; and the other arm is Interferon alone.

22 So in his group--and it just started recently--  
23 there were about 25 patients enrolled out of 200--the  
24 question of induction regimen will be studied.

25 We are also having requests now from investigators

1 who would like to investigate the role of Mitoxantrone,  
2 obviously, as you can imagine. We have an investigator who  
3 is evaluating an induction dose with 12 mg per meter squared  
4 every 3 months, going down to 5 mg per meter squared on a  
5 different schedule.

6 So these are things that we will be looking at in  
7 post-marketing if we are given this opportunity. But at  
8 present, the strongest data is what Dr. Hartung's trial  
9 showed on the every-3-month schedule.

10 Later on, we will get to Dr. Mauch's retrospective  
11 analysis and his experience with 500 patients, and as I will  
12 describe to you, there is an alternate way that he has given  
13 Mitoxantrone that can also tell you about some of these  
14 methods one can use. But again, we would like to focus on  
15 our Phase III trial as at least the dose and schedule.

16 DR. GILMAN: Dr. Penn, then Dr. Penix.

17 DR. PENN: I see the other major thing that we are  
18 going to be debating is the relationship between our  
19 surrogate marker, or so-called surrogate marker, and  
20 clinical progression, and I am wondering whether at this  
21 point you might want to speak not with your own data but  
22 present something that will either convince us or not  
23 convince us that this is a good surrogate.

24 DR. GHALIE: Really, I would like to refer this to  
25 the neurologist experts at this table; I would not like to

1 myself get into that debate which, as you may know, is  
2 ongoing in the neurology field.

3 So I would like perhaps to hear first from Dr.  
4 Lublin about how he views the MRI and how can this be viewed  
5 as a surrogate marker for pathology of MS, and after that,  
6 Dr. Panitch, please, and then Dr. Smith.

7 DR. PENN: It isn't just as a surrogate marker for  
8 pathology, which is, of course, very interesting, but also  
9 clinical progression.

10 DR. GILMAN: Okay. Dr. Lublin, please.

11 DR. LUBLIN: Let me start with that issue first,  
12 because this is one that has become an issue of great  
13 interest for us in the MS community and a source of  
14 considerable discussion.

15 My view is that the fairest way to view MRI is  
16 that in fact it is reflective of the underlying  
17 neuropathology, and that the clinical scales that we use are  
18 also reflective of the underlying pathology. We have had  
19 this discourse, because some of the regulations say you have  
20 to show clinical benefit and such, and that is of course  
21 very important, but some of us have the underlying bias that  
22 any changes going on in the brain are bad for you, and that  
23 the most reflective measure of those changes, at least  
24 demyelinating disease, is the MRI scan.

25 Having said that, there are a number of studies

1 which show correlations between, for example, gadolinium-  
2 enhancing lesions early in disease and time to different  
3 levels of disability, even in individuals who have not yet  
4 made the clinical diagnosis of MS, but with the first  
5 symptom, what percentage are going to go on and have it, and  
6 that data is not bad.

7           There is also in the literature relatively  
8 unimpressive correlations between, say, T2 lesion load and  
9 levels of disability, and we have been arguing over that as  
10 to what that means as well. But I think again, if one goes  
11 back and says, well, it is showing us the underlying  
12 neuropathology, that most would agree that growing white  
13 spots in your brain are inherently a bad thing, and that is  
14 the view we take.

15           The other issue, of course--and I'm sure some of  
16 your experts here are going to bring this up as well--is  
17 each different measure is looking for something different.  
18 So the gadolinium-enhancing lesions are measuring breakdown  
19 of the blood brain barrier. In MS, that is thought to be  
20 the equivalent of inflammatory lesions.

21           T2 lesions, which we also have a measure of here,  
22 are a measure of a number of things--inflammation,  
23 demyelination, astrocytosis, edema--a whole bunch of  
24 different things. So it is a little hard to pin that down.  
25 There are other more recent measures that have come into use

1 but that weren't either available or weren't part of these  
2 studies, so they don't give us any added information.

3 DR. GILMAN: Nevertheless the point remains that  
4 the location of the multiple sclerosis lesion, the new  
5 lesion, is absolutely key with respect to clinical  
6 presentation--that is--and Dr. Katz has said this in his  
7 narrative--if a lesion happens to cut across the  
8 corticospinal fibers someplace, the patient is going to  
9 clearly become symptomatic. Should it be up in the  
10 prefrontal region, there may not be a clinical manifestation  
11 of that lesion.

12 DR. LUBLIN: There may not be an immediately  
13 obvious clinical manifestation of that lesion. But for  
14 example, the best correlate that I know of for white spots  
15 presumably representing demyelination in the brain is  
16 neuropsychological testing. So that each one of those is in  
17 fact taking its toll; it's a matter of when you are able to  
18 measure it with a clinical correlate.

19 DR. WEINER: Let me say a word to your question in  
20 terms of how it relates. We just finished a study that is  
21 going to be coming out, and it was NIH contract where we  
22 took progressive patients and relapsing remitting patients  
23 untreated who were imaged on MRI as many as 22 times over  
24 a year; they were analyzed by three-dimensional imaging and  
25 quantitative imaging, both in terms of gadolinium-enhancing

1 lesions and in terms of T2 volume. And we do indeed find a  
2 correlation between disability as measured by EDSS and  
3 Ambulation Index with T2 volume and with disability and  
4 relapses as far as gadolinium-enhancing lesions.

5           So I am becoming more and more convinced that the  
6 MRI does indeed reflect not only what is going on in the  
7 brain but what is happening in terms of disability. Because  
8 there are silent areas of the brain, the R values are not 1;  
9 they are somewhat in the middle. Although we also have  
10 positive data, some of it published, some of it we are now  
11 putting together, that there are correlations between T2  
12 lesion and volume and cognitive changes by a very  
13 sophisticated measure.

14           So my own feeling is that indeed the MRI does  
15 reflect not only the pathology but clinical manifestations.

16           DR. GILMAN: Dr. Katz, then Dr. Grotta.

17           DR. KATZ: Yes. An important part of the utility  
18 of a surrogate from the point of view of deciding whether or  
19 not it is useful for approval of drugs, for example, is not  
20 so much only that it correlates with the pathology but that  
21 also treatment has the appropriate effect on it and on the  
22 clinical outcome of interest.

23           So everything we have heard so far about the MRI  
24 as a valuable surrogate talks about on treated patients and  
25 following them and natural history kind of data. But what

1 is the data--other than the data we have heard this morning  
2 for this drug--that in general, treatment has the effect  
3 that you want to the surrogate and also the effect,  
4 ultimately, on the clinical benefit of interest?

5 DR. PENN: Well, we are revisiting what we did a  
6 number of years ago with the Interferon when we had data on  
7 MRI, and it did correlate with progression, as I remember  
8 those meetings. So I think there is substantial data. And  
9 as a neurosurgeon looking at this, I am very glad to see  
10 that things are correlating, and they are correlating better  
11 when you use the right test--that is, truly volumetric  
12 things and take in cognitive impairment, because of course,  
13 most of the brain is cognitive, and we are wiping out  
14 sections that may not cause obvious neurological symptoms  
15 but which are there anyway.

16 So the way that I see this discussion going is  
17 making this a very powerful surrogate marker, because it is  
18 all fitting in the same direction--although we always have  
19 to worry about exactly which measures and that it is being  
20 done in the same way. That's why I think the fact that it  
21 was read blind in England by somebody who is expert in doing  
22 this really gives us some power in this particular case.

23 DR. GILMAN: Dr. Grotta first, then Dr. Wolinsky,  
24 then Dr. Temple.

25 DR. GROTTA: This is very useful. So I am

1 reassured that these MRI measurements among MS experts, both  
2 T2 and gadolinium, are now being recognized as reflective of  
3 real disease activity. How dependent is this upon the  
4 methodology of carrying out the measurements, and are we  
5 convinced that the methodology used in this study is  
6 comparative to those carried out in centers like yours, Dr.  
7 Weiner, that find these correlations?

8 DR. WEINER: Are you asking me?

9 DR. GROTTA: I am asking whoever knows how--do we  
10 need to explore how the measurements were made in London; do  
11 they do all the three-dimensional measures and things like  
12 that, and is it possible that--I look at T2-weighted lesion  
13 load, and I see a mean score change of 3 or 4, and I don't  
14 know what that means.

15 DR. WEINER: My analysis of the data that is  
16 presenting here is that it was done in an appropriate way,  
17 with the appropriate measures, et cetera, and is indeed  
18 valid in terms of showing changes, both in terms of T2  
19 volume and in terms of gadolinium lesions.

20 DR. GILMAN: Dr. Wolinsky?

21 DR. WOLINSKY: I don't think we can address very  
22 easily in this particular meeting the notion of MRI as a  
23 surrogate, as I understand the FDA is raising it, and I  
24 don't think there is any data here that allows us to look at  
25 it as the perfect surrogate. Certainly, nothing that

1 predicts what these patients are going to do in the future  
2 based on what we are seeing across the course of either the  
3 short or the longer study.

4 But I am very encouraged when I see clinical data  
5 and MRI data which convincingly goes in the same direction.  
6 I would be very bothered by data that goes in opposite  
7 directions, and that is the kind of data we are presented  
8 today. So I think as much as I would like to argue for the  
9 importance of MRI in other arenas, I think the issues for us  
10 today are not technical on how do they read this, not do  
11 they show effects in both areas, but how in the world are we  
12 supposed to use this.

13 DR. GILMAN: Dr. Temple?

14 DR. TEMPLE: Surrogates are not expected to be  
15 perfect substitutes for clinical outcomes--they hardly ever  
16 are. People have written a fair amount about this; we have  
17 written only a little bit.

18 The initial hope that a surrogate endpoint or  
19 putative surrogate might be useful can never be based on  
20 correlation with outcome results, because you do not have  
21 any outcome results yet. So in the case you have here, you  
22 have only a few drugs that work, and the best you could hope  
23 for is a modest degree of association with clinical outcome  
24 and the putative surrogate.

25 Before one gets to that, however, there is a phase

1 where you look to the available data you have of an  
2 epidemiologic nature and see if at least--I think this  
3 relates to the last comment--the natural history of the  
4 disease seems to serve some correlation with the surrogate  
5 and the real outcome. If it didn't do that, then it  
6 wouldn't be a very good candidate surrogate.

7           What I think Dr. Weiner was saying is that he  
8 feels there is growing evidence at least at that level that  
9 there does seem to be a correlation with outcome and the MRI  
10 findings.

11           The ultimate conclusion that it is a really,  
12 really, really solid surrogate sort of depends on looking at  
13 a large number of drugs that have effects on both, finding  
14 that it always works, showing that patients who have a  
15 better response on MRI have a better response clinically,  
16 and all kinds of stuff that you can't possibly have yet.

17           But I want to emphasize that the Committee is a  
18 judging group, not just a data analysis group, and that one  
19 of the reason we come to you is for your judgment on the  
20 quality of a surrogate like that, even with imperfect  
21 information which, heaven knows, is what we have.

22           DR. GILMAN: Are there any other questions from  
23 Dr. Ghalie at this point?

24           [No response.]

25           DR. GILMAN: If not, Dr. Ghalie, with your

1 consent, I think it would be good for us to have a lunch  
2 break, because at one o'clock, we are expecting some  
3 patients to be here, and we don't want to keep them waiting.

4 Let me ask that the Committee members refrain from  
5 any discussion of this agent in any way over lunch. All of  
6 our discussion should be in public. There is a place  
7 reserved in the dining room for Committee members, so  
8 please, let's herd together there.

9 We'll take a one-hour break and resume at one  
10 o'clock.

11 [Whereupon, at 12 o'clock p.m., the proceedings  
12 were recessed, to reconvene at 1:10 p.m. this same day.]

13

A F T E R N O O N S E S S I O N

[1:10 p.m.]

1  
2  
3 DR. GILMAN: We are now going to hear from three  
4 people. The first person who would like to speak is Frank  
5 Vanik.

6 Mr. Vanik, please.

7 MR. VANIK: Good afternoon. My name is Frank  
8 Vanik, and I am diagnosed with secondary progressive  
9 multiple sclerosis. I live in White Marsh, Maryland, right  
10 outside of Baltimore.

11 I am here representing the National Multiple  
12 Sclerosis Society and the third of a million people it  
13 serves annually.

14 I am having trouble in that one symptom of my MS  
15 is that my vision is kind of blurred, so I am going to just  
16 put this statement down and talk to you.

17 I have been an athlete all my life. When I was a  
18 senior in college, I noticed that I seemed to step slowly in  
19 basketball, and I was tripping a lot over my feet, so I  
20 began to worry. I went first to an orthopedic doctor, and  
21 to make a long story short, it took three doctors over two  
22 years to get the diagnosis of multiple sclerosis at the age  
23 of 22.

24 I graduated from Virginia Tech with a degree in  
25 mechanical engineering in 1989 and began work as a

1 maintenance foreman at Bethlehem Steel. About 2 years after  
2 I was diagnosed with MS, I started walking with a cane, and  
3 I progressed to where I used canadian crutches, and within 4  
4 years of being diagnosed, at the age of 25, I was using a  
5 wheelchair most of the time to conserve my energy.

6 I had to stop working. It is kind of tough to  
7 work in a steel mill in a wheelchair, so I stopped working  
8 there and went on to look for a second career of some sort.

9 MS has changed every dynamic of my life. I tell  
10 people off-handedly that the only part of my life my MS has  
11 not affected is my appetite. You name it, I have  
12 experienced it.

13 One of the affirming things about living with MS  
14 has been that I have found out that I really have a great  
15 family. My father brought me here today, and there is not a  
16 day that goes by that one of my sisters or my parents or my  
17 friends aren't calling and asking me if there is anything  
18 they can do--more times than not, there is, so I am very  
19 grateful for that.

20 About 5 years ago, I took a part-time job working  
21 for the Maryland Chapter of the MS Society, coordinating  
22 recreation and social programs for other people in Maryland  
23 with MS. In addition to that job, that paid employment with  
24 the MS Society, I have found a lot of good things to talk  
25 about that the MS Society does, and I have kind of found

1 myself appointed as an ambassador of the Maryland Chapter of  
2 the MS Society. I would like to mention here that they have  
3 been wonderful for me and I am sure for the rest of the  
4 folks living with MS in Maryland. If the Maryland Chapter  
5 is a reflection of how the national organization treats  
6 people, then all over the country, we are in pretty good  
7 shape.

8           One of the things people have asked me about in  
9 the past is about my future, and do I want to be a  
10 recreation coordinator for the rest of my life, do I want to  
11 work part-time for the rest of my life. It is clearly  
12 difficult for me to answer any questions like that. It is  
13 difficult for anybody--nobody can predict their future--but  
14 having multiple sclerosis makes it a little more apparent.  
15 It makes it quicker for you to realize that you cannot  
16 predict your future and that it is uncertain.

17           I guess that is one of the nice things I have  
18 heard about Novantrone--if that is the right pronunciation--  
19 and the other thing I should mention is that it seems that  
20 my multiple sclerosis has progressed to the secondary  
21 progressive stage, and sure, the ABC drugs are wonderful,  
22 and my goodness, I hope they work for everybody who is  
23 taking them, but the Novantrone, from what I understand, is  
24 the first medication that is tailored specifically to people  
25 with secondary progressive multiple sclerosis. And while I

1 hesitate to speak on behalf of other people with multiple  
2 sclerosis, I can speak for myself and tell you that if the  
3 clinical trials show that it has an impact on people's  
4 lives, I just think it is wonderful what you are doing and  
5 what Immunex is doing to possibly get this drug cleared to  
6 it can be prescribed for everybody living with secondary  
7 progressive multiple sclerosis.

8           That's about it. I'd like to thank you for having  
9 me here and letting me speak. I think you all are doing  
10 wonderful work.

11           Thank you.

12           DR. GILMAN: Mr. Vanik, thank you for coming  
13 today. We greatly appreciate your making the effort to come  
14 and talk to us.

15           Next, we will hear from Mary Elizabeth McNary. We  
16 have pictures of your two children that we'll pass among the  
17 Committee members.

18           MS. McNARY: Thank you.

19           I am Mary Elizabeth McNary, and 5 months ago  
20 yesterday, I became the proud mother of a pair of beautiful  
21 twins, Aidan and Aisling McNary Hickey. I also work as a  
22 counselor at the National Capital Chapter of the National MS  
23 Society.

24           My career has taken a couple of circuitous turns.  
25 My 1992 diagnosis of relapsing remitting MS, my marriage

1 shortly thereafter to Patrick, who is an international  
2 policy analyst with the U.S. General Accounting Office, and  
3 our subsequent transfer to Germany have been a couple of the  
4 major twists and turns.

5 I have come to believe, however, that everything  
6 in life happens for a reason. Back in 1982, I graduated  
7 from Harvard with a specialty in romance languages and  
8 literature and Latin American affairs. I started out in the  
9 direction of a law career. I soon left that path, though,  
10 and I worked for several years in the music industry in New  
11 York. I used my knowledge of the law to advocate for  
12 copyright protection as a grassroots organizer in the  
13 creative community.

14 That experience took me to Washington where I  
15 accepted a position with Senator Chris Dodd of Connecticut.  
16 I wanted to develop an understanding of effective lobbying  
17 while working from the inside on the Hill.

18 It was here in Washington that I first met  
19 Patrick, to whom I remain happily, happily married despite  
20 anything that MS has thrown my way.

21 As a newlywed in Germany, I used to keep the  
22 multiple sclerosis a deep, dark secret. But after about a  
23 year of silence, I decided to use my own experience with  
24 chronic illness to help other people, and I began studying  
25 for a master's degree in counseling.

1           Then, when Patrick and I returned to the States, I  
2 accepted a scholarship to study rehabilitation counseling at  
3 the University of Maryland. Upon graduation, I was required  
4 to work at a nonprofit organization--so what better choice  
5 than the MS Society. My work with the Society allows me to  
6 provide both information and empathetic understanding to  
7 others with MS. I will soon be using my knowledge of  
8 foreign languages and law and Latin America to create an  
9 outreach program at our local chapter for the Hispanic  
10 community, many of whom don't know anything about MS and  
11 don't discover anything about it until it hits them, and  
12 they read our Spanish language brochures about it.

13           I have learned to live with the unpredictable  
14 symptoms of my MS, where one day fatigue can make me feel  
15 like the only thing running through my veins is water, and  
16 the next day, perhaps I can't walk a straight line unless  
17 someone offers me his or her arm. My vision problems make  
18 it impossible for me to drive a car; I most likely wouldn't  
19 be here today if Patrick hadn't been kind enough to bring  
20 me.

21           All these symptoms are really frustrating, and  
22 they are also hard to explain because I don't really look  
23 any different from anybody else. But what fills me with  
24 dread is that I have had a relapsing remitting form of MS  
25 for some 10 years now, and that makes me a prime candidate

1 for developing secondary progressive MS.

2 Half of all the people who are diagnosed with  
3 relapsing remitting disease see their MS turn into secondary  
4 progress within 10 years, and of these people, nearly 50  
5 percent will require a walking aid, and 10 percent will need  
6 a wheelchair within 5 years. If my own MS becomes secondary  
7 progressive disease, I want to have a treatment available to  
8 me. My babies and my husband need me too much for me to  
9 allow this disease to progress untreated.

10 Therefore, if the panel believes that the results  
11 presented here today support the reported claims that  
12 Novantrone can affect underlying disease process in MS for  
13 those with secondary progress disease, I encourage--no--I  
14 implore the FDA to approve this product with all due  
15 dispatch.

16 Thank you.

17 DR. GILMAN: Thank you very much, Ms. McNary.

18 Is Pat Redondo here?

19 MS. REDONDO: I am so sorry I am late. We lost  
20 the directions.

21 My name is Patricia Redondo, and I have been  
22 diagnosed with secondary progress multiple sclerosis. I  
23 want to give you a little bit of background on myself.

24 I was diagnosed in 1972. When I was diagnosed, I  
25 had just met my future husband, and we had just bought a

1 house. We decided, since we were both 28, that we wanted to  
2 start a family right away. In 9 months minus a day, we had  
3 our second child.

4 Everything was going along great. I was a program  
5 coordinator at the University of Maryland Department of  
6 Pediatrics Hospital, and I was in the residency program. I  
7 did the work to make sure that the house staff were running  
8 smoothly. I helped coordinate their beginning and followed  
9 them all the way through their third and fourth years. I  
10 helped test them and made sure they were on the right track--  
11 -I was a proctor--and at the end of their 3 or 4 years--  
12 those in the fourth year were usually those people who were  
13 chief residents--I filled out the paperwork that qualified  
14 them to become certified in pediatrics. I did that through  
15 the dean's office in the School of Medicine, and through  
16 that, they went out into the world.

17 After about 10 years of working after my  
18 diagnosis, I was stricken with what the doctors said was  
19 just hip pain. After a year of living with "just hip pain,"  
20 I was referred to an orthopedist, and the orthopedist  
21 diagnosed me, after x-rays and so on, with vascular  
22 necrosis. He decided to operate on me, and what he did was  
23 pulled blood out of my hip bone, and he decided one of two  
24 things--that it was either a success, and I would  
25 revascularize on my own; or, two, it would give me the

1 sponge that I needed to walk comfortably.

2 I was successfully operated on, and everything  
3 went well until I got back to work, and my multiple  
4 sclerosis decided to go from relapsing remitting into  
5 secondary progressive multiple sclerosis. So that  
6 happened, and over the course of the next 10 or 15 years, my  
7 disease progressed slowly. Now, I am basically paraplegic  
8 from my waist down and almost quadriplegic in that I can't  
9 use my left hand and arm; I can barely use my right hand; I  
10 can use my computer with two fingers. It is really not a  
11 pleasant situation to be in.

12 As you can tell by my speech, I am also suffering  
13 from cognitive impairment in that I can see my notes, I can  
14 see what I want to say, but I can't get it out.

15 I am here to represent what relapsing remitting MS  
16 can do to people. There are 300,000 people in the system at  
17 the Multiple Sclerosis Society, and somewhere along the  
18 line, probably in about 10 years after there diagnosis,  
19 there is a good chance that they are going to go into  
20 secondary progressive multiple sclerosis, which is what I am  
21 in now.

22 If you can speed up the process of getting this  
23 drug on the market so that the people who are yet to go into  
24 the relapsing remitting stage can have this drug available  
25 to them, they will not have to face what I am facing now. I

1 have made a good life for myself. I have volunteered very  
2 much. I have a supportive husband. He is a saint. My  
3 mother says his halo kind of slips because his head must be  
4 so heavy. I also have two children who are great and  
5 supportive of me, and I also have an aide. I now have to be  
6 dressed, I have to be bathed, my hair has to be washed.  
7 Fifty percent of the time, somebody has to feed me. I  
8 cannot write. I can work on the computer, which is fun. I  
9 can still read, and I can still watch television.

10 But you know, there is a better life out there for  
11 people who are struggling with this disease. It is hard  
12 enough as it is, but when people go into secondary stages of  
13 progressive multiple sclerosis, and you can help them with  
14 this new drug, you will be saving so much misery.

15 I have a good husband, I have good kids, I have  
16 support all around me--but is that really all there is? I'm  
17 sure not.

18 So I just want you to consider putting this drug  
19 on the fast track. Thank you.

20 DR. GILMAN: Thank you, Ms. Redondo, very much.

21 I'll ask the panel if there are any questions.

22 [No response.]

23 DR. GILMAN: Thank you all again.

24 Now, Dr. Ghalie, can we resume where we left off?

25 Let me first ask if the panel has any questions

1 about the efficacy of 901 or 902 before we move on to  
2 safety.

3 [No response.]

4 DR. GILMAN: All right, then, perhaps we can take  
5 up where we left off, then.

6 DR. GHALIE: I would like to add a personal note  
7 here that is not scripted and has nothing to do with the  
8 presentation.

9 I would like to salute the patients who have  
10 presented to us today. I admire your courage in the face of  
11 adversity; it is an inspiration for me. So I thank you.

12 I would now like to review a little bit where we  
13 left off before lunch, which is the efficacy results, just  
14 to put together what I presented to you this morning.

15 We had presented to you two studies, two  
16 randomized trials, that were well-conducted--Study 901, a  
17 large, Phase III randomized trial which has as its primary  
18 endpoint clinical endpoints. These endpoints were met.  
19 There were also MRI results in this study, and they went in  
20 the same direction as the clinical endpoints.

21 There was also another study which we presented to  
22 you, Study 902, which is a study typical of MRI-based  
23 clinical trials. Its primary MRI endpoints were met. The  
24 clinical results also went in the same direction, and we  
25 would like you to keep this in mind as you do your

1 deliberations later.

2 [Slide.]

3 This slide is in fact at the back of your package,  
4 so you may not be able to find it.

5 I will now move on to talk about safety.

6 [Slide.]

7 The safety information I will present to you today  
8 is based on three sets of data--the two randomized trials I  
9 presented to you earlier, and then a single-center  
10 retrospective analysis. Altogether, this represents data on  
11 603 patients treated with Mitoxantrone.

12 Let's begin with Study 901. In Study 901,  
13 treatment discontinuation due to an adverse event was  
14 reported in five patients randomized to Mitoxantrone 12 mg  
15 per meter squared, no patients randomized to 5 mg per meter  
16 squared, and two patients randomized to placebo. On the  
17 slide, you have the month of withdrawal and the reason for  
18 withdrawal.

19 [Slide.]

20 The next slide demonstrates and describes to you  
21 the adverse clinical events that were significantly more  
22 frequently observed in the two Mitoxantrone arms compared to  
23 the controlled group, the placebo group, with p less than  
24 0.05. Except for nausea, which was graded as Grade 3 or 4  
25 in 5 percent of these patients, all the other adverse events

1 in the remaining nausea patients had adverse events graded  
2 as Grade 1 or 2.

3 [Slide.]

4 This next slide, which is a busy slide that you  
5 also have in the briefing document, now describes all  
6 adverse events that were recorded in 10 percent or more of  
7 the patients who were randomized in this study. Here again,  
8 most of the adverse events were graded as mild or moderate.

9 Now, as you have heard before, Mitoxantrone  
10 belongs to a class of agents known to have cardiac toxicity  
11 that is related to cumulative dose. As a result, the effect  
12 of treatment on the heart was evaluated carefully, with  
13 clinical evaluation and electrocardiograms performed on an  
14 every-3-month basis and echocardiograms that were performed  
15 at baseline, end of Year 1, end of Year 2, and end of Year  
16 3.

17 I will present to you some of these results now.

18 No patients who were randomized on this study  
19 developed congestive heart failure.

20 [Slide.]

21 This slide shows the distribution of LVEF, or left  
22 ventricular ejection fraction, which I will call LVEF from  
23 now on, that was equal to or below 50 percent in the three  
24 groups at the end of Year 1, end of Year 2, and end of Year  
25 3. As you can see, there was no significant difference

1 between the three groups based on this factor.

2 Now, as you may know, an LVEF of 50 percent, just  
3 to clarify what it is, does not represent 50 percent of  
4 normal. It says 50 percent of the blood volume that is  
5 ejected during systole [ph.]. Cardiologists consider an  
6 LVEF of less than 50 percent as indicative of decreased  
7 myocardial function.

8 [Slide.]

9 This is the third year follow-up for those  
10 patients who had discontinued treatment at Month 24 and then  
11 had LVEF data available at Month 36. There were two  
12 patients, one in each of the two Mitoxantrone groups, whose  
13 LVEF was above 50 percent at Month 24 and declined to less  
14 than 50 percent at Month 36.

15 There was one patient in the Mitoxantrone 5 mg per  
16 meter squared group whose LVEF was less than 50 percent at  
17 Month 24, and then, at the Month 36 evaluation went over 50  
18 percent.

19 [Slide.]

20 Additional safety information--there were no  
21 deaths on study for the 2 years of treatment, and in fact,  
22 for the 36-month period. Two pregnancies were reported on  
23 study, although women of child-bearing age were requested to  
24 take appropriate contraception precautions. One patient  
25 randomized to placebo elected to terminate her pregnancy.

1 One patient randomized to Mitoxantrone 12 mg per meter  
2 squared was found to be pregnant during the third course of  
3 Mitoxantrone therapy. She discontinued study treatment and  
4 had a normal child delivered.

5 [Slide.]

6 This slide presents the number of patients with  
7 hematologic parameters who were below normal at any time  
8 during the 2 years of treatment for the three groups. As  
9 you can see, there were no meaningful differences in the  
10 number of patients with low platelets or low hemoglobin in  
11 the three groups, and there were no transfusions required in  
12 the study.

13 Looking at leukocyte count, there were five  
14 patients, 15 patients, and 20 patients with low leukocyte  
15 count in the three groups respectively. Now, I must mention  
16 right now that leukocyte count decrease in the use of  
17 Mitoxantrone is usually transient and reversible. I would  
18 also like to add right now that there were no cases of  
19 neutropenic fever detected in the study, and there were no  
20 differences in these three groups for hospitalization for  
21 severe infection.

22 [Slide.]

23 Continuing to laboratory results, this slide  
24 represents serum chemistries that were above normal at any  
25 time in the tour of study between the three groups. And as

1 you may see again, there were no meaningful differences  
2 among the three groups, and here again, most of the adverse  
3 events reported were Grade 1 or 2, and they were reversible.

4 [Slide.]

5 I will now move to the safety results of Study  
6 902, in which you will recall Mitoxantrone was given on a  
7 monthly basis for six courses.

8 Overall, the type and frequency of adverse events  
9 reported in Study 902 were similar to that reported in Study  
10 901 that I presented to you, and they were presented to you  
11 in the briefing document. Adverse events were usually  
12 graded as mild or moderate in intensity. There were three  
13 adverse events graded as severe, and they are listed here.  
14 There was one case of amenorrhea, one case of depression  
15 with anorexia, and there was one case, called severe, of  
16 intolerance to contact lenses. There were no deaths on  
17 study, and there was no clinical cardiotoxicity reported.

18 In this study, echocardiograms were performed at  
19 baseline at Month 6, and the electrocardiogram and clinical  
20 evaluation were done monthly.

21 DR. PENN: I'm sorry. I'm a little confused as to  
22 why contact lens intolerance is a severe reaction. Didn't  
23 you use a standard protocol--

24 DR. GHALIE: The rating for the severity of  
25 adverse events in the study followed the WHO criteria, which

1 rates from mild to severe, Grades 0 to 4. The investigators  
2 decided to call this adverse event as severe. We don't  
3 know. In fact, I agree with you--I can't imagine how severe  
4 that can be, but--

5 DR. PENN: I mean, you could buy them a pair of  
6 glasses.

7 DR. GILMAN: Dr. Temple, then Dr. Katz.

8 DR. TEMPLE: In ADR land, there is a distinction  
9 between a serious reaction, which is life-threatening or  
10 something like that, and a reaction that is not dangerous to  
11 you, like nausea, which could be mild, moderate or severe.  
12 So this was a severe reaction of this kind. It didn't  
13 threaten lives, but that would be called severe, that sort  
14 of standard.

15 DR. GHALIE: This slide shows the percent of  
16 patients with Grade 3 or 4 hematologic toxicity using the  
17 NCI criteria, Grade 3 or 5 meaning the more severe  
18 hematologic toxicity, which you may have interest in  
19 knowing.

20 [Slide.]

21 DR. GHALIE: In this study, as you may know,  
22 hemograms were performed weekly on the Mitoxantrone group  
23 for the 6 months of treatment. With monthly courses of  
24 Mitoxantrone 48 percent of the patients experienced Grade 3  
25 or 4 leukopenia at any time during the 6 months of

1 treatment. That does not mean it occurred with every  
2 course, but at any time, they may have had a Grade 3 or 4  
3 leukopenia.

4 Leukopenia usually occurred during the first or  
5 the second course after Mitoxantrone administration and  
6 tended to result by day 21 after Mitoxantrone  
7 administration.

8 As with Study 901, there were no meaningful  
9 differences in serum chemistries between the two groups, and  
10 I am not presenting this today, but again, it is in the  
11 briefing document.

12 [Slide.]

13 To provide additional long-term safety information  
14 on the use of Mitoxantrone in patients with multiple  
15 sclerosis, we retrospectively collected data from a single  
16 MS center where Mitoxantrone was used extensively over the  
17 last 10 years. This study, designated 903, took place at  
18 the Academic Clinic of Ulm University in Germany under the  
19 direction of Professor Mauch, who is here.

20 This retrospective analysis involved every patient  
21 who had received at least one dose of Mitoxantrone in the  
22 clinic during the period of November 1998 and September  
23 1998. Four hundred fifty-four patients treated with  
24 Mitoxantrone were identified. These patients were not  
25 enrolled in clinical trials. They received Mitoxantrone as

1 part of the standard of care at this clinic. We did not  
2 exclude any patients from this analysis.

3 [Slide.]

4 A staff that was hired specifically to do the data  
5 collection used specifically designed case record forms to  
6 collect specific data on safety and efficacy. An effort was  
7 made to collect the most recent forms possible from patients  
8 who were not actively followed in the clinic.

9 Information on treatments given off-site during  
10 this 10-year period was not collected for this retrospective  
11 analysis. Dr. Mauch reviewed each and every one of these  
12 case record forms for accuracy.

13 [Slide.]

14 In this clinic, Mitoxantrone was usually started  
15 at a dose of 12 mg per meter squared and given every 3  
16 months, and that is based, again, on the pilot study  
17 published by Dr. Mauch in the early nineties. The dose and  
18 the interval between courses were then adjusted in certain  
19 patients as needed to take into account hematologic  
20 tolerance, adverse events, and also treatment effects.

21 Treatment was usually discontinued in patients who  
22 did not tolerate therapy, in patients who achieved a good MS  
23 response and one who stopped treatment, or in women who  
24 wanted to become pregnant, and obviously, in patients who  
25 elected not to continue treatment.

1 [Slide.]

2 Patient demographics are shown on this slide.

3 Mean EDSS for that study was 5.1, which is higher than I  
4 have shown in the two randomized trials. In fact, some  
5 patients had baseline EDSS of 8 and 9.

6 Mean follow-up from the first dose to the last  
7 contact was 47 months, which is nearly 4 years. Overall, 80  
8 of the 454 patients, which represent 18 percent of the  
9 patients, had received six, seven, or eight courses of  
10 Mitoxantrone in the study. In addition, 49 patients  
11 received more than eight courses. The reason I mention six  
12 to eight courses is because that was the range that was  
13 studied in the two randomized trials.

14 [Slide.]

15 No death reported in this series was temporally  
16 related to Mitoxantrone administration. Twenty patients, or  
17 4 percent of the 454 patients, died. The causes are listed  
18 here and are the typical causes of death in patients with  
19 advanced multiple sclerosis.

20 I would now like to discuss two specific deaths,  
21 the two patients who died from heart failure, knowing your  
22 interest in knowing more about that adverse event.

23 One patient of these two patients who died from  
24 heart failure received a single dose at the clinic and then  
25 stopped treatment. This patient then died at least 4 years

1 after receiving this single dose of Mitoxantrone. It is  
2 unlikely that this single dose of Mitoxantrone was  
3 responsible for this heart failure.

4 The other patient who died from heart failure had  
5 received 90 mg per meter squared of Mitoxantrone in the  
6 clinic and then went to his treating physician, and the  
7 treating physician near home continued Mitoxantrone  
8 administration for additional courses. This patient died  
9 later from heart failure. The contribution of Mitoxantrone  
10 to this heart failure and death cannot be ruled out.

11 DR. GILMAN: In that case, do you know the total  
12 dose that was administered? It is not in the narrative that  
13 I saw.

14 DR. GHALIE: No, because we were unable to collect  
15 data on treatment given off-site. We do not know how many  
16 more courses of Mitoxantrone were given to that specific  
17 patient. Dr. Mauch intentionally tried to determine that  
18 information by calling either the physician treating the  
19 patient or the patients themselves to collect that  
20 information, and for that specific patient, that information  
21 was not available. The family said the patient had multiple  
22 courses, but no number could be assigned.

23 DR. GILMAN: Are you going to talk about the  
24 infections?

25 DR. GHALIE: Certainly.

1 [Slide.]

2 Four pregnancies began during Mitoxantrone  
3 administration, and five others occurred after the patients  
4 started Mitoxantrone with the intent of becoming pregnant.  
5 Of these nine pregnancies, six resulted in the birth of a  
6 normal child, one was ongoing at the time of data  
7 collection, which was a few months ago, and the outcome of  
8 the remaining two pregnancies was not available to us for  
9 collection.

10 [Slide.]

11 Patients underwent cardiac monitoring as expected.  
12 An echocardiogram was usually performed when the  
13 Mitoxantrone dose reached about 100 mg per meter squared.  
14 In addition, patients had additional echocardiograms  
15 performed if there was any evidence of signs or symptoms  
16 that could be suggestive of cardiac failure. At that time,  
17 Dr. Mauch had a consultation with a cardiologist who worked  
18 with the clinic, and echocardiograms were performed and  
19 evaluated.

20 A cardiac event that was considered clinically  
21 significant by Dr. Mauch in his retrospective analysis was  
22 identified in seven patients shown here. Five patients had  
23 decreased LVEF of various degrees, and Mitoxantrone cannot  
24 be ruled out as the cause of this decreased LVEF. One other  
25 patient had mitral valve insufficiency, and it is unlikely

1 based on what we know from Mitoxantrone in cancer patients  
2 that it is due to Mitoxantrone. And one last patient had  
3 tachycardia during administration of the first course of  
4 chemotherapy with Mitoxantrone. This patient did not  
5 continue Mitoxantrone, and again, it is unlikely that  
6 Mitoxantrone was the cause of this event.

7 Additional information is obviously available to  
8 us based on the large experience with the use of  
9 Mitoxantrone in cancer patients. The information I present  
10 to you is available to us based on reviewing Immunex  
11 databases, Lederle databases--Lederle was the company that  
12 developed this product years ago--and also, publications  
13 available in the literature, including the experience of  
14 oncology cooperative groups. We also know that the FDA  
15 briefing document has an extensive description of this  
16 information that is available.

17 In summary, I can tell you that with a cumulative  
18 dose of 140 mg per meter squared, the incidence of  
19 congestive heart failure is equal to 2.6 percent, and the  
20 incidence of moderate to severe decreased LVEF is 13  
21 percent. That is in patients who have received Mitoxantrone  
22 either alone or in combination with other agents who may  
23 have received chemotherapy prior to receiving Mitoxantrone  
24 or who may have received chest radiation--and that also  
25 includes an age group that goes anywhere from children to

1 older patients.

2 DR. GILMAN: Dr. Swain?

3 DR. SWAIN: I had a question regarding where you  
4 got this database. Was this reporting in CHF's [ph.], or is  
5 it from trials that you have completed?

6 DR. GHALIE: This is really a compilation of  
7 different databases which are also listed in the briefing  
8 document. There are really three large publications that  
9 were published, by Dukart [ph.], Posner [ph.], and by the  
10 Schwab [ph.] Group. They included anywhere between 700 and  
11 over 3,000 patients. Those are a compilation of patients  
12 enrolled in clinical trials as well as a postmarketing  
13 report. These publications were done early after  
14 Mitoxantrone was put on the market, and that is really where  
15 the aggregate of this information is available. And pretty  
16 much nothing new that we know of Mitoxantrone in the last 10  
17 years has changed what we know from that information.

18 DR. SWAIN: So you have data from case report  
19 forms asking specifically about congestive heart failure;  
20 this is not a retrospective review of patients--is that  
21 correct?

22 DR. GHALIE: What we have in our record at Immunex  
23 will be database from patients who enrolled in the two  
24 pivotal trials that led to the approval of Novantrone for  
25 leukemia and prostate cancer. There is also the experience

1 with breast cancer that was collected in the eighties, and  
2 as I mentioned, there are all the postmarketing safety  
3 reports that were submitted to Immunex over the last few  
4 years.

5 DR. SWAIN: I guess I'm just making the point, is  
6 this under-reporting--because I know that if you look at  
7 doxorubicin, you will actually see more, if you look very,  
8 very carefully, than what is reported in the literature.

9 DR. GHALIE: This information I present to you  
10 here is really what is in the Novantrone/Mitoxantrone  
11 package insert, and that was established early on when the  
12 drug was approved, which was based mostly on the randomized  
13 trials that were available at that time for approval.

14 [Slide.]

15 In conclusion, the three studies in Mitoxantrone  
16 in multiple sclerosis showed the following. Most adverse  
17 events are mild to moderate. They are manageable and  
18 reversible. No congestive heart failure was reported for a  
19 cumulative dose up to 100 mg per meter squared in the two  
20 randomized trial control data we have presented to you  
21 today. Marrow suppression is reversible within one to 3  
22 weeks after chemotherapy administration, and it is not  
23 associated with an increased incidence of severe infection.

24 Furthermore, the Month 36 evaluation from Study  
25 901 and the long-term safety database from Professor Mauch

1 indicate that no unexpected late adverse event occurred  
2 after Mitoxantrone administration in patients with multiple  
3 sclerosis. In addition, there was no secondary leukemia or  
4 myelodysplasia reported in these long-term patients.

5 I would now like to turn to a benefit and risk  
6 assessment--

7 DR. GILMAN: If I could interrupt before you go  
8 on, just to ask two questions. One, Dr. Kawas has reminded  
9 me that on page 28 of the FDA Safety Review, there is a  
10 paragraph about Subject 281, a 42-year-old male MS patient  
11 treated in the clinic with Mitoxantrone, cumulative dose 155  
12 mg over a 2-year period. The patient's family doctor gave  
13 an additional 120 mg over a 2-year period. Two months after  
14 his last dose, he was admitted for cardiogenic shock and died  
15 of cardiac insufficiency.

16 That's a huge dose, 275 total. Is this the  
17 patient that you referred to earlier?

18 DR. GHALIE: Yes.

19 DR. GILMAN: So we do know the dose, in fact.

20 DR. GHALIE: We do know; I had forgotten.

21 DR. GILMAN: Good. Okay, thank you.

22 Also, I wanted to ask you about infections.

23 Again, the same narrative page 27 of the FDA's Evaluation of  
24 Safety, at the bottom of the page, it says "The sponsor  
25 reported that 38 percent, or 171 of the patients in the

1 cohort, had a recognized infection during the observation  
2 period. Eighty-six percent of these were classified as  
3 urinary tract infections, 2 percent upper respiratory, fewer  
4 than 1 percent sinusitis, 12 percent unknown. The sponsor  
5 did not report the severity at outcome of the infections and  
6 did not state which of these occurred in the setting of  
7 decreased white blood cell counts."

8 That was my question. Do you know the severity of  
9 these infections; do you know the outcomes of them; and do  
10 you know whether they were post administration of drug, and  
11 if so, what were the white blood cell counts associated with  
12 them?

13 DR. GHALIE: Yes. You are referring to Slide C-  
14 52, where most of the adverse events in fact called  
15 infection adverse events were urinary tract infections and  
16 upper respiratory infections. We did not collect in the  
17 case record form that have designed to collect in this study  
18 if there was a relationship between the episode of infection  
19 and if the patient was neutropening [ph.] at that time.

20 Maybe Dr. Mauch, who reviewed all of these case  
21 record forms, could comment on that, please.

22 DR. MAUCH: Most of our patients had urinary tract  
23 infections, and they were completely reversible and had no  
24 influence on further disability of patients, and there was  
25 no reason to stop treatment.

1           Also, we collected at every contact the patient  
2 had with the clinic the leukocyte counts. I think these  
3 data are not available, but sometimes, we could see that we  
4 had high leukocyte counts without further information as to  
5 what kind of infection it was. That was the majority of  
6 these 40 patients with unknown infections.

7           DR. GILMAN: So if I understand what you just  
8 said, the severity was such that the urinary tract  
9 infections responded quickly to treatment; is that correct?

10          DR. MAUCH: Yes. They were treated with  
11 antibiotics after we had tested the urine, and they  
12 recovered completely from these infections.

13          DR. GILMAN: And you do not know their white blood  
14 cell counts at the time of the infection?

15          DR. MAUCH: Yes, we do--

16          DR. GILMAN: You do know?

17          DR. MAUCH: We do know.

18          DR. GILMAN: But you have not analyzed the data.

19          DR. MAUCH: We have not, I think, in the plan to  
20 present, but we have in our data also the leukocyte counts.

21          DR. GILMAN: Can you tell us anything about it?  
22 Was the leukocyte count down in most of these patients who  
23 had urinary tract infections?

24          DR. MAUCH: No. In many patients, the leukocyte  
25 count was increased.

1 DR. GILMAN: Increased--you mean within normal  
2 limits?

3 DR. MAUCH: Yes.

4 DR. GILMAN: Can you tell us about the length of  
5 time between the last treatment and the occurrence of the  
6 urinary tract infection? Were these often soon after  
7 treatment?

8 DR. MAUCH: I looked through all the data we  
9 presented, and it was my impression that we had frequent  
10 urinary tract infection in highly disabled patients, and in  
11 my impression as a treating physician, the percentage of  
12 urinary tract infections did not differ from our treated  
13 patients to patients treated only symptomatically for their  
14 MS.

15 DR. GILMAN: That is helpful. And of course,  
16 people who were impaired with mobility and so on do develop  
17 such infections.

18 DR. MAUCH: Yes.

19 DR. GILMAN: The question is whether there is any  
20 relationship between the treatment and the onset of the  
21 urinary tract infection, the timing.

22 DR. MAUCH: Yes. There was more relationship  
23 between immobility than with therapy.

24 DR. GILMAN: Dr. Grundman?

25 DR. GRUNDMAN: Again, I think there was almost a

1 threefold increase in the frequency of urinary tract  
2 infections among patients treated with Novantrone compared  
3 to the placebo patients, so wouldn't that prima facie be  
4 evidence for an association of the urinary tract infection  
5 with the drug treatment?

6 DR. GILMAN: That would be the impression, but we  
7 are not hearing that. I am not sure I am getting the  
8 question directly answered, though.

9 DR. KATZ: There are no placebo patients in this  
10 cohort.

11 DR. GILMAN: No.

12 DR. GRUNDMAN: I'm sorry. I was referring to page  
13 49 in Study 901, which--

14 DR. GILMAN: This is retrospective data on treated  
15 patients.

16 DR. GRUNDMAN: Yes, but in their actual study that  
17 they presented prospectively, there was a threefold increase  
18 in UTIs among the treated patients.

19 DR. GHALIE: This is something that indeed we have  
20 looked at and have presented the data. The cause of that is  
21 at present undetermined. Whether that can be due in part  
22 because patients had a dip in leukocyte account and  
23 therefore had maybe a higher incidence of UTI, or the  
24 physicians being unblinded were more susceptible to that and  
25 more frequently likely to report this as a UTI than patients

1 who were not. At present, I don't know what is the  
2 interpretation for that observation.

3 DR. GILMAN: Dr. Swain?

4 DR. SWAIN: I just have to comment that even  
5 though your percent of leukopenia in that 01 study was only  
6 20 percent or something like that, you did not mention nadir  
7 count, which you normally do in oncology. It's probably  
8 much, much higher than that. In your second study it was  
9 extremely high; it was 86 percent. I really find it hard to  
10 believe that you had no reports of febrile neutropenia.

11 DR. GHALIE: I will describe to you how Study 901  
12 was designed and what was asked to be done and where the  
13 data was obtained, and then I will move to Study 902.

14 For Study 901, as you mentioned, there was a CBC  
15 that was required before each course of therapy. In  
16 addition--and the protocol was very clear about it--there  
17 was a requirement to do a CBC at the time of the expected  
18 leukocyte nadir--in fact, the treating physicians, as you  
19 know, were unmasked, so they knew when the expected  
20 leukocyte nadir was--to do repeated CBC in a patient who may  
21 have an episode of infection or something that would be  
22 suggestive of infection. So even though it was not required  
23 to do at Day 14 or 20--and again, those are masked patients  
24 that go back home, and they are not necessarily actively  
25 seen as oncology patients every 3 to 4 weeks--so that

1 incidence that we show is for those patients who had a CBC  
2 done either every 3 months or at the exact time of  
3 neutropenia nadir if they had any episode that was  
4 suggestive of infection.

5 In Study 902, indeed, Mitoxantrone was given on a  
6 monthly basis more as when it is used in cancer patients,  
7 and indeed, the incidence of neutropenia was higher in this  
8 study than in Study 901.

9 DR. SWAIN: In Study 902, were those patients also  
10 followed by their local physicians? Are we missing data  
11 here, because I just cannot believe there is no febrile  
12 neutropenia.

13 DR. GHALIE: For Study 902, because the treatments  
14 were given on a monthly basis, they were also closer to the  
15 site where the treatment was given. Most of the patients  
16 came back to that specific clinic to be followed.

17 I know that Dr. Alberts has a comment here. Dr.  
18 Alberts?

19 DR. ALBERTS: I just wanted to comment that  
20 Mitoxantrone came on the market in oncology as the safest  
21 drug that we have seen, and I think the reason is that as a  
22 single agent, at 12 mg per meter squared, even though you  
23 get a nadir, and you can get a very significantly lowered  
24 nadir, these nadirs usually last 3 or 4 days at the most.  
25 So febrile neutropenia is an extremely rare event, at least

1 in the cancer patient.

2 DR. GILMAN: Dr. Wolinsky?

3 DR. WOLINSKY: If I read it correctly, in the SNS  
4 that was done in Study 901 and not in the other studies, one  
5 of the scoring systems talked about residual urine volumes.  
6 Were those measured by ultrasound, or were they by  
7 catheterization, and did manipulation have anything to do  
8 with the UTIs in that patient population?

9 DR. GHALIE: I would like to invite Dr. Hartung to  
10 answer that question, please.

11 DR. HARTUNG: This was done by ultrasound  
12 measurement.

13 DR. GHALIE: I understand your concern as a  
14 committee about potential infection in patients with  
15 multiple sclerosis and receiving Mitoxantrone, so I would  
16 like to ask for Slide C-16, which looked at the randomized  
17 Phase III study, which looked at the three groups--placebo,  
18 Mitoxantrone 5 and 12 mg per meter squared.

19 [Slide.]

20 DR. GHALIE: We have here the two events that are  
21 of interest--what happens when the leukocyte count goes  
22 down, infection, and potential for bleeding. As you can  
23 see, the percent of infection in the three groups--and that  
24 includes any type of infection--really is reasonably similar  
25 among the three groups.

1           If we look at severe infections or infections that  
2 led to hospitalization--and again, it is unlikely that this  
3 is going to be underreported when there is a  
4 hospitalization--and you look at the difference among the  
5 three groups, it is really minor. And if you can read the  
6 little font at the bottom, that tells you what was the  
7 reason for hospitalization for each one of these patients--  
8 tonsillitis, and for the 12 mg per meter squared group,  
9 which is the higher dose of Mitoxantrone, one case of  
10 tonsillitis, two cases of urinary tract infection, one case  
11 of endometritis.

12           We looked very carefully at all the patients  
13 enrolled in this study to see if there was any episode of  
14 neutropenic fever, what oncologists call neutropenic fever,  
15 meaning low white cell count, less than 1,000 or 500, and a  
16 systemic infection. There was no report in this study of  
17 any patient who had neutropenic fever.

18           It is very likely that the every-3-month schedule  
19 is different than the every-3-week schedule as far as  
20 patients' tolerance to infection.

21           DR. GILMAN: Dr. Swain has another question.

22           DR. SWAIN: Yes, I have a couple more safety  
23 questions. In the FDA review, there was a report of six  
24 patients who had hyperbilirubinemia. Can you just comment  
25 on that, because I know that Mitoxantrone concentrates quite

1 a bit in the liver.

2 DR. GHALIE: Yes, you are indeed very right, Dr.  
3 Swain. Mitoxantrone is metabolized in part in the liver.  
4 And as you also know, the package insert says that patients  
5 who have severe liver impairment should not receive  
6 Mitoxantrone, or should at least receive a lower dose.

7 Patients who had increased bilirubin were all  
8 Grade 1 or 2. In fact, I can bring up the slide that shows  
9 the chemistries of Grades 3/4 in Study 902, which is Slide  
10 C-37.

11 [Slide.]

12 DR. GHALIE: These are really the Grades 3/4.  
13 That is using the WHO, which is similar to NIH criteria.  
14 Really, there was one patient who had SGOT increase in each  
15 of the two Mitoxantrone arms; no patient who got 3/4  
16 bilirubin. They were mostly Grades 1 and 2, and they  
17 eventually came down.

18 There was one patient in fact in the placebo who  
19 had Grade 3, but that was colithiasis [ph.].

20 DR. SWAIN: So you don't think that the cases  
21 reported--I don't remember where they were in the FDA  
22 review--were related to drug, that hyperbilirubinemia?

23 DR. GHALIE: They were not reported in the case  
24 record form as drug-related. They may have occurred at  
25 different time points during the 3 months between courses.

1 DR. SWAIN: Then, my last question is on the issue  
2 of amenorrhea. I think 63 percent of the patients had  
3 amenorrhea. In those situations, how often was it  
4 reversible, since you did follow patients for a year later?

5 DR. GHALIE: I believe we have the month 36  
6 follow-up for the menstruation data on Slide C-40.

7 [Slide.]

8 DR. GHALIE: That will give you an idea one year  
9 after stopping treatment what was observed. In the three  
10 groups--and these are obviously women; that's why the number  
11 decreased from what we had for total population--patients  
12 with regular menses--and that is percent of patients--29  
13 percent of the women had normal menses at Month 36, and 54  
14 percent of the placebos. So clearly, there are some  
15 patients who at least a year later had not recovered their  
16 menses. Again, this patient population ranged from 18 to  
17 55; some women were in the stage where they were becoming  
18 menopausal. There was irregular menses quite similar  
19 between the two groups; amenorrhea higher--that really  
20 compliments the first finding. Some patients, we had no  
21 data available for, and "Other" meaning mostly dysmenorrhea  
22 or some other change in cycle length.

23 DR. SWAIN: Thank you.

24 DR. GILMAN: What does "Other" mean at the bottom  
25 of that slide?

1 DR. GHALIE: That's what I was saying. Those are  
2 probably dysmenorrhea patients or patients whose cycle was  
3 not the regular cycle that you would expect.

4 DR. GILMAN: Dr. Kawas?

5 DR. KAWAS: Just now and also in your text, on  
6 more than one occasion you made the comment that it might be  
7 that these women were supposed to be already perimenopausal.  
8 Is that because there was an age effect to the amenorrhea,  
9 or is there any data to support that? Is it just that women  
10 who were going to be perimenopausal in the next few years  
11 might have been hastened, or are these 20-year-olds who are  
12 amenorrheic a year later?

13 DR. GHALIE: There are young women who can become  
14 amenorrheic with Mitoxantrone, but in general--and that is  
15 in chemotherapy experience, but certainly with Mitoxantrone--  
16 the older the woman, the more likely that if she receives  
17 Mitoxantrone, particularly if she is close to menopause, it  
18 may accelerate her menopause.

19 DR. KAWAS: And that was also the experience in  
20 the study, that it was the older women who were more likely  
21 to be amenorrheic?

22 DR. GHALIE: I have to say I have not analyzed  
23 data looking age and what happened with amenorrhea.

24 Dr. Hartung, do you have any additional  
25 information to add here?

1 DR. HARTUNG: No.

2 DR. GHALIE: No. We haven't looked at this  
3 specifically.

4 DR. KAWAS: Thank you.

5 DR. GILMAN: Dr. Weiner?

6 DR. WEINER: I had a question in terms of the  
7 alopecia. How severe was the alopecia in the patients that  
8 you treated?

9 DR. GHALIE: As I mentioned earlier, all adverse  
10 events that were reported in the study, more common in the  
11 Mitoxantrone group, which includes amenorrhea, were graded  
12 as Grades 1 or 2. So in fact when we see amenorrhea in  
13 patients receiving Mitoxantrone as a single agent, it is  
14 more of hair thinning as opposed to hair loss--alopecia--I  
15 am sorry. I will repeat my sentence. When we see alopecia  
16 in patients receiving Mitoxantrone, single agent,  
17 particularly when it is given every 3 months, it was mostly  
18 hair thinning as opposed to complete hair loss.

19 And if you like, Drs. Hartung and Mauch, who have  
20 seen many patients treated like this--do you have anything  
21 else to add to that?

22 DR. HARTUNG: Well, it is my experience that as a  
23 physician, I am in most instances unable to detect, being a  
24 neurologist and not a dermatologist, alopecia. I have to  
25 rely on the patients' recollection of their hair becoming

1 thinner, but I haven't seen any patchy alopecia or any  
2 diffuse, massive alopecia.

3 DR. GILMAN: Dr. Grundman?

4 DR. GRUNDMAN: On page 30 of the Immunex document,  
5 there is a table which lists the hospitalizations and  
6 quality of life. It seems that as far as the patients who  
7 were hospitalized, the most patients hospitalized were in  
8 the placebo group with corresponding decreases as you go to  
9 the 5 mg and 12 mg dose. But the duration of the  
10 hospitalization remained stable, or actually, in the case of  
11 the 5 mg dose, increased to 49 days. I recognize that the  
12 p-value was not significant, but the suggestion is that  
13 perhaps the people who are admitted to the hospital have  
14 somewhat longer stays for the people in the Mitoxantrone  
15 groups.

16 And there is a footnote which says that many of  
17 the hospitalizations were for rehabilitation. So I wonder  
18 if you were able to break these up into hospitalizations  
19 that were due to adverse events or side effects to determine  
20 whether or not there was a longer hospitalization stay for  
21 the Mitoxantrone groups due to adverse events.

22 DR. GHALIE: I would like to have Slide B-109,  
23 which in fact allows all of us now to see that data.

24 [Slide.]

25 DR. GHALIE: One difference in the management of

1 MS patients between Europe and the U.S.--and that was really  
2 the only one I noticed from reading all the data and talking  
3 to experts in the field--is in the hospitalization of  
4 patients.

5 In Europe, patients are hospitalized to treat any  
6 complications of MS and for rehabilitation. So when you see  
7 duration of hospitalization which goes from 15, 20, 30 days,  
8 the bulk of that is really to rehabilitate the patient.

9 Now, if you look at number of patients  
10 hospitalized in the three groups, it was higher in placebo  
11 compared to Mitoxantrone. IF you look at the number of  
12 hospitalizations, it was higher. And the mean days, as I  
13 mentioned, are really very likely here driven by the  
14 rehabilitation.

15 As I mentioned, and I showed you in the prior  
16 slide which was also Study 901, the number of  
17 hospitalizations for severe infection was very low--in fact,  
18 four, two, and one--so this certainly does not drive that  
19 duration. And really, most of the hospitalizations, as you  
20 can see, were MS-related, 81 of 89, 64 of 85, and 34 of 50.

21 DR. GRUNDMAN: The piece that I was looking for is  
22 actually not on the slide, which is the hospitalizations  
23 that were due to other causes and their mean duration in the  
24 non-MS.

25 DR. GHALIE: Unfortunately, I have to see the

1 document--unless someone who has the document can answer.

2 MS. HAYES: I think you only looked at the  
3 hospitalizations for multiple sclerosis.

4 DR. GHALIE: Right.

5 DR. GILMAN: She said that he only looked at the  
6 hospitalizations for multiple sclerosis.

7 Dr. Penix?

8 DR. PENIX: Are there any recommendations about  
9 the total dose that can be given? Since we can anticipate  
10 that a relatively young patient who has MS may require drug  
11 treatment for many years, I know that in your Study 903,  
12 there were 49 patients, or 11 percent of the patients, who  
13 got greater than nine doses. In that group, are there any  
14 who got doses for several years, and do we have any data on  
15 how they did; and also, do we have any data from the cancer  
16 treatment patients who may have gotten drug for prolonged  
17 periods of time?

18 DR. GHALIE: To bring together the issue of  
19 duration of treatment, Study 901 looked at eight courses;  
20 Study 902 looked at six courses. In Dr. Mauch's  
21 retrospective analysis, you see the number of patients who  
22 went beyond six courses. In fact, there were six patients  
23 who went beyond 140 mg per meter squared, and I have data on  
24 these patients. We obviously looked at them very carefully.  
25 In this subset of patients, these six patients, there was no

1 cardiac toxicity detected and no severe infection.

2 Our recommendation, which we are going to present  
3 to you in a moment and which I can mention right now, as far  
4 as the duration of treatment with Mitoxantrone patients with  
5 MS, is to say the following. We have data for two  
6 controlled trials for dose up to 100 mg per meter squared.  
7 That would be the dose that we would recommend for  
8 treatment.

9 Based on the experience we have from patients with  
10 cancer, it is possible to go beyond that dose. For these  
11 patients as a company, conservatively, we would like the  
12 physicians to do an echocardiogram or at least an LVEF  
13 evaluation before each course. We as a company are going to  
14 recommend to discontinue treatment for patients who reach  
15 the dose of 140 mg per meter squared, just to be on the safe  
16 side. The reason for that is because on the cancer  
17 experience, we know that once one exceeds a dose of 160 to  
18 180 mg per meter squared, the risk of heart failure takes  
19 off. Therefore, to really be conservative, we are  
20 recommending to be very cautious at 100 mg, to do monthly or  
21 at least before each course echocardiogram, and we recommend  
22 not going beyond that. And there will be more  
23 recommendations that I can describe as we get to that in my  
24 presentation.

25 DR. PENIX: And those recommendations would be

1 placed in the package insert?

2 DR. GHALIE: That will be something we will be  
3 discussing with the agency to decide in which format this  
4 will be presented. We would like it to be in the package  
5 insert. We will also have an educational program when we  
6 have this drug on the market for syndication to ensure,  
7 hopefully, that physicians get this message and make their  
8 decisions based on that.

9 It is really a question of benefit and risk  
10 assessment that is a physician's decision, but that would be  
11 the company's recommendation.

12 DR. GILMAN: Dr. Swain?

13 DR. SWAIN: I just have to say I totally agree  
14 with your recommendation. I absolutely would cap it at 140,  
15 because you have a population that really has a normal life  
16 span. All of the data that has looked at doxarubisone and  
17 injection fractions and trying to figure out who is going to  
18 develop congestive heart failure really shows that the  
19 serial testing is not effective to predict who will develop  
20 CHF. So I don't feel comfortable at all, and I think the  
21 company is totally right in capping it and not increasing it  
22 any more.

23 DR. GHALIE: Dr. Alberts, would you like to add  
24 something to Dr. Swain's comment?

25 DR. ALBERTS: Well, in talking to the company

1 about cardiotoxicity, I think there is one other ingredient  
2 to making certain that these MS patients do not get it, and  
3 that is to exclude any patient who has had prior  
4 anthracyclines, those who have had chest radiation, those  
5 who have a prior existing cardiac dysfunction, and those who  
6 are 70 years of age or older. Those are the primary risk  
7 factors for cardiotoxicity with Mitoxantrone, and in fact if  
8 you eliminate that group of subjects, the chance of  
9 cardiotoxicity at 140 mg per meter squared is  
10 infinitesimally small.

11 DR. GILMAN: Dr. Weiner?

12 DR. WEINER: There may not be an answer to this,  
13 but I think it is an important question to ask anyway. Is  
14 there any experience or any theoretical reason to believe  
15 that patients who are on the interferon drugs would have an  
16 increased risk of toxicity subsequent to being treated with  
17 Mitoxantrone, either cardiac or otherwise?

18 DR. GHALIE: As I mentioned earlier, the two  
19 randomized trials I presented to you today were done in  
20 patients who were Interferon-naive. So I do not have data  
21 to present to you on that.

22 However, Dr. Mauch's experience as well as Dr.  
23 Hartung's and Edan's, and in fact Dr. Smith in Seattle, have  
24 used Mitoxantrone now in patients who failed Interferon, and  
25 based on what they will be able to tell us right now, in