

1 vote to approve this drug first-line, not a question of
2 whether it's an active drug or not, or whether I think it's
3 an active drug, but why would I do so, because it seems like
4 I could add neurotoxicity to 5-FU.

5 MR. MOYER: So, your question is why first-line.

6 DR. D. JOHNSON: Right.

7 MR. MOYER: Dr. Rothenberg or Dr. Haller, would
8 you like, or maybe both want to give your perspectives on
9 that?

10 DR. ROTHENBERG: I think that is a question that
11 we all have to grapple with, and I think the way I would
12 phrase it is, is the adjusted analysis appropriate and more
13 reflective of the true clinical situation.

14 I think the answer to that question is yes. I
15 think that we know that in some cases, in this study and
16 other studies, it wasn't so much the intervention that was
17 being studied, but it was some other event that occurred
18 either baseline or intervention that was not the focus of
19 the study that had the major impact on those events.

20 I think that not taking those other events into
21 account actually undermines the potential for the study to
22 show any difference. So, that is why I think that it is
23 important to take that into account.

24 I think it is also important to recognize the fact
25 that when these trials were first designed, the appreciation

1 of the impact of second and subsequent therapy for these
2 patients was not appreciated because it wasn't there, it
3 wasn't available.

4 It became available for these patients, and as you
5 can see, the vast majority of patients did pursue second-
6 and third-line treatments, treatments that weren't available
7 just a few years ago.

8 So, I think that the approach to these patients
9 and the approach to the analysis of these kinds of data
10 could choose just to take the first-line intervention into
11 account and assume that that is going to have an effect
12 throughout the entire course of a person's life or to be
13 able to analyze the impact of first-line treatment in
14 endpoints that are most likely to capture the impact of that
15 first-line treatment.

16 I think these data are convincing to me because of
17 their consistency, as well, in terms of response rate,
18 progression-free, and overall survival, and I am encouraged
19 by the length of the progression-free and overall survival
20 that I have seen.

21 These were not single center trials done by people
22 who are expert in giving this drug. These were multi-center
23 trials done by people, many of whom were in community
24 practice, who were able to attain progression-free survival
25 of eight months.

1 Looking at that in context, the majority of
2 advanced colorectal cancer trials that were done front-line
3 have progression-free survivals that are very tightly
4 restricted to a four, five, maybe six-month range. Here, we
5 are seeing eight months.

6 Overall survival in multi-center cooperative group
7 trials of 5-FU and various modulations of that have median
8 survivals in the 11, 12, 13, even 14-month range. We are
9 seeing median survival in excess of 15 and 17 months in
10 these two trials.

11 So, I think that with the pivotal Phase III trial,
12 as well as the seven supportive trials, I think that we have
13 a body of evidence here that strongly suggests that
14 oxaliplatin is a useful drug, and what we focused on is its
15 impact in front-line treatment, also recognizing the fact
16 that it does have some impact subsequently that may have
17 actually obscured the front-line impact, but that is what we
18 are focusing on right now.

19 MR. MOYER: Dr. Goldberg has actually struggled
20 with this, and Dr. Haller can give his perspective, as well,
21 because Dr. Goldberg struggled with this in the 6C trial and
22 how to design that. So, we will let Dr. Haller make his
23 comment and then maybe have Dr. Goldberg.

24 DR. HALLER: Just briefly, Dr. Johnson. In terms
25 of the toxicity, what I hope I had left you with was a sense

1 that although there was an increased toxicity profile
2 numerically, from a clinically relevant standpoint, from
3 those of us who have given the combination frequently, it is
4 a relatively easy combination to give, and as the patient
5 representative said, the only thing that people do see in
6 terms of neurotoxicity is that it is more entertaining than
7 serious, that is, patients struggle to find words to
8 describe the unique events that occur rather than complain
9 of them.

10 In the time-to-treatment failure slide, the
11 overwhelmingly larger reason for going off study was
12 progression of cancer rather than intolerance of therapy.
13 So, I think if one talks to clinicians who actually gives
14 the combination, this is one of the easier combinations that
15 I give in my own practice.

16 I think I would like to balance that out. The
17 neutropenia numbers are impressive, but the febrile
18 neutropenias are reportable, and the neuropathies are
19 predictable and easily manageable with some foresight in
20 management.

21 MR. MOYER: Dr. Goldberg, could you address what
22 you have toyed with and struggled with on the 6C trial?

23 DR. GOLDBERG: When we designed the 6C trial, we
24 went to the FDA to talk about endpoints, and I have to admit
25 it was probably the most unpleasant two hours of my life,

1 because it was a difficult conversation.

2 You, like we, have to wrestle with the endpoints
3 in clinical trials as you help to make decisions that will
4 allow patients access to active drugs and protect patients
5 from drugs that are potentially toxic and not effective and
6 expensive.

7 We were able to sell the FDA on the idea of
8 progression-free survival as the endpoint for the 6C trial,
9 and the reasons that we were able to sell that were, one,
10 the ODAC had recently recommended approval of CPT-11 in
11 second-line therapy because it provided a survival advantage
12 to patients over best supportive care or over second-line
13 therapy with another 5-FU-containing regimen.

14 So, there was clear evidence that a second
15 intervention in colon cancer could influence survival. In
16 addition, there is an emerging literature about resection,
17 salvage surgery as a means of curing some patients with
18 colorectal cancer after they have manifest metastases.

19 That has heretofore been an unapproachable goal,
20 but now is an approachable goal, and as you have seen
21 demonstrated to you this morning, in aggressive centers,
22 such as the Hospital of Paul Brousse, and also in Dr. de
23 Gramont's experience, the ability to resect patients after
24 treatment with oxaliplatin seems to be higher than it is
25 with conventional therapies.

1 It is exceptional to see patients go to resection
2 after 5-FU/leucovorin. It is not uncommon to see patients
3 go to resection after treatment with oxaliplatin.

4 DR. SCHILSKY: We are going to take four more
5 questions from the committee members, and that will be from
6 Drs. Pelusi, Santana, Albain, and Margolin.

7 Jody?

8 DR. PELUSI: I would like to ask a question in
9 regards to the toxicities. In terms of the study protocol,
10 were there any protocols that dealt specifically with the
11 management of premedications for each one, because as you
12 said, it should take some good foresight in the beginning
13 and I think direction in terms of making these treatments
14 tolerable, in terms of symptom management is good.

15 My real question is if there were protocols for
16 each of the toxicities or side effects for those people who
17 experience Grade 3 and Grade 4 toxicities, what was the
18 adherence to those protocols?

19 DR. HALLER: There were very clear guidelines for
20 dose reductions in each of the protocols that you saw, and
21 as I mentioned, one of the most important factors was that a
22 trial-specific scale had to be devised. The NCI common
23 toxicity scale now includes a Grade 4 neurotoxicity, which
24 is the same as the Grade 3 trial-specific scale used in
25 these studies.

1 They were adhered to quite closely, which is why
2 most patients, in fact, tolerated their therapy quite well.
3 If we look at the slide that deals with withdrawals, for
4 example, Slides 15 and 16, it shows that in the pivotal
5 trial 2962, S15 and S16, and 11 and 12, please.

6 [Slide.]

7 What this shows is the median exposure of patients
8 having dose reduction or discontinuation of oxaliplatin for
9 neurotoxicity, and essentially, as you remember, the median
10 number of cycles for all patients was 11 for the control
11 arm, 12 for the oxaliplatin/fluorouracil control arm. This
12 is for neurotoxicity.

13 The total number of patients who got full-dose
14 oxaliplatin was pretty close to the median, so this was a
15 toxicity that occurred late, but as you can see, people got
16 treated out to 26 total cycles.

17 So, with compliance with the criteria established
18 in the study, people were able to continue treatment for
19 quite a long period of time.

20 If I can see the next slide.

21 [Slide.]

22 This looks at the other major toxicity,
23 hematologic toxicity. This occurs rather late, but again,
24 one is able typically to continue a fairly long number of
25 treatment cycles, and with any oxaliplatin, the number was

1 the same as for any patients in the total group of patients
2 in the pivotal trial.

3 The other is that this has been something in
4 evolution, and so there are now two large-scale trials,
5 adjuvant trials with oxaliplatin worldwide. One is the
6 NSABP CO7 trial, the other is the MOSAIC trial that has
7 already accrued over 1,000 patients, and these both have
8 trial-specific scales that take into account the dose
9 modification criteria learned in the pivotal trial and
10 learned in the initial monotherapy and combination studies.

11 DR. PELUSI: I guess I wasn't really looking at
12 the dose reduction part of it. What I was looking at is in
13 terms of when patients go on your studies, are there
14 premedication regimes that are adhered to before they
15 actually get there in terms of when I look on your page 81
16 of your handout, and you say basically 14 percent of
17 patients went off therapy because of adverse events and 11
18 percent refused to go on to treatment, I always wonder what
19 was the quality of their life or their experience with side
20 effects.

21 So, my question again, based on what you had said
22 earlier, is with some foresight, these are manageable, so I
23 would hope that if there is this foresight out there, the
24 question is those people that experience Grade 3 and 4, did
25 they not have access to premedications or what guidance can

1 be given if you think that this is so helpful.

2 DR. HALLER: Let me show you a few slides. If I
3 can have S-24, which just shows some of the supportive
4 medications given during treatment.

5 [Slide.]

6 As you can see, because of increased diarrhea,
7 there was somewhat more loperamide and octreotide used in
8 this arm. In one center, they chose to use some neupogen
9 although this is typically not required and in my experience
10 I have never used the drug either prophylactically or
11 therapeutically. Transfusions for anemia were relatively
12 uncommon in either arm because anemia was not a significant
13 toxicity.

14 So, the most significant toxicity was that
15 myelosuppression is easily managed with dose reductions or
16 dose delays. If you look at the neurotoxicity, this is
17 something that in speaking with a patient each time they
18 come and making the appropriate dose reduction, you can
19 largely avoid.

20 I think if you look at one issue, which I think
21 you are asking, which is the quality of life issue, in this
22 study, in fact, EORTC quality of QLC, quality of life data
23 were obtained. The problem is that compliance with the
24 quality of life data was not extraordinarily good.

25 If I can see Slide B-101.

1 [Slide.]

2 So, there was an attempt to look at this. You can
3 see about 75 percent of patients in both arms filled out
4 their forms completely at onset.

5 Remember, most people made it out to here, the
6 median patients made it out to here, so then only 50 percent
7 of all the patients were filling the scales out at the
8 eighth or ninth cycle, and if you take only those patients
9 that started, it was less than 50 percent. So, we did not
10 include it in the primary presentation because we did not
11 think the data were reliable.

12 We did do another analysis just to add something
13 to this, to give a flavor of what it is like to use this in
14 the clinic. I can show you actually the next slide, which
15 is B-109.

16 [Slide.]

17 Just to show you these are the data that were
18 available, and you can see the number of patients out here
19 is quite small. Here is the baseline. So, although the
20 number here at the baseline starts to approach zero, the
21 fact is that 90 percent of the patients in the study, as in
22 most colorectal studies, start off with a normal performance
23 status.

24 This is not pancreatic cancer where you expect
25 increases. These people already feel well, and the goal is

1 to keep them feeling well, and on the whole that occurred in
2 both treatment arms to the point at which the data becomes
3 somewhat unreliable.

4 If I can see the last slide S-30.

5 [Slide.]

6 One thing we did look at is I do think that
7 performance status is still a reasonable measure of a global
8 performance status of the patient or global status of the
9 patients, so we look at time to first decline.

10 Most patients were zero or 1, so this meant they
11 went to 1 or 2, and if you look at the difference across the
12 board, as I showed you for neurotoxicity, there was no
13 difference whether you had it or didn't as to what your
14 performance status is when you went off.

15 So, that even with the optimal therapy with the
16 proper dose modifications, you don't see a big difference in
17 the quality of life of patients as measured by these
18 surrogates.

19 DR. SCHILSKY: Thank you.

20 In the interest of time, I just want to ask the
21 committee members to focus your questions on new issues or
22 new information that hasn't been previously discussed.

23 Dr. Santana.

24 DR. SANTANA: It's a follow-up of toxicity issues,
25 and it has to do--can you clearly identify for us, because

1 there is a learning curve with all new drugs, the issue of
2 neurotoxicity, besides preventing it or ameliorating by dose
3 reduction, did patients require any other additional medical
4 intervention to treat these neuropathies?

5 DR. HALLER: The answer is really, they do not. I
6 think simply talking to your patient works. I have now sat
7 down with--I have treated all of the patients at our
8 institution personally, so I have sat through 700 separate
9 discussions on what your neurotoxicity was like this week,
10 and as I said, mostly, they are entertaining.

11 I have had no patients stop because of cumulative
12 neurotoxicity, the dose reductions are effective, and most
13 of the time they are as the patient described, something
14 that is easily managed, dose reductions are typically not
15 required for the very early transient toxicities.

16 When they begin to occur, they occur in the
17 problem patients who are alive for long periods of time, who
18 are receiving multiple cycles of drug, and then a discussion
19 has to take place about the proper dose reduction or delays,
20 and, in fact, there is a trial now ongoing in Europe, the
21 OPTIMOX trial, to look at more intense oxaliplatin therapy
22 upfront with a period of rest, if you will, built in to see
23 whether or not this dose, toxicity can, in fact, be dealt
24 with.

25 But in standard practice in the compassionate use

1 study in the United States and in the practice of our own
2 patients, it really is not a very big problem.

3 DR. SCHILSKY: Dr. Albain.

4 DR. ALBAIN: I am still having trouble
5 understanding how the randomization occurred. How is the
6 informed consent obtained? Please explain in a little more
7 lay terms what this minimization technique involved.

8 MR. MOYER: As far as the informed consent, these
9 studies were conducted not in the United States, but do meet
10 the requirements of the Code of Federal Regulations as set
11 forth in 314 and under informed consent under 52.

12 DR. ALBAIN: I don't understand those numbers.
13 Could you explain how the informed consent was obtained?

14 MR. MOYER: Informed consent was obtained?

15 DR. ALBAIN: Yes.

16 MR. MOYER: Prior to randomization, informed
17 consent was obtained for each patient. Now, as far as
18 minimization technique, I will ask Steven Piantadosi, who
19 can address the specifics regarding that technique, because
20 I am not aware of that.

21 DR. PIANTADOSI: My name is Steve Piantadosi. I
22 am a clinical trialist from Johns Hopkins Oncology Center.
23 I am a consultant to the company on statistical matters.

24 I was not involved in the randomization of this
25 study, so just to get that upfront. I came onboard later.

1 Minimization is a technique that is used actually
2 quite extensively in slightly different forms for the
3 assignment of patients to treatment arms in clinical trials,
4 and basically attempts to guarantee that the treatment arms
5 are comparable with respect to important prognostic factors.

6 In that sense, it is very similar to blocked and
7 stratified randomization, but the algorithm that is used is
8 a bit more complex. Typically, it would involve remembering
9 all of the previous assignments onto the treatment arm in
10 calculating some sort of overall score or prognostic effect,
11 and then making the next assignment in a way that minimizes
12 the difference in that prognostic expectation between the
13 treatment groups.

14 I don't know which particular minimization
15 algorithm was used here. The minimization assignments were
16 handled by Mark Boise, who was formerly at EORTC and
17 somewhat of an expert on colorectal cancer, so my
18 expectation would be that it was done rigorously and
19 correctly.

20 Dr. Simon had a disparaging remark to make about
21 that. I think it is probably unlikely, Rich, that
22 investigators at a particular institution would have kept
23 records well enough to anticipate the next assignment or
24 know the exact scheme that was being used.

25 Furthermore, the only way to gain the assignments

1 would be to have multiple patients available for assignment
2 at one time, so that you could put forward the patient with
3 the characteristics that you wanted to go on a particular
4 treatment group, and I think that was probably unlikely.

5 Finally, I would say that probably the issue is
6 immaterial. The times that in the clinical trials'
7 literature where an assignment scheme has been looked at as
8 whether it's important, whether it's simple randomization,
9 blocked and stratified randomization, minimization, or many
10 other techniques, all of the studies have shown that these
11 are all effectively random assuming that the investigators
12 are not gaming, and we don't know whether or not they were,
13 but I think that given the pedigree of how this was done, it
14 is probably very unlikely that there was gaming applied to
15 the treatment assignment.

16 Thank you.

17 MR. MOYER: Earlier, your question on informed
18 consent, are you asking whether it was done to U.S.
19 standards as far as collecting--yes, in fact, there is a
20 current audit being performed by the Food and Drug
21 Administration last week, this week, and next week, at
22 several of the centers that participated in this trial to
23 ensure that that was conducted according to U.S. standards.

24 DR. SCHILSKY: Dr. Margolin.

25 DR. MARGOLIN: Yes. I will try to keep the

1 question brief. I am very happy to see that so many new
2 trials are being done and particularly adjuvant trials, and
3 it would be nice to see this committee able to approve or
4 recommend approval of a new drug based on a nice adjuvant
5 trial instead of having to struggle with all the things that
6 we deal with, with patients with metastatic disease, but
7 that is just a rhetorical comment.

8 What I am concerned about, and it hasn't been
9 mentioned so far, is the possibility that the apparent
10 difference and the apparent superiority of the oxaliplatin-
11 containing therapy may be over--what is the word--
12 overemphasized by the fact that the majority of these
13 patients had not received adjuvant 5-FU-based therapy.

14 If you look at page 17 of the sponsor's packet
15 that they sent us ahead of time, there was an extremely
16 large percentage, two-thirds of the patients who presented
17 with Stage D disease, and similarly, further down on that
18 page, where it says, "prior adjuvant therapy," 80 percent of
19 patients had not received prior adjuvant therapy in both
20 treatment arms, and the same pattern is true for the other
21 study, as well, so I will just restrict my comments to 2962.

22 So, the first comment has to do with the fact that
23 that non-adjuvant therapy may not reflect what we see
24 commonly in the U.S. in patients with Stage D or metastatic
25 colorectal cancer, and it also seems to be at odds with the

1 data presented by Mace on page 13 of the new packet that
2 show that the vast majority of patients do not present with
3 metastatic disease and therefore presumably, at least
4 according to common practice in the U.S., a large percentage
5 of patients who would be coming up to a decision of how to
6 treat their metastatic disease will already have had 5-FU-
7 based adjuvant therapy.

8 Obviously, that question may become moot if it
9 turns out that CPT-11 or oxaliplatin adds so much to
10 adjuvant therapy that we don't have to address it as
11 treatment for metastatic disease, but for now it is a
12 question.

13 MR. MOYER: So, your question is regarding the
14 number of patients that received adjuvant therapy prior to
15 going on, and actually the limited number--

16 DR. MARGOLIN: The lack of.

17 MR. MOYER: --the lack of, the limited number.

18 Mace, would you like to address that, please.

19 DR. ROTHENBERG: In looking through the company's
20 briefing document, on page 17 of 88, it has 19.5 percent of
21 people on the control arm and 19.5 percent of people on the
22 experimental arm presenting with Dukes Stage C. That is
23 very close to the 20 percent of patients who had received
24 prior adjuvant chemotherapy.

25 Now, I should mention that an additional 13.3

1 percent of patients on both arms presented with Dukes Stage
2 B disease, an area that still remains controversial
3 regarding the routine use of adjuvant chemotherapy.

4 So, I think that actually, the numbers are quite
5 reflective of both the patient population and also the
6 distribution of these kinds of patients on to Phase III
7 clinical trials of front-line therapy for metastatic cancer,
8 metastatic colorectal cancer where roughly 20 percent of
9 patients do come on after having failed adjuvant therapy.

10 DR. MARGOLIN: I can't argue with you. I guess
11 the only question would still be the big discrepancy in
12 presenting stages where, on the chart, it's only about 20
13 percent, but on this page 17 data, it's fully two-thirds of
14 the patients, not that that would necessarily select for
15 people who would have a better difference in the outcomes,
16 but it is just a comment and wonder where all the other
17 patients are with earlier stage diseases when they relapse.

18 DR. ROTHENBERG: Because on the page that I am
19 looking at, it says 66.2 percent of the control arm and 64.3
20 percent of the investigational arm presented with Dukes
21 Stage D. So, it was actually the majority of people who
22 went onto this trial actually presented with metastatic
23 disease.

24 DR. MARGOLIN: Right, which is distinct from the
25 material in the newer document that suggests that only about

1 20 percent are newly diagnosed with Stage IV, 32,000 out--

2 DR. ROTHENBERG: In terms of the overall patients
3 who were presenting in the United States each year, only 25
4 percent, right, but we are selecting from that group,
5 obviously. So, this isn't the group of patients we selected
6 for these trials. These are the group of patients who were
7 diagnosed each year. So, you are right, we are focusing on
8 patients with metastatic disease.

9 DR. MARGOLIN: I understand. It's just it
10 suggests some kind of a bias in what was seen here versus
11 what actually occurs, that's all, and who was put on this
12 trial versus what is actually out there.

13 DR. ROTHENBERG: Right, and that would be true of
14 any first-line chemotherapy trial in colorectal cancer for
15 metastatic disease.

16 DR. SCHILSKY: Thank you. We are going to take a
17 15-minute break and we will try to reconvene just after
18 11:20.

19 [Recess.]

20 DR. SCHILSKY: I would like to continue with the
21 FDA presentation. Dr. Hirschfeld.

22 **FDA Presentation**

23 DR. HIRSCHFELD: Good morning, Dr. Schilsky,
24 members of the Committee, Drs. Berman, Pazdur, Justice, and
25 my colleagues at the FDA and the NCI, to the press, the

1 public, those cancer survivors who are here, and patients,
2 the ones who spoke, and to the many who did not have an
3 opportunity to speak, I want to let you know that I share
4 the same goals that you do, and it is particularly fitting
5 that this is colorectal awareness month when we are
6 discussing these applications, and has some personal meaning
7 for me because my stepfather passed away from GI cancer, so
8 I want to let Sam know that I was thinking of him, a little
9 harder than I thought.

10 I also would like to say bien venue and visiteurs
11 distingues--and I will see how that comes out in the
12 transcript.

13 [Laughter.]

14 [Slide.]

15 We are discussing this morning an application
16 numbered NDA 21063, which is oxaliplatin in combination with
17 5-FU and leucovorin for first-line therapy for colorectal
18 cancer.

19 [Slide.]

20 The regulatory standard for first-line therapy of
21 colorectal cancer is to improve overall survival. That
22 principle has been affirmed many times in discussions by
23 this committee and its predecessors, and in the transcripts
24 of those discussions, particular mention is made that
25 results which are based on tumor measurement, such as

1 response rate or progression-free survival, are difficult to
2 interpret and we will touch on that theme somewhat later.

3 [Slide.]

4 As we have heard, 5-FU plus leucovorin has been
5 the standard of care and will prolong survival compared to
6 5-FU monotherapy. I would like to in the next few slides
7 share with you based on what is published in the literature
8 what one may expect, so that we can put the results from
9 this morning in context.

10 [Slide.]

11 There is no one preferred method of administration
12 of 5-FU/leucovorin. There are many regimens available. On
13 this slide, there is a comparison from over 20 published
14 randomized controlled studies of what one may expect for
15 overall survival for 5-FU/leucovorin using a monthly
16 infusion with low dose leucovorin, about a year; high dose
17 leucovorin, from 8 to 15 months; using the regimen which is
18 often referred to as the Mayo Clinic regimen, from about 10
19 to 14 months, or the biweekly regimen often referred to as
20 the de Gramont regimen, anywhere from 10 to 15 months.

21 [Slide.]

22 There is a lack of correlation and of course any
23 analysis using the literature in any analyses comparing
24 results across studies has disadvantages and weaknesses, but
25 nevertheless, if one asks the question for an individual

1 study, was there a correlation between response rate and
2 overall survival, these are the results of 23 published
3 controlled studies. From the back of the room, this may
4 appear like a fuzzy cloud, but what this represents is that
5 there is no correlation.

6 [Slide.]

7 If one asks the same question for progression-free
8 survival, there is a better clustering, but again it is
9 difficult to make the extrapolation with any degree of
10 certainty between progression-free survival and overall
11 survival.

12 So, from our point of view, this reinforces the
13 precept, based on the advice we have received from this
14 committee over the years, that overall survival is the
15 critical parameter and measurement of clinical benefit for
16 first-line therapy for patients with colorectal cancer.

17 [Slide.]

18 The regulatory history of this NDA is that the
19 studies were designed without prior discussion, so we did
20 not have input into the trial design.

21 There were meetings, some which were referred to
22 earlier, and one in particular, the FDA affirmed the
23 principle of overall survival, and I will quote, "Approval
24 of any new product in previously untreated advanced
25 colorectal cancer would require demonstration of a survival

1 advantage," and because are sensitive to the practice of
2 medicine, although we make every attempt not to get involved
3 in the actual practice of medicine, but because we are
4 sensitive to the practices of medicine in the United States,
5 we further stated that the demonstration of a survival
6 advantage when adding oxaliplatin should be to an acceptable
7 U.S.-based 5-FU regimen.

8 The subsequent meeting with the applicants, we
9 reaffirmed the principles of analysis for survival and
10 stated that for survival analysis, the greatest weight will
11 be placed on the log-rank test.

12 I would like to state some other general
13 principles before we go into the specifics of this
14 application.

15 [Slide.]

16 We will not be discussing whether oxaliplatin has
17 activity against colorectal cancer. We will not be
18 discussing whether the studies that were performed to
19 support this application were high quality studies or not.
20 We feel they were high quality studies.

21 We will not be discussing whether patients will or
22 will not have access to oxaliplatin. What we will be
23 discussing is the matter at hand, which is, is the claim
24 that the applicant is making that oxaliplatin plus 5-
25 FU/leucovorin should become the first line therapy of choice

1 in the United States, in other words, the new standard of
2 care for treating patients, that is the issue which we will
3 be discussing.

4 I will go back one. I meant to call attention
5 here. The sponsor submitted a lot of data to us. There was
6 data on 33 clinical studies, and they provided datasets,
7 complete datasets for 8 studies of which 2 were randomized
8 controlled studies comparing 5-FU/leucovorin to 5-
9 FU/leucovorin plus oxaliplatin in first-line treatment of
10 patients with colorectal cancer.

11 All the other studies were not controlled studies
12 addressing the question at hand, but were submitted in the
13 form of supportive information, and at this time, I would
14 also like to acknowledge what I personally have felt to be
15 professional, cooperative, and courteous relationships with
16 the sponsor, and in particular I would like to cite Mr. Mark
17 Moyer in our review of this application.

18 [Slide.]

19 Study 2961 began enrolling patients in June 1994
20 and completed its enrollment in March 1996. The primary
21 endpoint specified in the protocol was response rate. The
22 secondary endpoints were progression-free survival, overall
23 survival, and toxicity.

24 There were two interim analyses planned, and the
25 primary analysis for survival, as stated in the protocol was

1 the log-rank test. The regimen was based on a
2 chronomodulated schedule.

3 [Slide.]

4 The other randomized controlled study in first-
5 line colorectal cancer submitted with this application was
6 Study 2962, which began enrolling patients in August 1995
7 and completed enrollment in July 1997.

8 The primary endpoint in this protocol was
9 progression-free survival. Again, overall survival was a
10 secondary endpoint in addition to response rate, tolerance,
11 and quality of life.

12 There were two interim analyses planned. The
13 primary analysis for survival stated in the protocol again
14 was the log-rank test, and the regimen in this study was a
15 biweekly infusion schedule.

16 So, again, both studies had different regimens.

17 [Slide.]

18 In February 1998, six months after the last
19 patient was enrolled, five months prior to the cut-off date
20 for survival, an amended analysis plan was submitted.

21 This plan once again affirmed the use of the log-
22 rank test for overall survival and then further stated that
23 a multivariate analysis would be provided using 12
24 covariates, but these covariates were categories of
25 covariates, some with multiple elements, and they were

1 defined by terms, such as renal function or liver function,
2 and this amended analysis plan further stated that if other
3 parameters seemed to be pertinent for this analysis, they
4 will be used.

5 [Slide.]

6 Having established the principle of overall
7 survival, and having established the preference for the log-
8 rank test as a means to determine overall survival in an
9 unadjusted manner, here are the results of the two
10 randomized controlled studies that were submitted.

11 Study 2961 showed in the control arm a median
12 survival of 19.2 months, and in the oxaliplatin-containing
13 arm, a median survival of 17.4 months, or to put it in
14 another way, approximately a two-month deficit for those
15 patients who received oxaliplatin. The log-rank test was
16 0.58 and I think what one can conclude from this is there
17 was probably no difference between the study arms.

18 The second study in the control arm showed a
19 median survival of 14.7 months; in the oxaliplatin arm, 15.9
20 months, or in other words, a one-month difference
21 approximately, and the log-rank test was 0.135, which is the
22 same numbers which the sponsor calculated. So, we agree
23 with this analysis and with the calculations which were
24 performed to achieve these results.

25 There was some discussion earlier this morning of

1 response rate, and although I do not wish to emphasize
2 response rate as indicative of, or predictive of, results in
3 first-line therapy for colorectal cancer, we tend to look
4 with interest at what are called complete responses, that
5 is, those responses which are in patients which have
6 detectable tumor and then at some point during their
7 therapy, they have no detectable tumor within the
8 sensitivity of the instruments being used to measure tumor,
9 and they remain without detectable tumor for typically at
10 least four weeks.

11 If we look at the complete response rate in the
12 arms of these two studies, we find that the complete
13 response rate in Study 2961, which was the chronomodulated
14 study, there were no patients that achieved a complete
15 response, and in the oxaliplatin, there was one patient that
16 achieved a complete response.

17 If we look at the complete response rates in 2962,
18 which used a different, the biweekly infusion schedule, the
19 complete response rate in the control arm was one patient,
20 and if we look in the oxaliplatin-containing arm, the
21 complete response rate was three patients. These numbers
22 are too small to analyze, but don't seem to indicate a
23 difference.

24 [Slide.]

25 You have seen these curves before--in prettier

1 colors I might add--but this is the Kaplan-Meier survival
2 curve for Study 2961, and the oxaliplatin-containing arm is
3 this blue one, which I am tracing with some difficulty, and
4 the control arm is the brown one.

5 [Slide.]

6 The same color scheme holds up here for Study
7 2962. The blue arm represents the oxaliplatin, and the
8 brown represents the control arm.

9 We are also struck by the similarity of the
10 curves.

11 [Slide.]

12 The criteria fundamentally for approval of a
13 claim--and I want to emphasize again it's a claim, it is not
14 an agent--is that there is some demonstration of clinical
15 benefit, so we examined whether there was clinical benefit
16 other than analysis of survival which we will address again,
17 which were seen in these studies.

18 Clinical benefit assessments were scheduled and
19 were part of the protocol of Study 2962, and some of the
20 criteria were performance status, pain scores, weight
21 change, symptom improvement or quality of life, and as has
22 been noted, compliance with the questionnaire for quality of
23 life and the measurements in each of these parameters are
24 not as robust as one may hope for, but there was no
25 demonstration of difference in any of these parameters

1 between the study arms.

2 [Slide.]

3 I would like to show you something you have not
4 seen yet this morning, and this is the scope of adverse
5 events, of total adverse events, not just adverse events
6 which are Grade 3 and 4.

7 The yellow here represents all adverse events for
8 oxaliplatin, and these categories are diarrhea, nausea and
9 vomiting, thrombocytopenia, neutropenia, leukopenia, and
10 paresthesia. You can see in each of these categories there
11 were differences, sometimes large, as to patients that
12 experienced these adverse events.

13 The light green represents the serious or Grade 4
14 adverse events, and to interpret this, this says, for
15 instance, that 42 percent of the patients had serious Grade
16 4 diarrhea, approximately 25 percent nausea and vomiting,
17 and approximately 42 percent had the paresthesias.

18 The grading of neurosensory or neurological
19 complications was different in each of the studies. There
20 was more precision in Study 2962, which I will show you in a
21 moment, compared to 2962. So, we don't have an idea of the
22 scope or range or implications in this adverse event grading
23 as we will in the next study.

24 The control, just to identify, is all the adverse
25 events is the light purple, and the serious adverse events

1 are the red.

2 [Slide.]

3 Examining the adverse event profile for Study
4 2962, we find again that fever without infection,
5 stomatitis, diarrhea, vomiting, nausea, thrombocytopenia,
6 neutropenia, leukopenia, and neurosensory all exhibited
7 large differences between the total number of adverse events
8 in the control arm and in the oxaliplatin-containing arm,
9 and the same qualitative sense was seen in the serious
10 adverse events.

11 [Slide.]

12 The neurotoxicity was graded using a scale prior
13 to the revisions of the National Cancer Institute Common
14 Toxicity Criteria Version 2. The scale that is represented
15 in the protocol has Grade 1 as the event resolved by the
16 next cycle; Grade 2, that the event persisted between
17 cycles; and Grade 3, that there was permanent impairment.
18 So, anything marked Grade 3 has to be interpreted as having
19 permanent impairment.

20 We see here that 17 percent of the patients were
21 graded as Grade 3 or having some permanent impairment, and I
22 don't have a slide to show you, but I will refresh some of
23 the numbers which were discussed earlier.

24 With regard to laryngospasm, 1 percent of the
25 patients on the oxaliplatin arm experienced what was

1 considered severe laryngospasm. Approximately 8 percent of
2 the patients had cramps compared to 1 percent on the control
3 arm.

4 For pharyngolaryngeal dysthesis, there was one
5 patient on the control arm and 22 percent, or 47 patients,
6 on the oxaliplatin-containing arm, of which one was
7 considered to have permanent impairment.

8 Lastly, there is a sign which is often a board
9 question in oncology, that is, Lhermitte's sign. What is
10 Lhermitte's sign? The correct answer--and my final answer
11 will be--that it's if you turn your head, twist your neck,
12 you will get sensations like electric shocks moving up and
13 down the spine. Seven patients, or 3 percent, of the
14 patients in the oxaliplatin-containing arm were described as
15 experiencing Lhermitte's sign.

16 [Slide.]

17 What you didn't see earlier was the curve for
18 cumulative neurotoxicity of all grades. This is the curve
19 for Grade 3, which again is the permanent impairment grade.
20 This is Grade 2, and the scale here is somewhat different.
21 It doesn't show you cycles. It shows you the cumulative
22 dose.

23 This is the grade it may be very difficult to see
24 for Grade 2, which is those events which persist between
25 cycles, and this is the Grade 1, which starts essentially at

1 the very first dose.

2 We can see that it is highly probable that at
3 least 80 percent of the patients will experience a minimum
4 of Grade 1 and that approximately 20 percent will experience
5 a Grade 3, which is the permanent impairment.

6 [Slide.]

7 That is all the data that I will share with you
8 now, and I wanted to then discuss what I consider some of
9 the strengths and weaknesses of this application.

10 There were randomized, controlled, multi-center
11 studies, and this is not to be minimized. These studies are
12 difficult to perform. It requires coordination. They were
13 in many countries, and we applaud the effort of the
14 investigators who initiated, conducted, and finished these
15 studies, because the oncology literature is replete with
16 incomplete studies.

17 [Slide.]

18 There were several weaknesses, and our
19 interpretation of weakness is just that, our interpretation,
20 but we felt that the survival results that were presented
21 were consistent with those that one may see with 5-
22 FU/leucovorin alone. In other words, the month advantage
23 that was seen in survival between the study arms could be
24 within the parameters of the range of what one sees in
25 published studies with 5-FU/leucovorin alone.

1 In addition, we felt that the reliance on an
2 adjusted analysis to demonstrate efficacy was a weakness,
3 and it was a weakness for a number of reasons, but one was
4 the large number of covariates, and the second was--and I
5 anticipate that this will be a topic for discussion later
6 on--the selection of the covariates in the statistical model
7 that was dependent upon study outcome.

8 There was presented earlier this morning, but
9 which we chose not to address, and that is an analysis which
10 is based on secondary therapy.

11 I should also add that although there were
12 citations made to the literature with regard to the validity
13 of the alkaline phosphatase marker, the complete search of
14 the literature reveals that there are many potential
15 prognostic markers, and there are studies that support
16 alkaline phosphatase and studies that do not support
17 alkaline phosphatase, and these have been examined since at
18 least 1985, and the acceptance of alkaline phosphatase as a
19 prognostic marker in first-line colorectal therapy trials
20 has not been universal, and as far as we are aware, based on
21 the submissions that we see, it is not a standard
22 prospective stratification.

23 [Slide.]

24 Another weakness was the lack of basis for
25 extrapolation to 5-FU/leucovorin regimens used in the United

1 States. One may say why was there a difference in outcome
2 of the two studies in this trial.

3 One interpretation is that they weren't any
4 different, they were both equivocal. Another
5 interpretation, which you have heard earlier today, is that
6 one of the studies demonstrated a survival benefit while the
7 other didn't. That begs the question what could the
8 explanation be - could it be because different regimens give
9 different results?

10 Another difficulty is that the schedule of 5-
11 FU/leucovorin, while generally analyses of published studies
12 show that survival is approximately the same, the outcome in
13 terms of adverse events and risk/benefit ratio varies highly
14 among different regimens, which is the impetus for
15 developing some of the regimens which Professor de Gramont
16 and Professor Levi did in order to address this risk/benefit
17 ratio in terms of the serious events.

18 There is greater toxicity on the oxaliplatin arm,
19 particularly neurotoxicity with oxaliplatin-containing
20 regimens, and we have concerns about the applicability of
21 these oxaliplatin regimens which were different in the two
22 studies to a regimen which may occur in the United States.

23 We also feel that cross-study comparisons or
24 exploratory analyses should not form the basis for approval
25 for an indication as important as first-line therapy for

1 colorectal cancer.

2 [Slide.]

3 I would like to address, since it was referred to,
4 some of the principles enunciated in the FDA Guidance on
5 Providing Clinical Evidence of Effectiveness which was
6 published in 1998.

7 One of the principles is that there should be
8 substantiation of results. "A single clinical experimental
9 finding of efficacy, unsupported by other independent
10 evidence, has not usually been considered adequate
11 scientific support for a conclusion for effectiveness."

12 Furthermore, "Independent substantiation of a
13 favorable result protects against the possibility that a
14 chance occurrence in a single study"--or I may add an
15 adjusted analysis--"will lead to an erroneous conclusion
16 that a treatment is effective."

17 [Slide.]

18 It further states that, "a single favorable study
19 among several similar attempts that failed to support a
20 finding of effectiveness would not constitute persuasive
21 support for a product use."

22 [Slide.]

23 And that, "Although an unexplained failure to
24 substantiate the results of a favorable study in a second
25 controlled trial is not proof that the favorable study was

1 in error"--again, this is not a comment stating that one
2 study was favorable and the other was not favorable, this is
3 just a statement of principle from the FDA guidance--"it is
4 often reason not to rely on the single favorable study."

5 [Slide.]

6 So, what would be the criteria for submitting a
7 single study? The criteria should be that the findings are
8 clinically important, that the study should have a
9 "statistically very persuasive finding" and that
10 "confirmation of the result in a second trial would be
11 practically or ethically impossible."

12 [Slide.]

13 With regard to the principles which have been in
14 effect at the FDA and have been also adopted by the ICH, the
15 International Conference on Harmonization, with regard to
16 multivariate analysis, the principles state that, "Pre-study
17 deliberations should identify those covariates."

18 Further, "When the potential value of an
19 adjustment is in doubt, it is often advisable to nominate
20 the unadjusted analysis as one for primary attention, the
21 adjusted analysis being supportive."

22 [Slide.]

23 These are the principles which we have adhered to
24 in the review of this application. I am just the fortunate
25 individual that can communicate the results of our analysis

1 to you, but there was an entire team of colleagues, and they
2 are all listed on this slide, but particularly, I would like
3 to recognize our colleagues in Biometrics, Drs. Mark
4 Rothmann and Gang Chen; my colleagues in the Clinical
5 Review, Drs. Nagamura, Johnson, and Justice; and the
6 contribution, often unheralded, of our project manager
7 staff, which in this case was Christy Wilson and her
8 supervisor, Dotti Pease.

9 At this point, I and all of my colleagues would be
10 ready to entertain any questions.

11 Thank you.

12 **Questions from the Committee**

13 DR. SCHILSKY: Thank you very much, Steve.

14 Steve, let me take the Chair's prerogative and
15 just ask you for clarification on one point and then we will
16 certainly open it up for discussion.

17 In your slide showing the regulatory history,
18 there was a comment made that in 1996, the agency stated
19 that "Approval of a new product in previously untreated
20 advanced colorectal cancer would require demonstration of a
21 survival advantage when adding oxaliplatin to an acceptable
22 U.S.-based 5-FU regimen."

23 I am wondering if you could elaborate a little bit
24 further on the reason for specifying an acceptable U.S.-
25 based 5-FU regimen.

1 Is it related to just, as you mentioned, being
2 sensitive to the practice styles in the U.S., were there
3 other concerns involved?

4 Clearly, the sponsor chose not to present such
5 information at least today, and obviously, if the drug were
6 available and marketed in the United States, my
7 understanding is that although the FDA might control how the
8 drug is promoted, it wouldn't necessarily be able to control
9 how the drug is actually used by practicing oncologists.

10 So, I wonder if you could elaborate further on the
11 concerns that the agency might have about the importance of
12 the U.S.-based regimen.

13 DR. HIRSCHFELD: Well, Dr. Schilsky, you have
14 touched on some of the concerns, and that is that it is
15 unlikely that the regimens that were used in these studies
16 would be used in the United States for a variety of reasons,
17 but our concerns were that given the diversity of profiles
18 seen both for benefit and risk in 5-FU-containing regimens,
19 that we, since our obligation is to provide accurate
20 information, and to attempt to maximize the benefits that
21 any therapy could have through the use of information, our
22 concern was that we would provide information which would be
23 consistent with the way the product would most likely be
24 used, which is not to say that the product has no use or
25 that the product may have applications in other settings,

1 but given the claim that was being proposed, we were
2 concerned that the applicability or the extrapolatability of
3 not only the efficacy data, but more particularly the
4 adverse event data would be uninformative, and that we would
5 be exposing patients to a risk or physicians to a risk
6 which, if we had the opportunity to avoid, we would avoid
7 it.

8 DR. SCHILSKY: Dr. Kelsen.

9 DR. KELSEN: I don't know if you can go back to
10 your very first slide now that the computer is off, but one
11 of the first slides you showed was that the bar for approval
12 for a new agent in colorectal cancer was set as a survival
13 advantage as first-line therapy.

14 That is a very difficult disease, colorectal
15 cancer, and for a long time that was undoubtedly true. I
16 wonder whether that paradigm is correct and if you can get
17 the slide up, it might be helpful.

18 There are at least two new drugs, one of which we
19 are talking about now, one of which we will talk about this
20 afternoon, that clearly are active in this disease, that
21 change response rates, and we will hear about survival this
22 afternoon.

23 Under what circumstances in a disease is it
24 appropriate to begin to shift from requiring a survival
25 advantage in first-line therapy as new effective second-line

1 treatments are developed, and to look at other endpoints,
2 such as time of progression, are there models for that in
3 other tumors, and is it time that we should consider
4 shifting our model in colorectal cancer from a requirement
5 for first therapy of survival to another endpoint?

6 DR. HIRSCHFELD: I will read the text of the
7 slide, Dr. Kelsen. It's the regulatory standard for first
8 line therapy of colorectal is to improve overall survival.
9 Philosophically, the FDA has I believe always, but certainly
10 now, is open to whatever analyses and whatever trial design
11 would show the most informative studies in a particular
12 disease context, and the principles are generally, and in
13 this particular case, have been enunciated in discussions
14 with and on the advice of the Oncology Advisory Committee.

15 We had a discussion on a different disease last
16 year, in June of 1999, on breast cancer where again the
17 issue was whether survival should be the regulatory standard
18 for approval for first-line therapy, and the committee
19 rather strongly supported that point of view, and in
20 addition, it supported the point of view with the
21 recognition that it was not necessarily restricted just to
22 breast cancer, but would apply to other disease settings.

23 DR. KELSEN: It will become increasingly difficult
24 as we identify new treatments in this disease, and just
25 talking about colorectal cancer, because I believe we have,

1 and particularly as we begin to salvage patients who have
2 previously unresectable liver metastasis, since that is a
3 very common pattern in this disease as distinct from perhaps
4 other solid tumors, and it will further and further muddy
5 the waters of trying to bring important new therapies to the
6 general population if we continue to ask for survival only,
7 and I raise that as a point of discussion.

8 DR. SCHILSKY: Perhaps we can defer that to the
9 discussion portion and just entertain questions right now
10 that focus specifically on Dr. Hirschfeld's presentation.

11 Ms. Forman.

12 MS. FORMAN: When a sponsor asks for an amended
13 analysis or presents an amended analysis, as this sponsor
14 has done, does that require FDA approval?

15 DR. HIRSCHFELD: The only approvals which we
16 formally grant are marketing licenses, and if the question
17 is did the sponsor get concurrence on the amended analysis,
18 I can only--I was not at the meeting--I can only reconstruct
19 from the meeting minutes, but there was discussion, and the
20 understanding that the agency had was that despite the
21 intent to use amended analyses and multivariate analyses,
22 that the primary analysis of survival, as well as
23 progression-free survival, would still be based on the log-
24 rank test.

25 So, in general, the question is that we appreciate

1 being informed of changes in analyses, but we don't
2 necessarily have to concur for that analysis to proceed.

3 I think it is testimony to our flexibility that we
4 are bringing this application to this committee and asking
5 for advice rather than categorically making a unilateral
6 decision.

7 DR. SCHILSKY: Other questions from the committee
8 members? Dr. Lippman.

9 DR. LIPPMAN: This is sort of to clarify what we
10 will discuss later on David's point.

11 When you look at the slide on the top of page 3,
12 on the correlation between response rate and overall
13 survival, the 23 studies, and presumably, most of those
14 studies were before there were active second-line therapies,
15 and still there is no correlation in response, between
16 response and survival.

17 If you look at maybe the more recent slide, more
18 recent studies that may have been done when there were
19 active second-line therapies, is there a better correlation
20 between response and survival?

21 DR. HIRSCHFELD: The answer is we didn't--it is
22 always difficult to do this type of analysis. I will just
23 state that at the onset. We decided to be more encompassing
24 than restrictive in looking at the studies, but if we had,
25 let's say, picked some landmark like we will say 1992 or

1 some other date, and looked at before and after, we didn't
2 find any differences.

3 That doesn't mean it doesn't exist, but it is not
4 something that could be demonstrated readily or something
5 that I think could be considered persuasive in terms of
6 evidence-based medicine.

7 DR. SCHILSKY: Steve, let me ask another question.
8 You showed us a slide and which you summarized clinical
9 benefit assessments, and you said that the agency agrees
10 with the sponsor's analysis that there were no statistically
11 significant differences in a number of parameters,
12 performance status, pain, weight change, and so on.

13 So, having done that analysis and having agreed
14 with the sponsor that there were no significant differences,
15 do you consider that to be a strength or a weakness of the
16 application?

17 DR. HIRSCHFELD: I will only speak for myself. I
18 have a fair degree of skepticism with these types of
19 endpoints and particularly when the compliance with the
20 measurements is less than robust, so I would say that
21 whether it's a strength or a weakness, I would feel neutral
22 about this actually, and I am neutral because I recognize
23 the challenge in collecting and interpreting these data.

24 DR. SCHILSKY: I guess the reason I asked the
25 question is because I have some concern that there may be a

1 sense that the absence of a decrement in quality of life
2 would be interpreted as a benefit to patients as opposed to
3 an improvement in their quality of life.

4 DR. HIRSCHFELD: We share the same concern, but
5 absent robust data, all we can do is state our concern.

6 DR. SCHILSKY: Other questions from the committee?

7 DR. HIRSCHFELD: So, just to paraphrase, I think
8 your concern and our concern, just because no difference was
9 noted in an analysis, it doesn't mean that there are no
10 differences, and it doesn't mean that the quality of life or
11 patient benefit is necessarily the same.

12 DR. SCHILSKY: I agree.

13 Dr. Lippman.

14 DR. LIPPMAN: Just one last thing. Since a major
15 issue regarding the survival is the adjusted analysis and
16 the impact of alkaline phosphatase, did you look at those
17 data? Were they available for the second trial to see if
18 one controls for alkaline phosphatase, if the results
19 change?

20 DR. HIRSCHFELD: I am going to call on my
21 colleague, Dr. Mark Rothmann. The answer is yes, we did,
22 but I think Mark invested enough time and effort that he
23 deserves at least a chance to state his point of view.

24 DR. ROTHMANN: Mark Rothmann, Statistics Review,
25 FDA. I guess the best answer I can give, we didn't look at

1 just adjusting for that factor in the other study, but
2 adjusting for a variety of factors as did the sponsor submit
3 something those lines, and it did not change the results.
4 The smallest p-value we got--and we did two of these--was
5 like 0.49.

6 DR. SCHILSKY: If there are no other questions for
7 Dr. Hirschfeld, thank you. Dr. Albain?

8 DR. ALBAIN: Yes, sorry.

9 DR. SCHILSKY: A question just came to mind?

10 DR. ALBAIN: Yes. It was on the same subject. I
11 look at the multivariate modeling as a strength here, not a
12 weakness, and I do so because it seems as though when you
13 control for not just alkaline phosphatase, but other
14 univariate predictors in your model appropriately, you still
15 have a strong treatment effect, and, in fact stronger.

16 To me, that is an appropriate thing to do in a
17 large Phase III trial, and I wondered if you could clarify
18 why you felt that to be a weakness.

19 DR. HIRSCHFELD: I will begin the answer, and I
20 will again call on Dr. Rothmann to complete, but what we got
21 was a packet with multiple analyses in them, and it wasn't
22 clear to us why one analysis was chosen over another one
23 other than the fact it gave a particular outcome.

24 So, from our perception--and it may be our
25 weakness, I am willing to admit that--but from our

1 perception, when we were confronted with multiple analyses
2 using multiple models, we felt that this was potentially
3 obscuring effect or making it difficult to interpret the
4 reliability of any particular analysis.

5 DR. ROTHMANN: It is hard to interpret analyses
6 for which have covariants, that you don't know whether or
7 not they are going to appear in the model, they are not
8 prespecified as appearing in the model. Had they been,
9 then, there wouldn't be any sort of adjustment for
10 possibility of Type 1 error, but sort of an issue, somewhat
11 of an issue is do you make adjustments because the treatment
12 arm was like the fourth factor that made it to the model.

13 Another issue, I guess, that Steve mentioned,
14 baseline alkaline phosphatase was not even really
15 prespecified in their statistical analysis plan, just liver
16 function tests.

17 DR. SCHILSKY: Dr. Simon.

18 DR. SIMON: Can you specify what the widely
19 accepted prognostic factors for advanced colorectal cancer
20 are, the major ones, and did you do an adjusted analysis of
21 Study 62, adjusting only for those factors?

22 DR. HIRSCHFELD: We had an internal discussion as
23 to what the most widely accepted prognostic factors are, and
24 I can tell you that we entertained factors such as
25 performance status, which is considered a widely accepted

1 prognostic factor, extent of disease varies by chemical
2 parameters, age of patient, whether the disease was just in
3 the rectum or whether it was colon and rectum. These were
4 part of the universe which we examined, and CEA antigen.

5 The difficulty--and I am starting to think of
6 more--number of liver metastases that can be counted, and
7 all of these have been supported in one way or another by
8 some segment of the literature, and we explored all of
9 these, but didn't have confidence in any one particular
10 model over another one.

11 I don't know if you would like to add something
12 more? No, okay. So, that will be our final answer.

13 DR. SCHILSKY: Dr. Lippman.

14 DR. LIPPMAN: On that same issue, I didn't realize
15 this until the FDA presentation, but although the covariate
16 analysis was prespecified, it was prespecified fairly late
17 in the trial according to your page 6 slide.

18 When that came to you, what were the reasons for
19 adding the adjustment for covariate five months prior to the
20 cut-off date?

21 DR. HIRSCHFELD: I am not sure I am understanding
22 the question.

23 DR. LIPPMAN: Well, it was amended in February of
24 1998 to include that.

25 DR. HIRSCHFELD: Correct.

1 DR. LIPPMAN: What were the reasons for putting
2 that in at the time, were some of these prognostic factors
3 becoming available or better known at that point?

4 DR. HIRSCHFELD: Why was the amended analysis plan
5 submitted? I think at that point in the study there had
6 already been two interim analyses and I wouldn't want to
7 speculate, so I may have to turn the question back to the
8 sponsor as to why they chose to submit the amended analyses
9 at that point in the study program.

10 MR. MOYER: The answer is regarding the protocol.
11 If you can turn to page 16 of the sponsor's document, you
12 will read the wording in the first paragraph which says
13 specifically, the protocol for ESC 2962 stated that overall
14 survival--and this is a quote--"will be compared between the
15 two treatment arms using the log-rank test. Further
16 explanatory analyses will be performed to adjust the
17 treatment comparison for accidental bias in using
18 multivariate models. Proportional hazard models will
19 include predictive estimates, prognostic factors, and
20 inclusion, such as performance status. Such analyses will
21 complement but not replace the unadjusted analyses."

22 Now, when we met with the FDA in '96, it was
23 actually October and November of '96, prior to the analysis
24 for survival, we went in and we were discussing this
25 survival with the endpoint of progression-free survival

1 being the primary endpoint.

2 FDA at that time asked us to submit the plan for
3 survival analysis because that was the secondary endpoint,
4 which was submitted in February--actually, '97, I am sorry,
5 it was '97 that we met with them.

6 We had an October meeting in which, yes, we did
7 get told about the use of the U.S. regimen of which actually
8 in October '97, went back to the agency because we had also
9 found a bridging study with this French intergroup trial
10 that enabled us to demonstrate consistency of the Mayo
11 regimen with the 5-FU regimen done by Dr. de Gramont, and at
12 that time, in '97, October '97, we discussed the survival
13 analysis, and that was submitted in February of '98, right
14 after that meeting.

15 DR. SCHILSKY: Thank you for clarifying that. My
16 interpretation of the quote you just read us from the
17 protocol is that the adjusted analyses would not replace the
18 primary unadjusted analyses.

19 MR. MOYER: That's correct.

20 DR. SCHILSKY: So, we should focus then on the
21 unadjusted analyses. Thank you.

22 Dr. Margolin.

23 DR. MARGOLIN : Again, another just very small
24 statistically oriented question. I am not sure that you
25 actually did the interim analyses, but if you did, did you

1 not have to adjust the final p-value of what was then going
2 to be this non-adjusted final analysis to compensate for the
3 interim--

4 MR. MOYER: I will let my statisticians discuss
5 that specifically, but the first was a stopping rule for
6 response rate, and the second interim analysis was also for
7 response rate, and which that was done, so it was very early
8 on that that interim analysis was performed, and we did not
9 look at survival at that point in time.

10 DR. MARGOLIN: So, in the study that--well, you
11 gave us response rates in both studies. Do the p-values
12 that are felt to be significant there, are those in
13 accordance with the p-values that you agreed upon based on
14 the two interim analyses for response rates?

15 MR. MOYER: Yes.

16 DR. SCHILSKY: Let's keep the questions focused on
17 the FDA presentation. We have already had an opportunity to
18 question the sponsor.

19 Other questions from the committee?

20 [No response.]

21 DR. HIRSCHFELD: I thank you then.

22 DR. SCHILSKY: Thank you.

23 **Committee Discussion and Vote**

24 DR. SCHILSKY: We have an opportunity for general
25 discussion among the committee members. We also have some

1 questions posed to us by FDA that we will need to focus on
2 and answer.

3 I am wondering if anyone around the table would
4 like to make any general comments at this point before we
5 focus on the questions.

6 Dr. Simon.

7 DR. SIMON: I just wanted to clarify some of the
8 points I made before. There are basically four statistical
9 issues here that are of some concern to me. The one was the
10 one I mentioned originally, is this data mature enough for
11 analysis.

12 At least in the submission, there were about 170
13 cases that were censored from the survival analysis, and we
14 are sort of struggling to understand is there a significant
15 survival effect or isn't there, and do we adjust or don't we
16 adjust, and the simplest way of trying to clarify that is to
17 have very updated data, the most updated data in the
18 survival analysis, and to have the data presented that is
19 essentially a year and a half old, with all those patients
20 censored from the analysis, I don't think is optimal. So, I
21 view that as a limitation.

22 The second issue was the issue of the
23 minimization. There are a whole variety of stratification
24 methods that are used in clinical trials, some of them I
25 call adaptive stratification methods, and all but one of

1 them have the characteristic that they keep a certain
2 randomness in the treatment assignment process.

3 They keep the randomness in for a variety of
4 reasons, but one of the reasons is to keep the people
5 entering the patients from being able to predict what the
6 next treatment assignment is, and that is really the most
7 critical role of randomization.

8 The one method that does not keep that randomness
9 is minimization. Although various kinds of stratifications
10 are used by U.S. cancer cooperative groups, I am not aware
11 that any of them use minimization with no random component
12 in it.

13 Now, they use only three stratification variables
14 in this minimization. One was center, one was performance
15 status in which they grouped the zeros and 1's, and which
16 constituted 90 percent of the patients. So, it is
17 essentially not even a stratification factor for this
18 minimization. The third one was number of sites.

19 So, I, in contrast to what Dr. Piantadosi says, I
20 believe there was at small centers a significant potential
21 for being able to predict what the next treatment assignment
22 was without anything going to any great lengths to keep
23 track of what the treatment assignments were.

24 That may very well not have happened, but I view
25 it as a limitation of the study.

1 DR. SCHILSKY: Can I just ask you to elaborate
2 further. Your concern would be that if such a potential
3 existed that a physician might determine that a patient with
4 a particular set of characteristics might be offered
5 participation in a study at a particular point in time, with
6 the expectation that they would get one arm or the other?

7 DR. SIMON: Right, but if you know that the next
8 treatment assignment is not going to include oxaliplatin,
9 then, you may decide not to enter, to withhold your patient
10 and not enter the patient.

11 I mean I don't know that that is a big deal, but I
12 think it is a defect in the study conduct.

13 The third issue is the issue of adjustment for
14 prognostic factors. I don't think the issue is should one
15 adjust for prognostic factors or shouldn't one adjust for
16 prognostic factors.

17 I think if you have very strong prognostic
18 factors, it's a good idea to adjust for them, but I think
19 you really need to specify which prognostic factors you are
20 going to adjust for in the protocol, because otherwise you
21 get into what we here have gotten into, the subjectivity of
22 which ones you adjust for and whether the method picked for
23 selecting those ones introduces a bias or using stepwise
24 regression the way it is described in the submission to
25 decide which ones to adjust for, leaves the p-value

1 associated with the treatment effect not the normal kind of
2 p-value that we are used to. It's not really a p-value of a
3 prespecified hypothesis test.

4 So, I think it is good to adjust for prognostic
5 factors, but you need to specify in the protocol what those
6 prognostic factors will be and you need to adjust then for
7 all of them that are specified in the protocol.

8 The final issue of concern to me, the analysis
9 that we are presented in which patients were censored when
10 they went on to a second-line treatment, I view as sort of
11 totally invalid.

12 One of the basic assumptions of the Kaplan-Meier
13 calculation and of the log-rank test is that there is no
14 what is called informative censoring, and by censoring
15 patients when they go on to either secondary treatment, it
16 indicates they have progressed, it indicates maybe--who
17 knows what it indicates, but it is very likely to be
18 informative censoring and to violate the assumptions of
19 those analyses.

20 DR. SCHILSKY: Thank you.

21 I am wondering if any of the committee members
22 want to discuss at all the issue of the 5-FU regimen used.
23 One of the things that struck me, I must say, in thinking
24 about this is looking back at the publication of what the
25 sponsor is referring to as the intergroup study, the

1 bridging study. Now, that was published in 1997.

2 That study in the publication did demonstrate a
3 greater response rate and progression-free survival compared
4 with a standard U.S.-based regimen. Yet, it is not obvious
5 to me as a clinical oncologist that many U.S. oncologists
6 have accepted the de Gramont regimen despite the fact that
7 that paper was published two-plus years ago.

8 Furthermore, I have some concerns about the notion
9 that that study, of course, did not demonstrate a survival
10 advantage for that 5-FU-based regimen, and one might argue
11 that it is a regimen that is perhaps somewhat more
12 cumbersome to administer than the usual 5-FU bolus regimens
13 that are commonly used in this country.

14 So, we are sort of in a situation where we have a
15 5-FU regimen which does not provide a survival advantage
16 over U.S. conventionally used regimens. That is perhaps
17 somewhat more cumbersome to administer. Now we add another
18 drug to that regimen again without showing any further
19 survival advantage and adding a new set of toxicities.

20 So, where have we come over this period of time
21 with a changing of 5-FU regimen and adding a new drug? It
22 seems to me that we are not clearly further down the road in
23 terms of benefitting patients except that we have now
24 devised a regimen that is technically more complex to
25 administer and has a new set of toxicities compared to the

1 U.S.-based conventional bolus regimens.

2 That is a personal view. I don't know if other
3 members of the committee share that view or anyone else
4 would wish to comment.

5 Kim?

6 DR. MARGOLIN: Well, I think that the main
7 advantage of the selection of that regimen and using the
8 information from that intergroup trial to design this
9 regimen was a safety one rather than an efficacy one.

10 I think what we saw was to reassure us that the
11 regimen was not inferior to the regimens we tend to use
12 here, and it seemed to be substantially less toxic in some
13 of the dose-limiting toxicities, thus allowing the safe
14 addition of the selected dose and schedule of the
15 oxaliplatin, so again back to the issue of if we were to
16 approve this, we would have to approve it with very, very
17 narrow kinds of guidelines on how it is to be used, and then
18 can't control what happens once it gets out.

19 DR. SCHILSKY: Dr. Kelsen.

20 DR. KELSEN: Just from the technical point of view
21 of giving 5-FU by infusion, I think 5-FU infusions are
22 widely used in the United States with radiation, without
23 radiation therapy, with programmable pumps, without
24 programmable pumps, through metaports, through PICC lines,
25 so I would guess that most oncologists are reasonably

1 comfortable with giving 5-FU by an infusion, and Dr. de
2 Gramont's regimen is a variation on that. I don't think the
3 technical aspects are the problem.

4 DR. SCHILSKY: Do you have any views as to why
5 that regimen has not been more widely used in the U.S.?

6 DR. KELSEN: I think that actually, a number of
7 oncologists in the U.S. are beginning to use it more
8 frequently. Why was it chosen, why do we give it for 14
9 days, and then seven days, and 21 days, I mean it is just
10 practice style. I mean there are people who will give
11 radiation for rectal cancer, and they will give a 5-FU
12 infusion for six weeks without blinking an eye.

13 DR. SCHILSKY: Other general comments or points of
14 discussion?

15 MR. MOYER: Is it possible for Dr. Piantadosi to
16 discuss the design of the study? It seems to me there is a
17 difference in opinions with that.

18 DR. SCHILSKY: It is my understanding that Dr.
19 Piantadosi was not involved in the design of the study, so I
20 don't really see a need for him to discuss it with us.

21 DR. KELSEN: Can I get back to the question I
22 asked at the beginning?

23 DR. SCHILSKY: Please.

24 DR. KELSEN: I mean this is a difficult disease,
25 as I said before, but I do think that there is progress been

1 made over the last few years. We have identified irinotecan
2 as an active drug.

3 I don't know the other committee members'
4 feelings, but I think the data that this oxaliplatin is an
5 active agent in this disease is very persuasive. It clearly
6 causes regressions both in first-line and second-line
7 therapy, and its safety profile, I think has been pretty
8 well established.

9 The issue I am grappling with is the slide that
10 Steve showed, No. 1, which is that the bar is an improvement
11 in survival as first-line therapy, and one has to clearly
12 demonstrate that outcome.

13 I really hope that over the next few years it will
14 be harder and harder, as we have better and better drugs, to
15 identify that, and there is something a little funny about
16 colorectal cancer, it's a little different than a lot of
17 other solid tumors.

18 Surgical salvage is possible in a small but
19 defined fraction of patients, and that salvage is curative
20 therapy, and there are not many solid tumors--maybe sarcoma
21 with lung metastasis--in which we intervene routinely and
22 safely, resect hepatic metastasis and cure a small but
23 defined percentage of patients.

24 That will further muddy the waters. It might be a
25 little different than breast cancer. So, I again wonder if

1 the appropriate endpoint should remain survival, which might
2 become a harder and harder thing to show, and we might miss
3 an important new drug, and whether there is a model--and I
4 am asking, I don't know--if there is a model for when one
5 begins to switch to another endpoint, and a time to tumor
6 progression seems a reasonable one to me. I am not in favor
7 of response, but time to tumor progression might be an
8 acceptable alternative.

9 DR. SCHILSKY: David, before we discuss that
10 further, let me just remind you I think Dr. Hirschfeld made
11 a very important point that we, as a committee, should keep
12 in mind, and that the issue before us is not whether this
13 drug has activity in treatment of patients with colorectal
14 cancer.

15 The issue really is the fundamental claim by the
16 sponsor that this drug, in combination with a particular way
17 of giving 5-FU and leucovorin, should become accepted as the
18 new standard of care for first-line therapy of patients with
19 metastatic disease based upon the data that have been
20 presented.

21 DR. KELSEN: I agree with that and accept that. I
22 am wondering if the measure of efficacy, put response to the
23 side, if the surrogate for efficacy, the measure of efficacy
24 rather is a change in outcome measured by a change in time
25 to tumor progression, which is a difference in outcome over

1 time rather than just it got a little smaller for a month.

2 DR. SCHILSKY: Dr. Sledge, Dr. Johnson, Dr.
3 Nerenstone.

4 DR. SLEDGE: I think that is a concern many of us
5 share for many diseases. I certainly share that concern for
6 breast cancer. However, I have got to say I don't
7 particularly share it for this particular drug, because the
8 problem is not that the patient is going on this trial that
9 had three prior chemotherapies, and therefore it made too
10 high a bar to jump over.

11 This, in fact, was a trial where 80 percent of the
12 patients had had no prior chemotherapy, so it doesn't strike
13 me as a particularly startlingly high bar to jump over from
14 that standpoint.

15 DR. D. JOHNSON: I will not address the
16 particulars of this, but the issue that you raised regarding
17 the increased use of salvage therapy. First of all, I don't
18 think that that is new. It has been around for a long time.
19 Certainly, drugs have been used as second-line therapy.

20 You can argue that the drugs we are dealing with
21 today are, quote, unquote, "more active" than some of the
22 drugs that we have had in the past, and I would personally
23 agree with that. Some people might differ with us on that
24 issue.

25 I guess I would feel that way if, for example, in

1 this trial, as an example, not specifically related to this
2 trial, it is not as if one group got salvaged and the other
3 did not. In fact, as I looked at these data, they showed
4 exactly the same number of patients got salvaged.

5 Then, you are postulating that the salvaging drug
6 on the control arm is superior to the salvaging drug on the
7 experimental arm. In this case, there were two drugs that
8 were active - CPT-11 presumably, that has been already
9 approved for such, and oxaliplatin.

10 My view is that they balance out anyway, so
11 therefore they should have been, if there was an advantage
12 at all for upfront oxaliplatin, again just using this as an
13 example, not as an argument for approving or disapproving,
14 it seems to me that the survival advantage would have
15 survived.

16 The example that exists, in my mind, is Herceptin,
17 and I think there it is fairly clear that giving Herceptin
18 to those patients second line, who were on the control arm,
19 had an impact, but it did not impact the overall survival
20 using it upfront.

21 It also sort of goes against some of the tenets of
22 what I understand regarding chemotherapy usage, giving it
23 early versus giving it late. If you have got ineffective
24 drugs, it makes no difference. If you have effective drugs,
25 it ought to make a difference where one gives those

1 therapies.

2 The last comment I would make is that I personally
3 think time to progression is a horrible endpoint as we do it
4 today. If we, in fact, use that as a surrogate--and we made
5 these arguments a year ago--we are going to have to redefine
6 how we determine that endpoint.

7 There are plenty of examples that I can think of,
8 at least in some diseases like lung cancer, where second-
9 line therapy really has not had an impact when one compares
10 it against supportive care in that setting.

11 To me, that is what we need before we move to the
12 next step that you are proposing, David, which I happen to
13 agree with you philosophically, but what I would like to see
14 is a random trial where, in fact, supportive care was given
15 as second-line, comparing it to the best possible therapy.

16 We have that with CPT-11, we don't have that yet
17 with a lot of other drugs, and therefore I think one could
18 argue that CPT-11 might have impacted survival, but I am not
19 sure you can now argue that oxaliplatin has that.

20 So, it is a very complex issue, but I personally
21 find it very difficult to use it, and I believe that if
22 there is a sufficient clinically relevant survival
23 advantage, it will emerge ultimately, because these patients
24 will end up randomly getting equally poor or equally good,
25 quote, "salvage" therapy.

1 DR. SCHILSKY: Dr. Nerenstone.

2 DR. NERENSTONE: Most of my comments have been
3 stated. I think the other problem about time to tumor
4 progression, which again came up in our other discussions,
5 is that it is extremely subjective, and in these studies
6 which are not blinded, when you decide that somebody is
7 progressing on treatment, is very much biased by the
8 investigator who may know that somebody is getting an
9 experimental drug versus not getting an experimental drug,
10 so I think time to progression per se is a very poor
11 indicator.

12 I just have sort of a general comment, then, and I
13 sort of need to probably throw it back to the FDA. As a
14 clinician, I sat through this presentation and felt
15 extremely frustrated, because we certainly need new drugs
16 for colon cancer, and I think that with the data that have
17 been presented in some of the ancillary studies and some of
18 the other things and from personal experience of having some
19 of my patients go on study, on some of these kinds of
20 studies, feel that this is an active drug.

21 I don't think, however, that this application has
22 proven for the indication that has come up. So, my question
23 to the FDA is, is there something short of approval in this
24 setting that we can do or are we limited to a yes or no vote
25 on this indication, period?

1 DR. JUSTICE: Are you asking whether accelerated
2 approval is an option in this case? No, I don't think so,
3 because there are alternative therapies.

4 DR. NERENSTONE: Even for third-line, second- or
5 third-line treatment? Not first-line, but second- or third-
6 line?

7 DR. JUSTICE: Well, second-line would not be
8 because CPT-11 is approved for second-line, so, third-line,
9 could they do a study showing that patients who were
10 refractory to first- and second-line, then, get a reasonable
11 response rate in third-line, that's a possibility. You
12 know, generally, response rate in that setting are very low,
13 and it is not necessarily the route we would recommend.

14 DR. HIRSCHFELD: I would just comment that I share
15 that feeling, and we are in a position where we say, boy, if
16 only this were part of it or if only that were part of it,
17 then, we could perform our functions, and we would do it
18 expeditiously.

19 I remember there was a comment earlier today about
20 accelerating the review, and we try to accelerate every
21 review, and I think our time standards are getting better
22 all the time. Part of that has to do with the increasing
23 sophistication of having data come in electronically, but we
24 share that position, but we are restricted by both federal
25 regulation, the claim that is being made, and the data that

1 is used to support that claim.

2 DR. D. JOHNSON: May I make one last point, by the
3 way, that deals with the time to progression issue and the
4 progression-free survival.

5 It is particularly problematic in my mind. When
6 the investigators know that when the patient progresses,
7 they get to go on to the, quote, unquote, "new" drug. I
8 mean that is a huge problem.

9 So, little dits along the chest x-ray that you
10 might suggest is scarring if you think it's oxaliplatin or
11 whatever other drug you are looking at, rhubarb pie, versus,
12 you know, that's a new met if you are on the control arm. I
13 mean that is what really perturbs, in my view, those types
14 of analyses, and it has nothing to do with statistics, it
15 just has to do with what we, as physicians, want to do for
16 our patients. It's a bias that we can't get away from.

17 DR. BERMAN: Dr. Schilsky, could I add something
18 to what Dr. Nerenstone asked?

19 DR. SCHILSKY: Please.

20 DR. BERMAN: It touches also on the bar, the
21 hurdle, because there is nothing in the Food, Drug, and
22 Cosmetic Act or in the regulations that requires survival.
23 What we need is demonstration of clinical benefit.

24 Just from the brief discussion that has just
25 occurred, it sounds like time to progression in this case,

1 the committee is not going to conclude as evidence of time
2 of clinical benefit.

3 The only way there would be a opportunity for an
4 approval is if you believe this application supports a
5 clinical benefit. You could give us the feedback that you
6 don't think survival was necessary to support clinical
7 benefit. In fact, Steve was quoting this committee when he
8 talked about the hurdle and the bar and our following your
9 advice, but there would have to be something in the
10 application you thought represented convincingly clinical
11 benefit.

12 DR. HIRSCHFELD: May I just add that clinical
13 benefit is not necessarily solely defined in terms of
14 efficacy, but we would consider less toxicity or perhaps
15 even a different dosage form that may be easier to
16 administer. Those all could be considered clinical benefit.

17 DR. SCHILSKY: I think if I could just very
18 briefly summarize this time to progression discussion,
19 because we have had it on many occasions in this committee.

20 My sense is that most of the committee members, at
21 least philosophically would view time to progression in some
22 circumstances as being an appropriate endpoint in a clinical
23 trial.

24 The real issue, though, is the rigor with which
25 time to progression is assessed in terms of whether the

1 trial is blinded or not, whether the progression is assessed
2 by independent reviewers or not, the frequency of
3 evaluations that are performed, precisely how progression is
4 defined in the protocol, all of those issues.

5 So, I think that as a general discussion of time
6 to progression, those are the kinds of issues that are
7 recurring themes in our discussions, and as studies go
8 forward and are proposed to the agency in the future, I
9 think none of us would like to see a dismissal of time to
10 progression as an endpoint, but we would like to see a very
11 rigorous test for time to progression.

12 MR. MOYER: I just was wondering whether the panel
13 was aware that in 2962, the primary endpoint was
14 progression-free survival. That was independently reviewed
15 by a third party group, and criteria were set forth in that
16 protocol, how to assess that, so when you made that
17 statement, I wasn't sure whether this committee realized
18 that that was the case, part of the case in that study.

19 DR. SCHILSKY: That was pointed out to us in your
20 briefing document, but thank you for pointing it out again.

21 I think we need to go on a discussion of the
22 questions at this point. We have three questions that have
23 been posed. I am not going to read through this material in
24 detail.

25 I would point out that for the first question,

1 there are three summary tables that are provided. The first
2 table summarizes the efficacy that has been presented from
3 the randomized trials and, in essence, demonstrates that
4 with respect to overall survival, that there is no
5 statistical benefit for the oxaliplatin-containing arms in
6 either of the randomized trials.

7 In fact, in the 2961 trial, there is actually an
8 approximate two-month deficit in survival for the
9 oxaliplatin arm, whereas, in the 2962 trial, there is an
10 approximate one-month advantage to oxaliplatin, but in
11 neither study is survival statistically significantly
12 different between the arms.

13 There is statistically significant improvements in
14 response rate and progression-free survival in both of the
15 randomized trials, and in both of the randomized trials, the
16 improvement in progression-free survival is on the order of
17 three to four months.

18 We then have a brief summary of the other clinical
19 benefit parameters that we have already discussed, and then
20 we have two tables summarizing the adverse event profile
21 from each of the randomized trials.

22 The first question reads as follows: Both studies
23 show increased response rate and progression-free survival
24 with the addition of oxaliplatin, but neither shows a
25 survival advantage using the protocol specified primary

1 analysis.

2 In general, the Oncologic Drugs Advisory Committee
3 has recommended that survival be the basis for approval in
4 first-line treatments in colorectal cancer. Although Study
5 2962, using a biweekly regimen, did not demonstrate a
6 survival advantage employing the log-rank test specified in
7 the protocol as the planned method for analysis, an adjusted
8 analysis did indicate a survival advantage. The principal
9 adjustment was for baseline alkaline phosphatase.

10 Does the committee believe that Study 2962 with
11 the alternative analysis persuasively demonstrated a
12 survival advantage?

13 Is there any discussion on that before we vote?

14 All those who would vote yes, that the adjusted
15 advantage did persuasively demonstrate a survival advantage,
16 all those who would vote yes, please raise your hand.

17 [No response.]

18 DR. SCHILSKY: No yes.

19 All those who vote no?

20 [Show of hands.]

21 DR. SCHILSKY: 12 no. That is unanimous then.

22 Question 2: The 5-FU/leucovorin regimen used in
23 Study 2962 is not commonly used in the United States.

24 Does the committee believe that the results of
25 Study 2962 can be extrapolated to 5-FU/leucovorin regimens

1 that are used in the United States?

2 Is there discussion on that?

3 DR. D. JOHNSON: I think we need a little
4 clarification. The way I am interpreting this question goes
5 back to I think a question that was asked earlier, is the
6 regimen that was used, or regimens, used in these trials
7 essentially equivalent to regimens used in the U.S.

8 I think that is what we are being asked. No, we
9 are not being asked? There is some heads bobbing yes and
10 no, and there is some bobbing no.

11 DR. J. JOHNSON: We are asking if you think that
12 if we added oxaliplatin to standard U.S. regimens that it
13 would be safe and effective.

14 DR. D. JOHNSON: So, that raises another specter
15 of questions, I mean whole issues, because effectiveness,
16 one might be able to at least extrapolate from; safety, one
17 might have more difficulty.

18 Do you want to make it a two-part question or
19 what?

20 I mean because I think that most of us believe
21 that 5-FU regimens, whether one uses the ones used here or
22 what we use in the U.S. are going to be fairly comparable.
23 Clearly, the safety profiles are different, but it doesn't
24 stop people from using different ones.

25 The question about what oxaliplatin will add to a

1 U.S. regimen, I guess is more difficult certainly for me to
2 answer.

3 DR. SCHILSKY: I think we could anticipate the
4 delay of toxicity and it is from what we have seen in terms
5 of what it adds to a regimen in the context of a randomized
6 clinical trial. It is not so clear that it adds anything
7 but toxicity. So, to that extent, it might be fair to
8 extrapolate.

9 Dr. Sledge.

10 DR. SLEDGE: Just on a philosophical basis, I have
11 always been uncomfortable with the idea that there are
12 European regimens and American regimens in oncology. I mean
13 there are regimens, and whether or not one uses them in the
14 United States or in France, I suspect one would get roughly
15 similar results. I think we have to be a little bit careful
16 about, you know, invoking the NIH syndrome in these
17 discussions, you are not invented here.

18 Personally, I don't think there is a hill of beans
19 difference between the de Gramont regimen and the regimens
20 used in the United States, at least from a survival
21 standpoint.

22 DR. SCHILSKY: But I think we should--I think Dr.
23 Johnson stated it very clearly in terms of what the FDA
24 wants our opinion about and what I think we are concerned
25 about, which is if this drug were available on the market in

1 the United States, it is likely, given the way American
2 oncologists practice medicine, that it would be combined
3 with other 5-FU/leucovorin regimens other than the regimens
4 for which it has been rigorously tested.

5 And are we comfortable assuming that in that
6 context that the drug would have, or the regimen would have,
7 a similar toxicity profile and a similar efficacy profile
8 given the fact that there is very limited data and
9 essentially, in fact, the only data that has been presented
10 to us today by Dr. Goldberg suggested that combining
11 oxaliplatin with the Mayo Clinic style regimen is quite a
12 toxic therapy.

13 So, you know, would we be comfortable assuming
14 that even the toxicity profile used with the infusional
15 regimens would be similar with the U.S. regimens.

16 DR. SLEDGE: If that is true, all that would imply
17 is that maybe we are using the wrong regimen in the United
18 States.

19 DR. SCHILSKY: They may be, but that is not the
20 topic for discussion.

21 DR. SLEDGE: I mean the real question is whether
22 or not this adds any survival advantage because if we
23 believe that it added a survival advantage, we would find
24 some way of incorporating it into American regimens.

25 DR. SCHILSKY: I think we just voted on that one.

1 Dr. Lippman.

2 DR. LIPPMAN: I agree with your comment. It would
3 be very hard to extrapolate on the toxicity issue before
4 doing the study.

5 DR. HIRSCHFELD: The issue is the integrated, as
6 you stated, and I just want to clarify that every choice
7 that is made by a practitioner is a risk/benefit analysis,
8 and so that's the framing of what this question is after.

9 DR. SCHILSKY: Dr. Johnson.

10 DR. J. JOHNSON: I would just say that in view of
11 your answer to Question No. 1, this Question No. 2 has less
12 relevance than if you had answered Question No. 1
13 differently.

14 DR. SCHILSKY: Dr. Simon.

15 DR. SIMON: That is what I was going to say. It
16 sounded like inherent in this question was the assumption
17 that we believe that it was safe and effective in the
18 context of the regimen it was used in, and I don't think we
19 believe that. So, I don't see how to vote on this question.

20 DR. SCHILSKY: Let me ask the agency then. Are
21 you content with the discussion and it may not be necessary
22 to actually vote on this question then?

23 DR. HIRSCHFELD: We are content.

24 DR. SCHILSKY: All right. So, they are content.
25 If you are happy, we are happy.

1 Question 3. If the committee concludes that Study
2 2962 demonstrated a survival advantage--well, we haven't
3 concluded that, so maybe we don't need to vote on Question 3
4 either.

5 So, no vote is required on Question 3 in view of
6 the vote on Question 1.

7 DR. D. JOHNSON: Maybe actually the FDA does want
8 an answer to this question, because inherent in this
9 question is relevant to the future, and it goes back to what
10 Dr. Kelsen was dealing with earlier, and that is, is a
11 single trial sufficient in this new era that we are speaking
12 about. No?

13 DR. BERMAN: No, but I think if the committee
14 wanted to provide us with any comments about what you
15 believe continued development should look like, what you
16 would want to see. The one study/two study question is one
17 we are quite familiar with, but what you would like to see
18 for this particular agent would be of interest to us.

19 DR. D. JOHNSON: Well, actually, I think that that
20 is probably worth discussing since as was stated, these were
21 well conducted trials, everyone around the table has
22 inferred that they believe this is an active agent, and so
23 what trials might one conduct that would, in fact, lead to
24 approval.

25 DR. SCHILSKY: David, let me be sure I understand

1 what it is you want to discuss because the specific question
2 deals with whether or not a single trial would be sufficient
3 for approval in these circumstances.

4 Is that what you want to discuss, whether one
5 trial would be sufficient?

6 DR. D. JOHNSON: No, no, that is what I thought,
7 but we were just told no.

8 DR. BERMAN: We would be happy to dismiss that
9 question.

10 DR. SCHILSKY: Fine. So, the FDA doesn't want to
11 discuss that question. So, what question would you like us
12 to discuss?

13 DR. BERMAN: I think we are agreeing.

14 DR. D. JOHNSON: I am just speaking for myself,
15 and again, as a clinician, I do hold the bias that this is
16 an active drug and I do hold the bias that in order for me
17 to get my hands on an active drug outside of the institution
18 at which I work, we need to have it approved.

19 So, the question is how might one do so, and I
20 think that is a very relevant question.

21 DR. SCHILSKY: Well, one way might be to present
22 randomized clinical trial data showing the survival
23 advantage.

24 DR. D. JOHNSON: Showing the survival advantage.
25 That would be a wonderful thing. Now, since Dr. Kelsen, who

1 is much more knowledgeable than I about this disease, and my
2 own faculty member just told me that I am an idiot publicly,
3 and it's on record, which is probably true, but it's
4 irrelevant.

5 We need to figure out a way to do that, and
6 actually I was struck by a point that Dave made earlier, and
7 it seems to me to be a very relevant one, and they have
8 shown data here that begins to at least perk my ears up, and
9 that is if indeed one can convert patients from
10 unresectability to resectability, and that indeed results in
11 a survival advantage, then, that seems to me to be
12 powerfully persuasive data that this drug is worth using
13 upfront.

14 And if one were able to have a patient population
15 in whom surgeons could agree upfront that a patient was not
16 resectable, and they could be randomized to a study in which
17 this was the variable, and the endpoint was resectability
18 and CR, that would be, I would think--it would be a large
19 study in terms of numbers going in, but the differences that
20 one would have to construct would not be all that great.

21 Is that possible?

22 DR. KELSEN: That would be a really hard study to
23 do.

24 DR. D. JOHNSON: I am sure it would be hard to do.

25 DR. KELSEN: It would be ideal, but two surgeons

1 in the same room, looking at the same CT or MR, agreeing
2 that it is unresectable versus borderline resectable versus
3 clearly resectable, I mean we have all been through that
4 conversation many times. That would be a difficult trial.

5 DR. HIRSCHFELD: Which?

6 DR. KELSEN: A trial of oxaliplatin versus best
7 supportive care in the U.S. after failure of first-line
8 therapy, very hard to do, very hard to do. I don't think it
9 is going to happen.

10 Things may happen. We are having an important
11 discussion this afternoon which may change the paradigm. I
12 don't want to anticipate things, but that might, and then
13 you could think about a second-line trial against a variety
14 of different things I could think about in the other arm,
15 and this gets back to the second-line indication that was
16 discussed, which might lead to approval, because this is a
17 potentially very important agent, and I would hate for us to
18 not be able to move it forward.

19 So, wrestling with it, I don't see a convert to
20 resectability. I think that would be really hard. The
21 adjuvant studies, if they showed survival advantage as Kim
22 suggested, I mean that would settle it, but they are five
23 years down the line until they finish.

24 Rich's trial may show important endpoints
25 although, without getting hammered, I thought I heard you

1 mention something about time to progression or failure-free
2 survival. I don't want to get into that again.

3 So, those would be the ways to do it. Second-line
4 treatment, adjuvant treatment, a treatment done not in the
5 United States against best supportive care after failure to
6 an acceptable first-line regimen, that would be pretty
7 powerful, I would think. That last one would be pretty
8 powerful.

9 DR. SCHILSKY: We have some ideas for the future.
10 Let me suggest that we adjourn for lunch, and we will
11 reconvene at 1:45.

12 [Whereupon, at 1:00 p.m., the proceedings were
13 recessed, to be resumed at 1:45 p.m.]

AFTERNOON PROCEEDINGS

[1:50 p.m.]

1
2
3 DR. SCHILSKY: We do have some new people around
4 the table, so why don't we begin with another round of
5 introductions briefly. Dr. Albain, do you want to begin.

Introduction of Committee

6
7 DR. ALBAIN: Kathy Albain, medical oncologist,
8 Loyola University, Chicago.

9 DR. MARGOLIN: Kim Margolin, City of Hope, medical
10 oncology and hematology, Los Angeles.

11 DR. SLEDGE: George Sledge, medical oncology,
12 Indiana University.

13 DR. D. JOHNSON: David Johnson, medical
14 oncologist, Vanderbilt University.

15 DR. PELUSI: Jody Pelusi, oncology nurse
16 practitioner and consumer representative.

17 DR. NERENSTONE: Stacy Nerenstone, medical
18 oncology, Hartford, Connecticut.

19 DR. SCHILSKY: Richard Schilsky, medical
20 oncologist, University of Chicago.

21 DR. TEMPLETON-SOMERS: Karen Somers, Executive
22 Secretary to the Committee, FDA.

23 DR. SIMON: Richard Simon, biostatistics.

24 MS. FORMAN: Sallie Forman, patient
25 representative.

1 DR. CHICO: Isagani Chico, FDA.

2 DR. JUSTICE: Bob Justice, Deputy Division

3 Director, FDA.

4

5 DR. WILLIAMS: Grant Williams, medical team

6 leader.

7 DR. BERMAN: Rachel Berman, Deputy Office

8 Director.

9 DR. SCHILSKY: Thank you.

10 Now, I will ask Dr. Somers to read the Conflict of

11 Interest Statement.

12 **Conflict of Interest Statement**

13 DR. TEMPLETON-SOMERS: The following announcement

14 addresses the issue of conflict of interest with regard to

15 this meeting and is made a part of the record to preclude

16 even the appearance of such at this meeting.

17 Based on the submitted agenda for the meeting and

18 all financial interests reported by the participants, it has

19 been determined that all interest in firms regulated by the

20 Center for Drug Evaluation and Research which have been

21 reported by the participants present no potential for a

22 conflict of interest at this meeting with the following

23 exceptions.

24 In accordance with 18 U.S.C. 208, full waivers

25 have been granted to Dr. Richard Schilsky, Dr. Scott

1 Lippman, Dr. Kim Margolin, Dr. Victor Santana, and Dr.
2 George Sledge.

3 A copy of these waiver statements may be obtained
4 by submitting a written request to the FDA's Freedom of
5 Information Office, Room 12A-30 of the Parklawn Building.

6 In addition, we would like to note that Dr.
7 Douglas Blayney and Dr. David Kelsen are excluded from
8 participating in all matters concerning Camptosar.

9 Further, we would like to disclose that Dr. Kathy
10 Albain and Dr. Richard Schilsky have involvements which do
11 not constitute a financial interest in the particular matter
12 within the meaning of 18 U.S.C. 208, but which may create
13 the appearance of a conflict.

14 The Agency has determined notwithstanding these
15 interests that the interests of the Government and the
16 participation of Drs. Albain and Schilsky outweighs the
17 appearance of a conflict. Therefore, they may participate
18 fully in all matters concerning Camptosar.

19 In the event that the discussions involve any
20 other products or firms not already on the agenda for which
21 an FDA participant has a financial interest, the
22 participants are aware of the need to exclude themselves
23 from such involvement, and their exclusion will be noted for
24 the record.

25 With respect to all other participants, we ask in

1 the interest of fairness that they address any current or
2 previous involvement with any firm whose products they may
3 wish to comment upon.

4 Thank you.

5 DR. SCHILSKY: Thank you.

6 **Open Public Hearing**

7 I have not been informed of any members of the
8 public who wish to make a statement to the committee, but is
9 there anyone from the public who would like to address the
10 committee at this time?

11 Yes. Please come to the podium and state your
12 name and whether you have received any support to be here.

13 MR. NOVATNE: I am Bill Novatne from Genentech. I
14 have a brief question that I hope the committee will
15 consider this afternoon in their discussion that is relevant
16 to companies developing new drugs in colorectal cancer.

17 That is, assuming that CPT-11 is approved for use
18 in combination with 5-FU/leucovorin for first-line therapy
19 of metastatic colorectal cancer, is the benefit/risk ratio
20 of sufficient magnitude such that all future clinical trials
21 be required to include that as a comparator, or is it likely
22 that a subset of patients with metastatic colorectal cancer
23 will not receive CPT-11 as part of first-line therapy and
24 the trials using 5-FU/leucovorin as a comparator is so
25 justified in that subset?

1 Thank you.

2 DR. SCHILSKY: Thank you. That, of course, is a
3 generic question not pertaining to any particular product in
4 development, right?

5 Let's go on with the Camptosar presentation and
6 Dr. Miller.

7 **NDA 20-571/SE1-009 Camptosar Injection**

8 **(irinotecan hydrochloride injection)**

9 **Pharmacia & Upjohn Company**

10 **Sponsor Presentation**

11 **Introduction**

12 DR. MILLER: Good afternoon. My name is Langdon
13 Miller. It is a pleasure for me to be here today
14 representing oncology drug development at Pharmacia &
15 Upjohn. We are delighted to be able to share with you today
16 important efficacy and safety information supporting FDA
17 approval of Camptosar, also known as irinotecan or CPT-11
18 for the first-line therapy of metastatic colorectal cancer.

19 [Slide.]

20 Within the presentation today, we would first like
21 to provide you with background information relating to the
22 use of 5-FU as first-line therapy of colorectal cancer.
23 These data provide the foundation upon which CPT-11 was
24 developed for this indication.

25 In addition, we also wish to describe the

1 approximately 20 percent have metastatic disease at
2 diagnosis and 40 percent will eventually develop metastatic
3 disease.

4 Progression of metastases leads to death in almost
5 57,000 patients each year.

6 For 40 years, 5-fluorouracil has been the mainstay
7 of standard first-line therapy for patients who develop
8 metastatic disease.

9 [Slide.]

10 Because it has been the only available therapeutic
11 option, several strategies have been attempted to improve
12 its cytotoxic effects. One method has been coadministration
13 with leucovorin.

14 This strategy has been assessed in a meta-analysis
15 of nine randomized trials assessing over 1,300 patients.
16 While 5-FU alone produced only an 11 percent response rate,
17 coadministration with leucovorin increased that response
18 rate to 23 percent. However, median survival at
19 approximately 11 months was not altered by the addition of
20 leucovorin. Thus, adding leucovorin to 5-FU significantly
21 improves response rate but not survival.

22 [Slide.]

23 It has also been suggested that protracted
24 infusions of 5-FU may offer greater anti-tumor activity. A
25 meta-analysis of this strategy in over 1,200 patients has

1 been performed.

2 Again, we find a clear improvement in response
3 rates from 14 percent with bolus therapy to 22 percent with
4 infusional 5-FU. However, there was little change in
5 survival when 5-FU bolus was compared with infusional 5-FU
6 treatment. Thus, infusion of 5-FU increases anti-tumor
7 activity, but median survival has remained at 12 months.

8 [Slide.]

9 Clearly, a novel agent with a different mechanism
10 of action has been needed to further improve survival. CPT-
11 11 offers such a treatment. CPT-11 is a topoisomerase 1
12 inhibitor that has shown consistent activity in metastatic
13 colorectal cancer.

14 [Slide.]

15 When given as weekly, single-agent therapy, in the
16 first-line Phase II setting, response rates of around 30
17 percent and survivals of approximately 12 months have been
18 observed. These trials suggest that CPT-11 offers activity
19 of similar magnitude for that observed with 5-FU and
20 leucovorin in the meta-analysis.

21 [Slide.]

22 CPT-11's distinct mechanism of action was
23 clinically proved in the second-line therapy of metastatic
24 colorectal cancer.

25 [Slide.]

1 As presented by Pharmacia & Upjohn to this
2 committee in 1998, when single-agent CPT-11 therapy was
3 compared with best supportive care after failure of first-
4 line 5-FU, patients randomized to CPT-11 had significant
5 improvements in survival.

6 [Slide.]

7 Similarly, patients randomized to therapy with
8 second-line CPT-11 versus second-line infusional 5-FU also
9 had significant prolongation of survival.

10 [Slide.]

11 Taken together, these findings indicate that CPT-
12 11 offers a new and completely different mechanism of action
13 from that of 5-FU. CPT-11 is active as first-line, single-
14 agent therapy. Furthermore, CPT-11 extends survival when
15 used as second-line treatment.

16 It could be hypothesized that early combination
17 therapy with CPT-11, 5-FU and leucovorin might further
18 improve tumor control and survival.

19 [Slide.]

20 With these considerations in mind, three, Phase I
21 studies were performed as a prelude to Phase III testing.
22 The objective of these trials was to determine appropriate
23 doses when CPT-11 was added to existing schedules of 5-FU
24 and leucovorin.

25 The first of these trials was conducted by Dr.

1 Leonard Saltz at Memorial Sloan-Kettering Cancer Center, and
2 examined weekly administration of CPT-11 with weekly bolus
3 administration of 5-FU/leucovorin.

4 This schedule was based on extensive past
5 knowledge of weekly schedules of 5-FU/leucovorin, and the
6 CPT-11 schedule that had been most widely developed in the
7 United States.

8 This trial also documented that CPT-11
9 pharmacokinetics were not materially altered by
10 coadministration with 5-FU and leucovorin.

11 In France, Study F106 evaluated an every-2-week
12 schedule of administration. CPT-11 was given immediately
13 prior to the established de Gramont infusional regimen of 5-
14 FU and leucovorin. This trial confirmed that CPT-11
15 pharmacokinetics were unaltered by concurrent treatment with
16 5-FU and leucovorin.

17 In Germany, Study G101, performed by Dr. Wilke,
18 evaluated the addition of CPT-11 to an existing infusional
19 5-FU/leucovorin regimen that has been developed by the
20 German Cancer Society, that is known as the AIO regimen.

21 Pivotal Phase III Controlled Clinical Trials

22 [Slide.]

23 These Phase I studies led directly to the Phase
24 III clinical trials program that I will now describe to you.

25 [Slide.]

1 Two randomized, Phase III, controlled,
2 international studies have been conducted that document the
3 first-line clinical benefit of combination CPT-11/5-
4 FU/leucovorin in patients with metastatic colorectal cancer.

5 One of these trials was sponsored by Pharmacia &
6 Upjohn and is designated Study 0038. This trial compared
7 the combination of CPT-11 given with bolus 5-FU and
8 leucovorin that had been developed by Dr. Saltz to the
9 standard Mayo Clinic bolus regimen of 5-FU and leucovorin.

10 While not the focus of statistical testing, a CPT-
11 11 alone arm was included to document the activity of
12 single-agent CPT-11 therapy in the first-line setting in the
13 context of a multi-center Phase III study.

14 The other study was sponsored by Aventis and is
15 designated V303. This trial assessed the CPT-11/5-
16 FU/leucovorin regimens developed in the two European Phase I
17 trials and compared them with established 5-FU/leucovorin
18 regimens that are widely used in Europe.

19 I would now like to describe to you the conduct
20 and results of pivotal trials Study 0038 and Study V303.

21 [Slide.]

22 To be included in Study 0038, patients were
23 required to have histologically proven colorectal cancer,
24 unresectable measurable metastatic disease, and an ECOG
25 performance status of 0, 1 or 2.

1 Patients could not have received prior
2 chemotherapy for metastatic disease. More than one year
3 must have elapsed since the completion of any previous
4 adjuvant 5-FU chemotherapy.

5 Patients were not permitted to have undergone
6 prior pelvic radiotherapy. An adequate organ function was,
7 of course, required.

8 [Slide.]

9 For Study V303, entry criteria were very similar
10 as those for Study 0038 with the two exceptions that are
11 highlighted in yellow. A minimum of six-month interval
12 since prior adjuvant therapy was required in Study V303,
13 whereas, Study 0038 required a 12-month interval, and in
14 addition, Study V303 permitted enrollment of patients who
15 have received prior pelvic irradiation, whereas, Study 0038
16 did not.

17 [Slide.]

18 For Study 0038, treatment was to be continued
19 until tumor progression or the occurrence of unacceptable
20 toxicity. Supportive care was to be uniform in all arms of
21 the study and included atropine for management of
22 cholinergic symptoms, loperamide for the treatment of
23 diarrhea, and antiemetics for the prophylaxis of nausea and
24 vomiting.

25 Second-line, post-study chemotherapy was

1 permitted.

2 [Slide.]

3 The same general treatment administration and
4 supportive care approach was taken in Study V303 except that
5 patients experiencing diarrhea in the context of severe
6 neutropenia or neutropenic fever were to receive a
7 fluoroquinolone antibiotic.

8 [Slide.]

9 The primary endpoint of Study 0038 was time to
10 tumor progression. Other major endpoints included tumor
11 response, survival, safety, and quality of life as assessed
12 by the validated EORTC Quality of Life Questionnaire C30.

13 [Slide.]

14 The same major outcome measures were assessed in
15 Study V303 except that in this trial, response rate was the
16 primary endpoint. Again, the EORTC QLQ-C30 was employed for
17 quality of life assessment.

18 [Slide.]

19 On-study measurements were performed at regular
20 intervals and included tumor measurements, clinical
21 evaluations, and laboratory assessments. Tumor assessments
22 were obtained uniformly at six weeks for the first four
23 assessments in all arms of Study 0038, and thereafter at 12-
24 week intervals.

25 After completion of study chemotherapy,

1 information regarding post-study chemotherapy and survival
2 was obtained approximately every three months.

3 [Slide.]

4 In Study V303, the same methods of assessment were
5 employed with tumor measurements performed regularly every
6 six to seven weeks.

7 [Slide.]

8 The assumption of Study 0038 was that the CPT-11/
9 5-FU/leucovorin treatment arm would be associated with a 40
10 percent improvement in TTP relative to the 5-FU/leucovorin
11 treatment arm.

12 Differences in endpoints were to be compared by
13 means of two-tailed, unstratified tests. It was estimated
14 that 220 patients per study arm were required to meet study
15 objectives.

16 [Slide.]

17 The assumptions of Study V303 were that the
18 combination of CPT-11/5-FU/leucovorin would be associated
19 with a 40 percent improvement in response rate. Again, as
20 in Study 0038, differences between the study arms were to be
21 compared by means of two-tailed, unstratified tests.

22 It was estimated that at least 169 patients per
23 study arm were required to meet the objectives of this
24 trial.

25 [Slide.]

1 Patients enrolled to Study 0038 were stratified by
2 performance status, age, time from initial diagnosis, and
3 whether or not they had received prior adjuvant
4 chemotherapy.

5 Patients were then randomized equally into one of
6 three treatment arms. The focus of the trial was on the
7 assessment of the comparative efficacy and safety of a
8 weekly combination of CPT-11/5-FU/leucovorin with the
9 standard U.S. regimen of Mayo Clinic 5-FU/leucovorin.

10 While the schedules of 5-FU administration
11 differed, the trial was designed with the knowledge that the
12 5-FU dose intensity in the combination arm at 333 mg/m²/week
13 would be lower than the planned 531 mg/m²/week in the
14 control arm.

15 This design helped ensure that any incremental
16 benefit associated with CPT-11/5-FU/leucovorin treatment
17 could be attributed definitively to the CPT-11.

18 [Slide.]

19 In Study V303, the primary comparison was between
20 infusional therapy given with CPT-11 and the same infusional
21 therapy given without CPT-11. Within each primary treatment
22 group, individual study sites were to determine in advance
23 whether they preferred to use the AIO regimens or the de
24 Gramont regimens.

25 Once decided, patients at AIO sites were only to

1 be randomized to receive the AIO regimen with or without
2 CPT-11. Likewise, patients at de Gramont sites were only to
3 be randomized to receive the de Gramont regimen with or
4 without CPT-11.

5 [Slide.]

6 The principal investigator for Study 0038 was Dr.
7 Leonard Saltz. The study was conducted at 71 sites in the
8 United States, Canada, Australia, and New Zealand with
9 enrollment occurring between May 1996 and May 1998.

10 The final cut-off date for survival was December
11 1999, that is, 19 months after enrollment of the last
12 patient.

13 [Slide.]

14 The principal investigator for Study V303 was Dr.
15 Jean-Yves Douillard. The study was conducted at 83 sites,
16 primarily in Europe, with enrollment occurring between May
17 1997 and February 1998. The final data cut-off date for
18 survival in this study was October 1999, 20 months after
19 enrollment of the final patient.

20 [Slide.]

21 The following slides provide an overview of
22 patient enrollment based on patient characteristics and
23 treatment administration in the Phase III studies.

24 [Slide.]

25 Study 0038 enrolled and randomized a total of 683

1 patients with similar numbers of patients in each treatment
2 group. These patients constituted the intent-to-treat
3 population upon which all efficacy analyses were performed.

4 A few patients were never treated or were treated
5 on another treatment arm of the study. After accounting for
6 these patients, an as-treated population was defined that
7 was analyzed for drug administration, safety, and quality of
8 life.

9 [Slide.]

10 Study V303 enrolled and randomized a total of 387
11 patients. In each arm, one patient was never treated,
12 leaving 198 patients who were randomized to CPT-11/5-
13 FU/leucovorin and 187 treated patients were randomized to 5-
14 FU/leucovorin alone. This group constituted the full
15 analysis population that was the major focus of efficacy
16 analyses in this study.

17 After accounting for a patient who was treated on
18 the other arm of the study, an as-treated population was
19 derived that was assessed for drug administration, safety,
20 and quality of life.

21 Approximately one-quarter of patients were
22 randomized to the AIO regimens and approximately three-
23 quarters of the patients were randomized to the de Gramont
24 regimens.

25 [Slide.]

1 In Study 0038, the median ages were similar across
2 treatment groups. There was a predominance of males. A
3 greater proportion of men were enrolled to the CPT-11/5-
4 FU/leucovorin treatment arm.

5 The majority of patients had tumor-related
6 functional compromise as evidenced by performance status of
7 1 or higher.

8 [Slide.]

9 Similar distributions of patients by age were
10 observed in Study V303 as in Study 0038. In this trial, as
11 in Study 0038, there was a greater proportion of men in the
12 CPT-11/5-FU/leucovorin group.

13 The populations were approximately evenly split
14 between those with normal performance status of 0 and those
15 with compromised function.

16 [Slide.]

17 For Study 0038, the disease-related
18 characteristics were well balanced. Colonic primary tumors
19 predominated as might be expected given the epidemiology of
20 this disease. The majority of patients were known to have
21 one involved organ site with metastatic involvement of the
22 liver in approximately 80 percent of the patients.

23 [Slide.]

24 For Study V303, there was a greater proportion of
25 patients with rectal cancer in the CPT-11/5-FU/leucovorin

1 group. The majority of patients were known to have one
2 involved organ site, again with metastatic involvement of
3 the liver in approximately 80 percent of the patients.

4 [Slide.]

5 When considering the disease history of the
6 patients in Study 0038, the median time from initial
7 diagnosis of colorectal cancer to randomization was less
8 than two months.

9 These findings indicate that most patients
10 participating in this trial already had metastatic disease
11 at the time of primary diagnosis of colorectal cancer.
12 Because most patients had initial metastatic disease, only a
13 minority of patients, approximately 10 percent across the
14 treatment arms, had received prior adjuvant 5-FU.

15 Patients were to be excluded who had received
16 prior pelvic radiotherapy, however, a few such patients were
17 enrolled into the trial.

18 [Slide.]

19 Those patients participating in Study V303 also
20 had metastatic disease at the time of primary diagnosis of
21 colorectal cancer, and thus there was again a short median
22 time from initial diagnosis of colorectal cancer to
23 randomization into the trial.

24 As a consequence, only one-quarter of patients had
25 received prior adjuvant 5-FU. Consistent with the

1 relatively higher proportion of patients with rectal cancer
2 in Study V303, approximately 20 percent of patients had
3 received prior radiotherapy.

4 [Slide.]

5 Based on past studies, several baseline laboratory
6 tests were prospectively designated in Protocol 0038 as
7 potential prognostic factors. The percentages of patients
8 with abnormalities in these parameters were well balanced
9 among the three arms of Study 0038.

10 [Slide.]

11 In Study V303, except for white blood count,
12 baseline laboratory values of potential prognostic
13 significance were well balanced between the two treatment
14 arms of this study.

15 [Slide.]

16 In assessing treatment administration, the median
17 treatment duration and upper range of treatment duration
18 was longer with combination therapy than with either 5-
19 FU/leucovorin alone or with CPT-11 alone.

20 In actuality, as in design, the median 5-FU dose
21 intensity in the combination arm at 236 mg/m²/week was
22 substantially lower than in the 5-FU/leucovorin arm at 457
23 mg/m²/week. The CPT-11 dose intensity in each of the CPT-
24 11-containing arms were similar.

25 Relative dose intensity of 5-FU and CPT-11 were

1 approximately 70 percent of planned in the CPT-11/5-
2 FU/leucovorin combination arm. Relative 5-FU dose intensity
3 was 86 percent of planned in the 5-FU/leucovorin alone arm.

4 [Slide.]

5 In Study V303, as in Study 0038, the median
6 treatment duration was, in general, longer with combination
7 therapy than with 5-FU/leucovorin alone.

8 Chemotherapy delivery was excellent. The median
9 relative dose intensities were greater than 80 percent for
10 CPT-11 and 5-FU in both the AIO and de Gramont combination
11 treatment regimens.

12 [Slide.]

13 I would now like to describe to you the major
14 efficacy and safety results of the two, Phase III studies.

15 [Slide.]

16 In both studies, treatment with CPT-11/5-
17 FU/leucovorin provided substantially improved response rates
18 over those observed with 5-FU and leucovorin alone.

19 With Study 0038, the confirmed objective tumor
20 response rate with combination therapy at 39 percent
21 contrasted with the 21 percent rate in the 5-FU/leucovorin
22 arm. These differences were highly statistically
23 significant with a p-value of less than 0.0001.

24 The response rate in the CPT-11 alone arm was
25 similar to that with 5-FU/leucovorin alone.

1 Confirmed objective tumor response rates were also
2 substantially improved for patients in the CPT-11/5-
3 FU/leucovorin arm of Study V303 at 35 percent versus 22
4 percent. Again, these differences were highly statistically
5 significant with a p-value of less than 0.005.

6 [Slide.]

7 Very analogous results were also seen for time to
8 tumor progression. Median TTP was 7 months with CPT-11/5-
9 FU/leucovorin as contrasted with 4.3 months with 5-
10 FU/leucovorin alone.

11 An unstratified log-rank test indicated that TTP
12 for the intent-to-treat population in the CPT-11/5-
13 FU/leucovorin patients was significantly improved over that
14 for patients receiving only 5-FU/leucovorin with a p-value
15 of 0.004.

16 Median TTP in the CPT-11 arm at 4.2 months was
17 similar to the 4.3 months observed in the 5-FU/leucovorin
18 alone arm.

19 The time to tumor progression results in V303
20 confirmed those in Study 0038, indicating a median TTP of
21 6.7 months with CPT-11/5-FU/leucovorin as contrasted with 4.
22 4 months with 5-FU/leucovorin alone.

23 Again, these differences were highly statistically
24 significant with a p-value of less than 0.001.

25 [Slide.]