

1 DR. SANTANA: I will take the pediatrician's  
2 prerogative.

3 I just have a point of clarification. As I  
4 listened to this, I am wondering about something and maybe  
5 my logic isn't correct here, but help me.

6 Thrombocytopenia and platelet refractoriness are  
7 hallmarks of VOD. So, these patients that are CRp's, which  
8 are having a problem with platelets, are these patients that  
9 are also having other liver toxicities that don't quite meet  
10 the criteria for VOD, quote, unquote, are there subclinical  
11 VOD's that are getting us into this issue of not attaining a  
12 complete remission?

13 DR. SHERMAN: If I can repeat the question, this  
14 question relates to the CRp patients and whether or not  
15 their delayed platelet recovery is a marker of VOD.

16 We looked extensively at the safety profile,  
17 including hepatic function tests in the CR and CRp patients,  
18 and could find no differences in their safety profile.

19 DR. SCHILSKY: We will take a 15-minute break and  
20 reconvene about 10:30.

21 [Recess.]

22 DR. SCHILSKY: Before we begin the FDA  
23 presentation, the sponsor has requested an additional minute  
24 to clarify two issues that the committee inquired about in  
25 the previous session.

1 Dr. Sherman.

2 [Portion not recorded because of electrical  
3 interference.]

4 DR. SHERMAN: The second point I would like to  
5 clarify is information about the exploratory analysis.

6 [Slide.]

7 On Slide B-88, this was an exploratory analysis of  
8 26 prognostic factors, including di-efflux.

9 [Slide.]

10 On B-90 we can see the results for landmark  
11 survival. As I mentioned, di-efflux was not associated with  
12 landmark survival, however on an analysis for overall  
13 survival, di-efflux was weakly associated.

14 [Slide.]

15 On Slide B-89, with an odds ratio of 0.97. FAB  
16 categorization was not associated with predicting either  
17 remission or overall survival.

18 Thank you.

19 DR. SCHILSKY: Thank you.

20 We will go on to the FDA presentation. Dr. Bross.

21 **FDA Presentation**

22 DR. BROSS: Good morning. My name is Peter Bross.  
23 I will be giving the FDA review of gemtuzumab ozogamicin in  
24 relapsed CD-positive acute myeloid leukemia.

25 There are three minor changes between my slides

1 and the handout that you have. I will be happy to discuss  
2 them at the end.

3 [Slide.]

4 Gemtuzumab ozogamicin is an immunotoxin, a novel  
5 class of anti-neoplastic drug in which a toxin is attached  
6 to an antibody and against an antigen found on the surface  
7 of cancer cells. In this case, the toxin is calicheamicin,  
8 which attaches to DNA, and the antibody is the humanized  
9 monoclonal antibody against CD33.

10 [Slide.]

11 The proposed indication is the treatment of CD33  
12 positive acute myeloid leukemia in relapse.

13 Gemtuzumab ozogamicin targets the CD33 antigen,  
14 which is found on the surface of these leukemia blast cells  
15 in the majority of acute myeloid leukemia patients.

16 [Slide.]

17 I would like to attempt to guide you through the  
18 regulatory issues involved in this application. The sponsor  
19 is seeking accelerated approval for the indication of  
20 relapsed CD33-positive acute myeloid leukemia.

21 To achieve approval, the drug needs to be shown to  
22 possess a meaningful therapeutic benefit over existing  
23 therapeutic options. Although there is currently no drug  
24 specifically approved for use in relapsed acute myeloid  
25 leukemia, the sponsor needs to demonstrate that their drug

1 is better than existing treatments to achieve accelerated  
2 approval.

3 Normally, this is done by demonstrating an  
4 improvement in efficacy. In this application, the sponsor  
5 is attempting to demonstrate improved safety, but efficacy  
6 still needs to be comparable to available treatments.

7 Complete response is considered a surrogate  
8 endpoint in this case because of the difficulty of  
9 determining the duration of response.

10 [Slide.]

11 For hematologic malignancies, durable complete  
12 remissions have been considered as adequate evidence of  
13 clinical benefit. In this case, however, the duration of  
14 responses are difficult to measure because of subsequent  
15 therapies, especially transplantation. Since duration of  
16 response is difficult to measure, in this case complete  
17 response would be viewed only as a surrogate for clinical  
18 benefit.

19 Since approval is based on a surrogate, the  
20 accelerated approval regulations require the sponsor to  
21 initiate studies following approval in order to confirm  
22 clinical benefit.

23 [Slide.]

24 There are several review issues of primary concern  
25 in this application. In terms of efficacy, we believe that

1 some questions still remain concerning clinical equivalence  
2 of the response categories of complete remission and CRp.

3 Is this drug equally as efficacious as  
4 conventional salvage chemotherapy regimens? The sponsor  
5 needs to demonstrate this.

6 Which patient groups would benefit most? How do  
7 we interpret survival data in the absence of any consistent  
8 post-remission therapy?

9 In terms of safety, how significant is the  
10 hepatotoxicity reported in this drug, and more importantly,  
11 is there really a safety advantage with this drug over  
12 conventional leukemia salvage treatments?

13 [Slide.]

14 These were the studies originally submitted for  
15 review in October. They include Phase I study of 41  
16 patients, and three, Phase II studies, totalling 104  
17 patients. You will notice that the Phase II studies are  
18 still ongoing and accruing patients.

19 [Slide.]

20 Originally, we received data on 41 patients in the  
21 Phase I study and 104 patients in the Phase II studies. In  
22 January, we received efficacy and safety updates on the  
23 original study patients plus an additional 38 patients, for  
24 a total of 142 patients.

25 [Slide.]

1 Differences between the studies are highlighted  
2 here in yellow. I might just point out Study 203 allowed  
3 older patients with shorter duration of remission, somewhat  
4 looser hepatic and renal entry criteria, and this group  
5 would be expected to have a worse prognosis.

6 [Slide.]

7 The study drug was given as a single, two-hour  
8 intravenous infusion, which was repeated once on day 14. I  
9 might say that our pharmacokinetic review is not completed,  
10 and we found some variability in the half-life, which we are  
11 not sure whether it is associated with receptor saturation  
12 or problems with the assay. So, we requested further data  
13 on this, but this is an innovative form of therapy, and we  
14 can't necessarily expect it to behave as a normal  
15 chemotherapy drug.

16 This brief infusion, of course, is in contrast to  
17 the standard 7 and 3 classic induction chemotherapy regimen  
18 for the induction of myeloid leukemia which has been used  
19 for years.

20 Eligibility was determined on site, but responses  
21 were determined by the independent pathologist, and growth  
22 factors were not allowed on the study.

23 [Slide.]

24 Primary endpoints were safety and efficacy as  
25 defined by complete response. Complete response was defined

1 by the conventions commonly used in leukemia trials  
2 including absence of circulating blasts, no increased blasts  
3 in bone marrow, and untransfused hematology values as noted.  
4 Patients had to be red cell transfusion independent for 14  
5 days and platelet transfusion independent for 7 days.

6 [Slide.]

7 Morphologic remission was the term originally  
8 coined to describe the group of patients later termed CRp's.  
9 These remissions were defined in the same way as complete  
10 remissions except that the platelets never achieved 100,000.  
11 Remember that CRp was not a primary endpoint in the study  
12 and that the patients still were required to achieve red  
13 cell and platelet transfusion independence.

14 [Slide.]

15 In most leukemia trials that we reviewed, patients  
16 who failed to achieve the prespecified hematologic values  
17 were grouped with those patients who failed to achieve  
18 complete clearance of blasts, and these were called partial  
19 remissions.

20 These usually comprised less than 5 percent of all  
21 the patients in the trial. In Phase I trials with  
22 gemtuzumab, a substantial number of patients were identified  
23 who had durable clearance of blasts with incomplete platelet  
24 recovery.

25 It was postulated that for some reason this group

1 of patients was particularly susceptible to the toxic  
2 effects of the drug on the stem cells, megakaryocyte  
3 precursors, although persistent leukemia might also have  
4 explained the failure of these patients to achieve normal  
5 platelet counts.

6 The sponsor initiated some studies to confirm in  
7 vitro suppression of megakaryocyte colony-forming cells in  
8 the marrows obtained from normal donors, however, the long-  
9 term toxicities of this drug on the stem cells have yet to  
10 be completely delineated.

11 We believe there are still some questions  
12 remaining concerning the pathophysiology of this phenomenon.  
13 It would be reassuring to have cytogenetic clearance of the  
14 leukemia clone in every case of the patients who achieved a  
15 CRp. Unfortunately, we don't have that data yet.

16 [Slide.]

17 What does all this have to do with the treatment  
18 of leukemia? Combined efficacy results from the original  
19 104 patients are highlighted in yellow. You will notice  
20 that there is only a 17 percent complete remission rate, but  
21 if you add the CRp's overall response rate was 31 percent.

22 Overall response rate, therefore, was largely  
23 influenced by this group of CRp's. The results were fairly  
24 uniform between the different trials with patients in Study  
25 203 demonstrating somewhat decreased response rates, which

1 would be expected in an older population.

2 [Slide.]

3 Updated efficacy results with an additional 38  
4 patients showed a similar overall response rate of about 30  
5 percent, which did not change significantly. The additional  
6 data did not alter the overall response rates, but confirmed  
7 the contribution of the CRp's to the overall efficacy  
8 results.

9 [Slide.]

10 The sponsor has presented some data on relapse-  
11 free survival in support of the concept that the CRp's are  
12 behaving clinically like the CR's. If you look at the  
13 median relapse-free survival, here, it appears that the  
14 CRp's might be relapsing sooner than the CR's.

15 [Slide.]

16 Our review looked at the relapse-free survival  
17 curve of the two groups. It still looks more or less  
18 similar in our graph of the CR groups.

19 If you look closely at the curves where we  
20 calculated our 50 percent median, it looks like CR's are  
21 doing better, later it looks like the CRp's are doing  
22 better, and because of the small numbers, a few events can  
23 cause the medians to appear markedly different.

24 I present this information to illustrate the point  
25 that there are really insufficient numbers yet to be able to

1 demonstrate equivalence between the two groups.

2 [Slide.]

3 In addition to the small sample size, a problem  
4 with the interpretation of survival data in this study was  
5 the lack of any consistent post-remission therapy. Patients  
6 who were eligible went on to transplant and successful  
7 allogeneic transplant is correlated with long-term survival  
8 in relapsed acute myeloid leukemia.

9 About 40 percent of the responders were  
10 transplanted and given the small numbers involved, if even a  
11 few more CRp patients received allo transplant, that might  
12 have affected the survival curves.

13 [Slide.]

14 As Dr. Appelbaum previously pointed out, most  
15 significant predictors of response in relapsed acute myeloid  
16 leukemia are thought to be age and duration of first  
17 remission. The response rates varied widely depending on  
18 the population.

19 [Slide.]

20 Keeping in mind the inherent hazards of drawing  
21 conclusions from historical comparisons, non-prespecified  
22 subset analysis, in single arm trials with small numbers of  
23 patients, as Dr. Simon pointed out, it is not satisfactory,  
24 but it's the best we can do, keep in mind the desire to  
25 provide some measures for a comparison.

1 We looked at response rates versus age reported in  
2 several studies of salvage regimens for relapsed AML. The  
3 references are in the questions. We thought it might be  
4 helpful to look at specific regimens rather than just  
5 recording a range of values.

6 [Slide.]

7 Looking first at the younger patients, you will  
8 notice several things. First of all, the complete response  
9 rate--if you can see that number, 17, in gemtuzumab, it is  
10 much lower than that in the other studies. Even if you add  
11 the CRp's to get overall response rate, it looks as if the  
12 efficacy is not really comparable in the younger patient  
13 groups.

14 If you look at the older patient group, and  
15 remember that these are the people who get leukemia with  
16 greater frequency and are less likely to be able to tolerate  
17 chemotherapy, it looks like response rates reported in the  
18 literature are at least closer to that reported in  
19 gemtuzumab trials.

20 [Slide.]

21 We compare response rates in the literature versus  
22 duration of first remission, looking for patients with  
23 shorter duration of first remission. They are treated with  
24 a variety of regimens. These presumably had a worse  
25 prognosis and are highlighted here in yellow. It looks as

1 if the results of these five trials are a little closer to  
2 that reported in the gemtuzumab trial.

3           If you look at response rates reported in relapsed  
4 patients who have enjoyed relatively long durations of first  
5 remission, and these would be expected to have better  
6 prognosis, here highlighted in green, we find that response  
7 rates reported in gemtuzumab trial are really not as high as  
8 the results reported generally in the literature of this  
9 group of patients.

10           Of course, since it wasn't a randomized trial, it  
11 is not appropriate to make direct comparisons between these  
12 two groups. It is interesting that the same prognostic  
13 features that appear to be at work in conventional  
14 chemotherapy may not be as important in gemtuzumab trial.

15           These observations are exploratory and are not  
16 intended to suggest any definitive conclusions regarding the  
17 relative efficacy of this drug in different patient  
18 subgroups. This would need to be established by controlled  
19 clinical trials.

20           [Slide.]

21           Efficacy conclusions. In the absence of  
22 randomized trials, comparable efficacy may be difficult to  
23 prove. This drug may be equal to available therapy in  
24 certain patient subgroups, but any claim of equivalence of  
25 efficacy depends heavily upon the inclusion of the CRp group

1 in the calculation of the response rates.

2       The claim of equivalent relapse-free survival  
3 between the CR's and CRp's is not yet statistically  
4 established. Efficacy in different prognostic subgroups  
5 requires further study.

6       Duration of responses are difficult to compare  
7 because of the wide variety of post-remission treatments.

8       Does it matter that the patient's platelets are 90  
9 or 110? Probably not, but there still are some questions  
10 remaining between the different subgroups of response.

11       [Slide.]

12       Moving on to safety issues, the safety issues I  
13 plan to cover include infusion-related symptoms, development  
14 of antibodies, risk of bleeding, risk of infections, and GI  
15 toxicity particularly hepatic toxicity.

16       [Slide.]

17       Acute infusion-related symptoms were common, but  
18 appeared to be generally mild and reversible. Outpatient of  
19 this drug appears feasible in an infusion clinic equipped to  
20 manage the occasional hypotensive or hypoxic episode. Tumor  
21 lysis was rarely observed.

22       [Slide.]

23       No antibodies to the humanized murine monoclonal  
24 antibody were detected in any of the patients. However, two  
25 patients developed antibodies to the linker complex in a

1 Phase I trial. One patient was transiently symptomatic, but  
2 recovered with a few hours of observation.

3 [Slide.]

4 Minor bleeding appeared possibly increased  
5 comparing the CRp group with the CR group. However, because  
6 of the heterogenous nature of these minor bleeding events, I  
7 do not feel it was appropriate to analyze them  
8 statistically. Major bleeding was sufficiently uncommon to  
9 make it impossible to make a statistical analysis. It did  
10 not appear that major bleeding was increased in the CRp  
11 group, however.

12 More platelets were transfused in the CRp group  
13 compared to the CR's, but in every case bleeding and  
14 transfusions were more common in the non-responders as would  
15 be expected.

16 A trend to more red cell transfusion is observed  
17 in the CRp group as compared to the CR group.

18 [Slide.]

19 Once again, keeping in mind the inherent hazards  
20 of historical controls, we looked at several safety events  
21 reported in recently published studies of salvage regimens  
22 for relapsed acute myeloid leukemia. References are  
23 contained in the questions to the committee.

24 It appears that patients treated with gemtuzumab,  
25 here highlighted in yellow, appeared to have more or less a

1 similar risk of Grade 3/4 bleeding and time to platelet  
2 recovery, which was at least equivalent to that reported in  
3 other regimens, possibly increased compared to some.

4 In conclusion, it looks as if the bleeding risk of  
5 gemtuzumab appeared to be comparable to that reported with  
6 conventional salvage regimens, but again it would be nice to  
7 have direct randomized trial data.

8 [Slide.]

9 Compared again with literature reports of other  
10 salvage regimens, recovery from neutropenia appeared to be  
11 comparable, and in some cases more rapid, however, the  
12 incidence of severe infections really did appear to be  
13 reduced compared to those incidents recorded in the  
14 literature with these other salvage regimens.

15 [Slide.]

16 GI toxicity, nausea, vomiting, and particularly  
17 mucositis appeared reduced in those patients compared to  
18 reports of the events in other regimens, however, there did  
19 appear to be an increased incidence of liver function  
20 abnormalities in patients treated with gemtuzumab compared  
21 to those treated with other regimens.

22 [Slide.]

23 Unconjugated calicheamicin was noted to be  
24 hepatotoxic in preclinical testing. In the trials, about a  
25 sixth of the patients experienced elevations of

1 transaminase, and about a quarter of the patients  
2 experienced elevations in bilirubin, and 13 patients  
3 exhibited elevations of both AST and bilirubin, which is  
4 thought to be a marker of significant possible  
5 hepatotoxicity, but most of these elevations were transient  
6 and reversible.

7 [Slide.]

8 However, hepatic veno-occlusive disease is a well  
9 known and potentially fatal complication of myeloablative  
10 chemotherapy. Diagnosis is clinical and sometimes difficult  
11 from a reviewer's perspective, however, four patients  
12 developed transient VOD during the study. Two of these had  
13 had prior stem cell transplantation, and another patient  
14 developed veno-occlusive disease and died later of a  
15 pulmonary embolus.

16 [Slide.]

17 One, 74-year-old-male became jaundiced following  
18 treatment and eventually died of liver failure about five  
19 months following treatment. Three patients who were  
20 transplanted following treatment with gemtuzumab ozogamicin  
21 died of veno-occlusive disease as a complication of the  
22 transplant.

23 However, two of these patients were non-responders  
24 and maybe expected not to do as well with the transplant.  
25 However, I am not aware of an increased risk of veno-

1 occlusive disease in patients who are transplanted not in  
2 remission.

3           One patient who relapsed following transplant was  
4 given gemtuzumab on a compassionate single patient IND and  
5 developed fatal veno-occlusive disease. Again, it is not  
6 clear if the incidence of veno-occlusive disease is  
7 significantly increased compared to that, that might be seen  
8 in patients treated with the conventional salvage  
9 chemotherapy regimens, but we were concerned with these  
10 cases.

11           [Slide.]

12           In summary, gemtuzumab ozogamicin may have some  
13 safety advantages compared with literature reports of  
14 conventional salvage regimens. Outpatient administration  
15 appears feasible and more convenient than the seven days of  
16 continuous chemotherapy using standard induction.

17           Mucositis and severe infection do appear to be  
18 reduced. Bleeding risk appeared similar to those reported  
19 in the literature. Hospitalization data are difficult to  
20 compare in this age of cost containment because  
21 hospitalization rates reported at the same regimen are  
22 changing, so it is difficult to compare that.

23           [Slide.]

24           Disadvantages. In comparison with literature  
25 reports of conventional salvage regimens, gemtuzumab

1 ozogamicin appeared to have an increased risk of elevated  
2 so-called liver function tests, and these are a potential  
3 marker for significant hepatotoxicity.

4 Most of these abnormalities were reversible, but  
5 veno-occlusive disease was reported in several patients,  
6 particularly those who went on to receive transplant and  
7 also in those patients who had previously received a  
8 transplant. One patient on a compassionate IND had had a  
9 previous history of veno-occlusive disease during  
10 transplant.

11 [Slide.]

12 Some issues to consider. Is efficacy really  
13 equivalent to conventional salvage regimens? The results of  
14 this trial are difficult to compare with those of  
15 conventional salvage chemotherapy in the absence of  
16 randomized trials, but in any case, comparable efficacy  
17 would rely on the inclusion of the CRp's.

18 Is there adequate demonstration of improved safety  
19 to warrant accelerated approval? Is there an increased risk  
20 of veno-occlusive disease especially in those patients who  
21 will go on to transplant or who have already received  
22 transplant?

23 Which patient populations might benefit, the  
24 elderly who as we know are the most likely to suffer from  
25 acute myeloid leukemia and less likely to tolerate the

1 chemotherapy? Certain poor prognosis groups, can this be  
2 used as palliation in certain cases? Is this drug safe for  
3 use in a preparative regimen for transplant or as a  
4 temporizing measure for patients awaiting allogeneic match?

5 This drug may have a place in the treatment of  
6 leukemia, but we are not comfortable that we know the  
7 answers to many of these questions concerning efficacy,  
8 safety, and dosing.

9 [Slide.]

10 Remember that any conclusions to be derived from  
11 these trials are hampered by relatively small numbers of  
12 patients enrolled in single arm trials and subjected to  
13 historical comparisons.

14 There are several regulatory options for the  
15 committee to consider. The committee could decide to  
16 recommend accelerated approval now based on current interim  
17 data with Phase IV commitments to finish ongoing studies.

18 The committee could also recommend approval with  
19 restricted indications for this drug.

20 Alternatively, the committee could require  
21 completion of ongoing Phase II studies and resubmission of  
22 the IND application when the studies are finished.

23 A third option would be to require the completion  
24 of randomized clinical trials and resubmission of the NDA at  
25 the time of the completion of randomized studies.

1 [Slide.]

2 I would like to thank the members of my review  
3 team, particularly my statistician Alvis Dunson who is  
4 working the slides, and particularly Julie Beitz without  
5 whom I would not have been able to complete this review.

6 Thank you very much.

7 I would like to point out there are a few minor  
8 changes between my slides and the handouts, and I would be  
9 happy to answer questions regarding these changes.

10 DR. SCHILSKY: Thank you, Dr. Bross.

11 Are there questions from the committee for FDA?  
12 Dr. Blayney.

13 **Questions from the Committee**

14 DR. BLAYNEY: Yes. The protocol specified that no  
15 colony-stimulating factors were to be used after infusion of  
16 the experimental agent. Did you find that there was use of  
17 these factors, and does this impact on the time course of  
18 counting when a remission was obtained?

19 DR. BROSS: I looked at that, and I can't  
20 remember. The use was very low, and I believe a few of the  
21 investigators broke the protocol, but I think it was in less  
22 than two cases.

23 Is the sponsor aware of the incidents of growth  
24 factor use? I believe that this use was very, very seldom.

25 DR. SCHILSKY: Any clarification from the sponsor

1 on that?

2 DR. SHERMAN: Growth factor was prohibited, but it  
3 was allowed for life-threatening infections, and it was a  
4 very low rate of the patients who did ultimately receive a  
5 colony-stimulating factor.

6 DR. SCHILSKY: Thank you.

7 DR. BLAYNEY: The other thing is that this to my  
8 knowledge, if it is approved, would be the first monoclonal  
9 that is linked to an intracellular poison, and while we are  
10 told that the covalent bond, there is a covalent bond there,  
11 sometimes those break, and I guess if calicheamicin is a  
12 real hepatotoxin, I would hope that the sponsor and the  
13 approving agency would be very careful that the dating or  
14 whatever measures you have to take would be important, so  
15 that we might not see these liver function things.

16 Finally, I will just make a comment that  
17 comparisons with studies that look at salvage therapy in the  
18 leukemic adult and trying to compare that with what we are  
19 seeing now are quite difficult because many patients,  
20 particularly the patients that I see who are often elderly  
21 and have comorbidities would not even enter one of these  
22 trials that you showed for comparison, and there is a  
23 substantial selection bias for participation in one of these  
24 trials, and they are probably not representative of the  
25 population as a whole, and even trials I suspect for such a

1 relatively nontoxic agent as that we are presented with  
2 today would not have as much selection bias.

3           So, I think, you know, Dr. Simon always makes the  
4 point about how difficult it is to compare. I think there  
5 is actually a biologic selection bias, as well, here.

6           DR. BROSS: The percentage of free calicheamicin  
7 was very low. Certainly, you can, as everybody knows, you  
8 can certainly adjust the response rates in your trial by  
9 your patient selection, and it certainly is a very imperfect  
10 technique to look at historic comparisons.

11           We decided we would look at specific regimens  
12 rather than just reporting a range of results, so you would  
13 at least have something to compare it to, but we agree that  
14 this is a very imperfect technique.

15           We allowed these studies to proceed, the  
16 application to proceed on the basis of these two studies  
17 because the sponsor assured us that they had excellent  
18 safety advantages and comparable efficacy, so we said all  
19 right, show us.

20           DR. SCHILSKY: Dr. Sledge.

21           DR. SLEDGE: I have another question that is  
22 partly related to efficacy, but also partly regulatory.

23           If I was hearing you correctly, you are most  
24 comfortable with, by comparison with the historical  
25 literature, with evidence of efficacy in the older

1 population as opposed to the younger population realizing  
2 that those comparisons are fraught with hazard, and from  
3 what I heard when Dr. Appelbaum was asked about which  
4 patients he would treat, there were very distinct groups of  
5 patients that he would consider treating or not consider  
6 treating with this agent.

7           If we give this agent blanket approval, is this  
8 the equivalent of, for instance, Zoloda approval in breast  
9 cancer that we did a year and a half or so ago? I mean if  
10 we give this blanket approval, does this sort of become from  
11 a regulatory standpoint a new standard against which other  
12 drugs have to be measured?

13           DR. TEMPLE: These questions are a particular  
14 problem in oncology where the standard therapy is often  
15 completely unrelated to anything that is in labeling.

16           We have a lot of rules that relate to when you can  
17 approve a drug based on a lesser standard because it  
18 represents an advantage over available therapy. We are in  
19 the process of trying to define what available therapy is.

20           In almost every other area, we are pretty  
21 comfortable saying available therapy means something we have  
22 reviewed and labeled, but people are, on the whole, unhappy  
23 when you say that about oncology because in the case here,  
24 none of these drugs which are sort of what everybody does  
25 are labeled.

1           It certainly is possible that when something  
2 finally does become labeled, and we think we know the data,  
3 and we have reviewed it and we have looked at the criteria,  
4 it does have some tendency to become a standard.

5           So, one of the things you need to tell us is if  
6 you think that it is should be approved for somebody, but  
7 that it should be hedged and narrowed and qualified, we  
8 would listen to those kinds of advice.

9           DR. SLEDGE: I guess more specifically, if we  
10 approve this and the next six monoclonal antibodies that  
11 come along for this indication, which I imagine will in the  
12 next few years, are they going to have to have head-to-head  
13 comparisons with this agent to get approved?

14           DR. TEMPLE: It depends a little bit on the basis  
15 for what you tell us. Five people have now pointed out the  
16 treachery of these historical comparisons, and I personally  
17 think it is going to be extremely hard to say based on those  
18 comparisons we know this is just like those.

19           You may very well give us advice based on your  
20 feeling that the response rate here stands on its own and is  
21 good enough, in which case another product could conceivably  
22 be approved because it has a response rate you consider  
23 adequate and stands on its own.

24           We always tell people to do comparisons. We  
25 usually tell them to do comparisons where they add to the

1 available therapy, so that you actually get somewhere, and  
2 we will undoubtedly continue to do that.

3           So, adding one antibody, one monoclonal antibody  
4 to another might or might not make sense. It depends on  
5 what the mechanism is. But we would almost surely be  
6 advising people to start doing comparisons early. We  
7 probably wish we had said that here.

8           DR. SCHILSKY: Dr. Lippman.

9           DR. LIPPMAN: Again, I would just like to follow  
10 up on Dr. Blayney's comment, which I tried to allude to  
11 earlier, is that these not only entail the treachery of  
12 historical controls, but they are not even comparing  
13 patients that were on protocols before, so there is a number  
14 of comorbidities which are perhaps even greater in the older  
15 age group confounding factors.

16           Just a point of clarification. When you looked at  
17 the historical controls in your response rates versus age, I  
18 mean is it reasonable to assume that again these response  
19 rates that are compared would be substantially higher if  
20 this new definition of CRp were included in the historical  
21 group?

22           DR. BROSS: I am sorry?

23           DR. LIPPMAN: Did you get a sense of platelet and  
24 platelet recovery, what these response rates would have been  
25 in your table of response rates versus age, comparing the

1 other series?

2 DR. BROSS: You mean if you had included the--

3 DR. LIPPMAN: CRp.

4 DR. BROSS: Some CRp's in the other trials?

5 DR. LIPPMAN: Response rates, did they give data  
6 on platelets that would have allowed you to get a sense of--

7 DR. BROSS: Well, as Dr. Appelbaum stated, that  
8 usually in most trials, when patients do not achieve their  
9 hematologic values, these are considered partial responders,  
10 and this was less than 5 percent of trials. Many trials did  
11 not even report partial responders.

12 So, I suspect it is going to be less than 5  
13 percent in any of the trials.

14 Does that answer your question?

15 DR. LIPPMAN: So, in other words, the CRp's would  
16 have been included in the partial response criteria category  
17 of other trials?

18 DR. BROSS: Dr. Appelbaum?

19 DR. APPELBAUM: The MRC data there do not use  
20 platelet recovery as a criteria for CR, so it would not  
21 change their CR's at all since they don't require platelet  
22 recovery, so it would have no effect on those two trials.

23 In the retrospective review that the group did  
24 from Wyeth-Ayerst, they could find fewer than 5 percent of  
25 patients would have felt, treated with conventional

1 chemotherapy, would have fit the criteria of a CR without  
2 the platelet recovery when treated with conventional  
3 chemotherapy.

4 DR. LIPPMAN: So, in this case where the CRp has  
5 contributed substantially to the overall CR rate, are you  
6 saying that the CRp rate appears to be higher in this than a  
7 partial response in other--

8 DR. APPELBAUM: No. What I am saying is in the  
9 Rees study and the St. Bart's study, those do include CRp's  
10 by this definition, because you don't need platelet recovery  
11 in those studies.

12 DR. LIPPMAN: One final point of clarification.  
13 We have heard that 100,000 was the cut-off that was used  
14 here, but 90,000 or 110,000 wouldn't be a big difference,  
15 and I agree.

16 Do you have the raw data on those CRp's, I mean  
17 were they all 90,000, or where do they peak?

18 DR. BROSS: As I recall, they were variable,  
19 anywhere between 30,000 and 85,000. There was one that came  
20 up to 99, but the sponsor was honest not to include that. I  
21 don't recall the exact spread of the standardization.

22 DR. LIPPMAN: But the mean or median of that group  
23 of platelets, do you have a sense of that?

24 DR. BROSS: I am not sure if you guys have that,  
25 but, in general, it was kind of all over the place, as I

1 recall, anywhere between 30 and 99. If the sponsor has that  
2 data, I would invite them to present it.

3 DR. BERGER: Just one second. If you will turn  
4 the projector on, we will show the precise data. Basically,  
5 the only patient who didn't achieve a maximum platelet count  
6 greater than 25,000, achieved a platelet count of 15,000,  
7 and actually stayed there for a number of months without  
8 platelet transfusions.

9 All the other patients achieved more than 25,000.

10 [Slide.]

11 You can see that 18 of the 19 achieved at least  
12 25,000, 13 of the 19 achieved at least 50,000, and 8 of the  
13 19 achieved at least 75,000. These are the maximum platelet  
14 counts. Obviously, they became a CRp patient when they  
15 become platelet transfusion independent, and these were the  
16 counts that they rose to, again prior to receiving any other  
17 therapy.

18 DR. SCHILSKY: Peter, I wonder if I could ask you,  
19 just as a follow-on to Scott's question, it seems to me that  
20 a lot of our discussion is going to hinge to a great extent  
21 on the comparability of the CR and the CRp patients.

22 Since you have looked at all the data in much  
23 greater detail than anyone around the table here, I wonder  
24 if you could give us just your overall opinion as to  
25 whether, in your view, having reviewed the information,

1 whether you would feel that the CRp patients are comparable  
2 to the CR patients.

3 DR. BROSS: Well, that, of course, is the crux of  
4 the--

5 DR. SCHILSKY: I know you are going to ask us for  
6 our opinion about that, but I thought I would ask you for  
7 your opinion first.

8 [Laughter.]

9 DR. BROSS: Well, I guess my short answer is I  
10 don't know yet. I mean when you look at it, as I mentioned,  
11 I would be more comfortable if I had cytogenetic clearance  
12 of the leukemic clone in all of these patients. I would be  
13 more comfortable if I knew exactly what was going on.

14 There is a number of different phenomenon, the  
15 post-transplant thrombocytopenia, which is presumably from  
16 stem cell toxicity. Looking at a few of the pathology  
17 reports, in some cases megakaryocytes were present, in some  
18 absent.

19 Anyway, I am not really sure what is going on here  
20 in terms of the clinical behavior of these patients. If you  
21 look at the patients who were not treated with further  
22 treatment--can you show the very last slide?

23 [Slide.]

24 If you look at the relapse-free survival, it is  
25 possible that these patients with the high CRp's may be

1 doing a little bit worse, but again this is not  
2 statistically significant.

3 I think that the question is up in the air, and we  
4 really have to operate now on the basis of incomplete  
5 information, but the thing I feel uncomfortable about is  
6 really seeing this drug and having a young healthy person in  
7 relapse be treated with this drug, but I do have, in answer  
8 to your question are these two groups comparable or  
9 equivalent, and I don't really know if they are.

10 If I had to guess, I would say they probably will  
11 be proven to be equivalent, but that would be I would feel a  
12 little uncomfortable with that.

13 Does that answer your question?

14 DR. SCHILSKY: No, well, I think that is helpful.  
15 I mean I think one of the concerns that the committee will  
16 have is if the drug is generally available, might there be  
17 patients treated with it who, in fact, would be  
18 disadvantaged by it, who would be better treated with more  
19 conventional therapy, and yet because this appears to have  
20 somewhat fewer side effects, you know, physicians might opt  
21 to use this in place of what might ultimately be more  
22 effective treatment.

23 So, I think, you know, your comments are helpful.

24 Any other questions for Peter?

25 [No response.]

1 DR. SCHILSKY: Okay. Peter, thank you very much.

2 **Committee Discussion and Vote**

3 We have a number of issues to discuss. We have  
4 quite a few questions that have been specifically posed to  
5 us by the agency. It seems to me before we get into the  
6 questions per se, it would be worthwhile to have some  
7 discussion.

8 It seems that the issues really hinge on something  
9 that was shown on one of Peter's first slides, which relate  
10 to what is required for accelerated approval in this case,  
11 and that would be some level of confidence that this agent  
12 actually has equal efficacy to other available therapies and  
13 an improved safety profile.

14 Certainly, I think it doesn't appear to be  
15 superior to available therapies, so the real question is, is  
16 it comparable to existing therapies with the presumption  
17 that it has an improved safety profile, and the ability to  
18 determine, at least in my mind, whether it is comparable  
19 hinges a lot on this issue of whether CRp's and CR's are  
20 equivalent, because if we put the two together, you start to  
21 get into overall response rates that start to look a little  
22 bit comparable to existing therapies. If you don't include  
23 the CRp's, then, the CR rate seems to be substantially below  
24 what one might see with existing therapies.

25 So, I think we need to have some discussion.

1 Perhaps I can ask either Dr. Berman or Dr. Przepiorka, our  
2 resident leukemia experts, to help us discuss some of these  
3 issues.

4 DR. BERMAN: My opinion is that the CRp's are  
5 equivalent, and while the numbers are small, there didn't  
6 appear to be any trend toward a worse outcome whether these  
7 patients went on to no further therapy or went on to  
8 transplant.

9 I think that we have to keep an open mind when we  
10 are dealing with a new agent like a monoclonal antibody  
11 because it is not chemotherapy as we know it. So, these  
12 appear to be clinically meaningful responses, and whether  
13 the platelet count is 75,000 or 100,000 does not have an  
14 impact either on survival or post-transplant survival.

15 So, I would say that they are equivalent.

16 DR. SCHILSKY: Dr. Przepiorka.

17 DR. PRZEPIORKA: I think the survival curve for CR  
18 versus CRp really does look distinctly different, and I am  
19 concerned that those early survivors that haven't made it  
20 very far and appear to be doing as well as the other people  
21 in the curve may end up actually keeping that curve up, and  
22 so I don't think we have enough information to say that they  
23 are the same when they are already starting to look  
24 different, but if you go to median relapse-free survival, it  
25 is 2.1 months in both groups, it is the same.

1           Unfortunately, it is also much worse than what the  
2 sponsor has indicated as the median relapse-free survival of  
3 6.8 months and much lower than what you see in the  
4 literature for median relapse-free survival for patients not  
5 going on to a transplant.

6           So, I am also concerned that maybe there is no  
7 difference between the two groups because the two groups are  
8 actually doing equally poorly rather than equally well.

9           DR. SCHILSKY: Comments from other committee  
10 members? Dr. Simon.

11           DR. SIMON: My basic view is that we shouldn't  
12 really have to struggle with this, that we shouldn't be  
13 dealing with a single arm study and with literature  
14 comparisons that are probably distorted in all kinds of  
15 ways.

16           But beyond that, given that we are in this  
17 situation, it is not so much I don't think whether we think  
18 the CRp's do the same as the CR's, it's a matter of what do  
19 we compare them to in the literature.

20           If the literature's CR rate has required platelet  
21 recovery to 100,000, then, if we want to compare this series  
22 to the literature, we have to look only at the CR rate  
23 regardless of whether we think that the outcomes of the two  
24 groups are the same or not.

25           DR. SCHILSKY: Dr. Nerenstone.

1 DR. NERENSTONE: Speaking as a non-leukemia  
2 doctor, I think I am persuaded by the fact that at least in  
3 the references that we were given, that two of the larger  
4 studies already include those patients in their response  
5 rate and that platelet recovery is not required for  
6 documentation of CR.

7 It's a pathologic diagnosis in terms of clearance  
8 of blasts, and therefore, I think this is sort of a non-  
9 issue because the larger series already don't count these  
10 patients. So, again, as a non-leukemia doctor, just looking  
11 at the data it seems to me that that is a persuasive  
12 argument, that these patients really should be counted as  
13 CR.

14 DR. SCHILSKY: Other comments? Dr. Lippman.

15 DR. LIPPMAN: Again, based on the actual data we  
16 have, I still have a concern about CRp's with substantial  
17 differences in median relapse-free survival whether they had  
18 further therapy or didn't.

19 I would like to look at those larger series that  
20 we don't have the data, we just have sort of post-  
21 communications from these ongoing studies, and really sort  
22 that out. But fundamentally, even if these were complete  
23 CR's and that we weren't talking about CRp's, I am very,  
24 very concerned about the historical, non-protocol  
25 comparisons even if they were equivalent.

1 DR. SCHILSKY: Do you want to elaborate on that in  
2 terms of specifically what your concerns are?

3 DR. LIPPMAN: I think I stated them before, and  
4 state them again. I mean there are many, many problems well  
5 understood with historical comparisons in general, but I am  
6 even concerned more about the fact that these historical  
7 comparisons are in clinic persons that weren't even treated  
8 on protocols, didn't qualify for protocols because of  
9 comorbidities and other problems that we have no way of  
10 knowing now.

11 So, I think, and certainly because of poor  
12 prognostic factors, and so on, so I am very concerned about  
13 those as being the standard on which to compare.

14 DR. SCHILSKY: Any other general discussion before  
15 we address the questions?

16 DR. BERMAN: Just to add one thing, and that is  
17 that I think the survival, whether you look at the CR's,  
18 with the CR/CRp's together, it is equivalent to many of the  
19 studies looking at patients with relapsed disease. The  
20 survival is usually measured in months once patients  
21 relapse.

22 In the small numbers of patients who went on to  
23 transplant, it looked like there was excellent post-  
24 transplant survival, certainly at 100 days, so I would say  
25 that this falls within the realm of the studies.

1 Now, what are you asking the drug, that other  
2 drugs in development haven't had, and that is that there is  
3 no role for a randomized trial in patients with relapsed  
4 disease. I mean, first of all, it's not very common. You  
5 saw that many of the centers just entered one or two  
6 patients all together.

7 So, in the setting of drug development for this  
8 disease, these have always been just straight Phase II that  
9 have been compared to the literature.

10 DR. SCHILSKY: Ellin, could I ask you for your  
11 comment--you have made the comment on several occasions now  
12 about the good post-transplant survival in the patients who  
13 got transplant--I guess my question is might you not have  
14 expected similarly good survival post-transplant if patients  
15 just got additional chemotherapy and then went on to a  
16 transplant?

17 DR. BERMAN: Well, following high-dose  
18 chemotherapy like a traditional high-dose ara-C-containing  
19 regimen, some of the patients are bound to develop an  
20 infection or some problem that won't allow them to go on to  
21 transplant. So, actually, these look like very reasonable  
22 transplant survival data.

23 DR. SCHILSKY: Well, it may be that perhaps more  
24 patients got the transplant, but once they got there, I am  
25 not sure how you can say anything about whether their

1 survival post-transplant is sort of influenced by what the  
2 pre-transplant therapy was.

3 DR. BERMAN: It would certainly be no worse than  
4 standard therapy.

5 DR. PRZEPIORKA: Well, actually, that was another  
6 question that Dr. Appelbaum pointed out, that he would  
7 probably not utilize this drug for the young healthy  
8 individual as opposed to what is currently considered  
9 standard, but might consider it for a pre-transplant  
10 cytoreduction.

11 There are only 27 patients, if I counted  
12 correctly, who went on to transplant, a number of whom  
13 developed VOD, and, yes, we don't know if it was transplant  
14 related or not. The survival day 100 is probably pretty  
15 good using current transplant regimens and standard care.

16 I would be more interested to see the survival  
17 later post-transplant, though, one year or so if you really  
18 want to know whether or not the survival is impacted  
19 negatively. But I would also be interested in knowing some  
20 of the toxicities during the transplant period and whether  
21 or not the hepatotoxicity seen pre-transplant actually added  
22 to the transplant preparative regimen hepatotoxicity, and  
23 that is just data that we don't have.

24 DR. BERMAN: Well, I think the incidence of VOD  
25 seemed to me relatively high following the transplant, and I

1 would agree with that.

2 DR. SCHILSKY: Mr. Flatau.

3 MR. FLATAU: I just wanted to point out that maybe  
4 the patients that did have transplants probably didn't need  
5 any additional therapy before the transplant, and could have  
6 just gone straight to transplant and avoided both the  
7 commencing chemotherapy toxicity and any toxicity from this  
8 drug.

9 DR. SCHILSKY: I don't know that we can know that  
10 for sure from the data although it is certainly not clear to  
11 me at least that the antibody was the preferred pre-  
12 transplant therapy compared to just additional chemotherapy.

13 MR. FLATAU: I mean you could have no therapy at  
14 all and just go to transplant. I had that treatment.

15 DR. SCHILSKY: There is no question some patients  
16 will go directly to transplant, but that is not the group of  
17 patients that was actually included in this study.

18 MR. FLATAU: It seems that most of the long-term  
19 survivors had transplants, and it is hard for me to think  
20 that they actually benefitted from the drug when they may  
21 have just gone straight to transplant and done just as well.

22 DR. SCHILSKY: Other comments? Dr. Temple.

23 DR. TEMPLE: For someone who doesn't know  
24 anything, can you explain that last conversation? I assume  
25 that people who were put into remission by a therapy, then

1 went on to transplant, which consisted entirely of marrow or  
2 stem cells or something, but if a person wasn't in remission  
3 yet, he would have to have aggressive chemotherapy to put  
4 him into remission before the transplant, right? Am I  
5 missing something?

6 DR. SCHILSKY: I think what Mr. Flatau was saying  
7 is that some people who obtain a remission with their  
8 induction chemotherapy go directly to transplant.

9 MR. FLATAU: I relapsed and did not have any  
10 additional chemotherapy to get me into remission before the  
11 transplant. I did, of course, have chemotherapy and  
12 radiation as part of the conditioning regimen before.

13 DR. TEMPLE: But even though you were not in  
14 remission, you want to a transplant.

15 MR. FLATAU: Right.

16 DR. TEMPLE: There, you have it.

17 DR. SCHILSKY: Dr. Lippman.

18 DR. LIPPMAN: Just the issue of whether you could  
19 never do a randomized trial in this setting, I guess I need  
20 a statistician, but it looks like over the past two years on  
21 this trial alone, there have been over 100 patients accrued.

22 We certainly have seen randomized trials in less  
23 common diseases that are less than that and have gone to  
24 approval. I think a trial like this with early stopping for  
25 toxicity with an equivalency design could be done.

1 I don't know if Dr. Simon has comments on that,  
2 but the idea that we can never have randomized data and we  
3 have to use data on the 20 historical controls seems to be--

4 DR. BERMAN: I am saying in the phase where this  
5 drug is now, I mean once you have established the dose and  
6 you have the rough efficacy, yes, I would absolutely  
7 recommend comparative trials in the future, but I think at  
8 least to establish its efficacy, I think you would just want  
9 a cohort of patients just to define the toxicity first  
10 before moving on to a randomized trial.

11 DR. SIMON: I agree with Dr. Lippman. I think we  
12 would be much better off today if we had a randomized  
13 comparison even if it wasn't of the size that we might  
14 definitively use to establish efficacy, to establish  
15 therapeutic equivalence.

16 We would be much better off in knowing what its  
17 effects were both for toxicity and for efficacy if they had  
18 taken the same number of patients and done a randomized  
19 trial.

20 DR. SCHILSKY: Dr. Albain.

21 DR. ALBAIN: I would like to go back to what we  
22 did, though, with kepcytobine [ph], because it's really  
23 analogous. There were other options for these women with  
24 metastatic breast cancer. There are other drugs out there  
25 that could have been tried.

1            Yet, in Phase II data, there was intriguing  
2 results, and we therefore gave it this whatever we called  
3 that type of approval, such that the sponsor was required to  
4 then go on and do randomized comparisons.

5            I feel that that is where we are with this  
6 particular agent. It is intriguing. There are some subsets  
7 of patients that could not get more aggressive chemotherapy,  
8 and I think it needs to be out there with a very narrow  
9 label as we did with kepcytobine.

10           DR. BERMAN: I would also just remind you that the  
11 rituximab was labeled in a very similar way, that the  
12 response rate for rituximab in heavily treated patients with  
13 follicular lymphoma also was no better than 30 percent, and  
14 following its labeling, it has now proved to be very  
15 interesting in combination with other agents.

16           So, there was no randomized trial when rituximab  
17 was up, and this was just two or three years ago.

18           DR. SCHILSKY: Mr. Flatau.

19           MR. FLATAU: I just wanted to add for Dr. Temple's  
20 benefit or others that Dr. Appelbaum did have some  
21 comparison of patients in relapse and second remission in  
22 his presentation.

23           DR. SCHILSKY: Dr. Sledge.

24           DR. SLEDGE: I have my suspicions that those of  
25 use who are non-leukonologists on the committee are

1 wrestling with the problem of what is the clinical benefit  
2 here in not treating these patients.

3 I would like some real sense from our leukemia  
4 people on the committee, who would you treat with this drug.  
5 I heard what Dr. Appelbaum said, but what would you guys do  
6 if this drug was available?

7 DR. PRZEPIORKA: I am impressed with the fact that  
8 there is less mucositis, there is also less overall response  
9 rate in the elderly group, and if I had to, that would be  
10 the group that I would target it for.

11 DR. BERMAN: And I would agree. I think for  
12 patients for whom another round of chemotherapy is not an  
13 option, I think this would be a good one.

14 DR. SCHILSKY: We are going to come to this again  
15 in the questions, but we do have some options to recommend  
16 more restrictive labeling.

17 DR. SLEDGE: Let me ask about that. Let me follow  
18 up on that if I could, because originally, I was certainly  
19 confused by the no further therapy category, but what I  
20 heard was that it sounded like the majority of the patients  
21 in the no further therapy category did receive further  
22 therapy.

23 I mean if you are telling me that you would use it  
24 for the population of patients who couldn't get further  
25 therapy, but it sounds like in the study, you know,

1 certainly the majority of these people did get further  
2 therapy.

3 DR. PRZEPIORKA: I was speaking for first-line  
4 therapy for first relapse rather than after failing other  
5 therapy. I mean if it really does have a response rate  
6 similar to more intensive ara-C doses, which are clearly  
7 going to be more toxic in the elderly individual, this would  
8 be a much better way to do it.

9 Yes, it would be for palliative benefit. Is it  
10 any better than using hydroxyurea? Yes, if you can get the  
11 platelet count up and the patient doesn't need transfusion,  
12 even it is a small percentage, it is something we need to  
13 weigh the option for.

14 DR. SCHILSKY: Dr. Blayney.

15 DR. BLAYNEY: I think this would have a place in  
16 the elderly people whom I see that aren't a candidate for  
17 mucositis-inducing therapy or for patients who are getting  
18 geared up to go to the transplant center either for an  
19 unrelated donor transplantation or something like that, or  
20 for perhaps for somebody who can be repetitively treated.

21 We saw an example, and I suspect that is what is  
22 going to happen - an older patient with a lot of comorbidity  
23 and isn't going to have much of a toxicity with this  
24 treatment and can be repetitively palliatively induced.

25 DR. SCHILSKY: Dr. Lippman.

1 DR. LIPPMAN: Maybe Dr. Temple can clarify,  
2 because we are talking about drugs that were approved in the  
3 past, and I am not familiar with those issues, Kathy, but  
4 were there drugs approved that there were issues about  
5 response criteria, and comparing studies that used different  
6 response criteria, historical comparisons with non-protocol  
7 patients? Has this been done here before?

8 DR. TEMPLE: I think the reference was to  
9 situations where people had exhausted well-documented  
10 therapies, and we were looking at people who were refractory  
11 to available therapies.

12 Studies were then carried out in them that showed  
13 a response rate, and there have been a number of drugs  
14 approved on that basis alone for refractory disease. That  
15 is not quite the situation here.

16 DR. LIPPMAN: It's very different than what is  
17 here.

18 DR. SCHILSKY: We have a number of questions to  
19 consider, and I suggest that we get on with the questions to  
20 help focus the discussion a little bit further.

21 There is some fairly long preambles here, and I am  
22 not going to read everything. I think I would like to just  
23 read again one statement in the introduction here, which  
24 says, "Under subpart H, approval can be based on a surrogate  
25 endpoint that is reasonably likely to predict clinical

1 benefit. For hematologic malignancies, durable complete  
2 remissions have been considered as adequate evidence of  
3 clinical benefit.

4 "In this case, however, the duration of responses  
5 is difficult to measure because of subsequent antileukemic  
6 therapies, including hematopoietic stem cell  
7 transplantation. Therefore, complete responses in this  
8 application are viewed as surrogate endpoints."

9 We are then presented with a summary of the  
10 response rates that have been presented today, indicating an  
11 overall CR plus CRp of about 30 percent in these studies.

12 Then, on the next page we are presented with the  
13 table we have already seen, showing the differences in the  
14 Kaplan-Meier estimates of relapse-free survival for the  
15 CR's, CRp's, and the overall group, and suggesting that the  
16 median relapse-free survival for the CRp's might be slightly  
17 less than for the CR's although the numbers of patients are  
18 quite small and the differences clearly are not  
19 statistically significant at this point.

20 So, the first question: Is there sufficient  
21 evidence to conclude that CRp's are comparable to complete  
22 responses and should be considered CR's in terms of efficacy  
23 outcomes?

24 Is there any further discussion on that point  
25 before we vote on it? Mr. Flatau.

1 MR. FLATAU: I think we need more data.

2 DR. SCHILSKY: We are not going to get any more  
3 data right now, so you are going to have to vote based on  
4 the information we have at the moment.

5 So, all who would agree that there is sufficient  
6 evidence to conclude that CRp's are comparable to CR's,  
7 please raise your hand.

8 [Show of hands.]

9 DR. SCHILSKY: Seven yes.  
10 All who would vote no?

11 [Show of hands.]

12 DR. SCHILSKY: Four no. And I am actually going  
13 to abstain on this because I frankly can't tell.

14 DR. TEMPLE: I don't think that is the right  
15 count. Do that again.

16 DR. SCHILSKY: I apologize. I think there must  
17 have been 5 no.

18 If you were voting no on this, please raise your  
19 hand.

20 [Show of hands.]

21 DR. SCHILSKY: Five no. Okay. Seven yes, five  
22 no, one abstention.

23 So, we have a majority that voted yes on that  
24 question, I guess.

25 DR. PAZDUR: Richard, your reason for abstaining?

1 DR. SCHILSKY: My reason for abstaining, I said is  
2 because frankly, I can't tell.

3 DR. SLEDGE: Doesn't that mean that there is  
4 insufficient evidence? I mean I wasn't saying when I voted  
5 no that I didn't think they are not comparable. I mean the  
6 question, as phrased, was is there sufficient evidence.

7 MR. FLATAU: That is my position, as well.

8 DR. SCHILSKY: I can't even tell if there is  
9 sufficient evidence.

10 [Laughter.]

11 DR. PAZDUR: We will take that into consideration.

12 DR. SCHILSKY: Question 2. We have a table here  
13 again showing response rates and relapsed AML by regimen,  
14 comparing gemtuzumab to some other regimens that have been  
15 reported in the literature.

16 So the second question is: Does the committee  
17 agree that the efficacy of this product can be  
18 satisfactorily judged on the basis of the overall response  
19 rate and compared with CR's reported in the literature?

20 Again, we are being asked if we agree that the  
21 efficacy can be judged based on the overall response rate.

22 Discuss.

23 DR. ALBAIN: I was just impressed on this issue as  
24 I read the slides and heard the discussion, that from the  
25 two highest accruers to these trials, that they were seeing

1 cytogenetic normality, is that correct, from Drs. Appelbaum  
2 and Larson, in their subsets, because that to me is what  
3 tipped me into accepting these as the best surrogate right  
4 now. I just wanted to make sure I heard that right.

5 DR. LARSON: I could address that for the  
6 University of Chicago where we have had a long-standing  
7 interest in cytogenetics, all of our complete responders and  
8 morphologic responders, that is, the CRp group, had normal  
9 cytogenetics.

10 DR. SCHILSKY: Dr. Simon.

11 DR. SIMON: I am intending to vote no here  
12 because, one, I don't trust these literature comparisons on  
13 here. I don't think we should be setting a precedent, if we  
14 are, for accepting this kind of data. Thirdly, I think the  
15 best evidence we have is that these CR's are not durable and  
16 in past cases, the standard has been durable CR's for  
17 accelerated approval, and the 23 patients who did not get  
18 treated in remission had a median CR duration of two months.

19 I think we have actually evidence. We don't have  
20 to go just by CR rate. We have evidence that these are not  
21 durable CR's.

22 DR. SCHILSKY: Dr. Lippman.

23 DR. LIPPMAN: Again, this is one of the questions  
24 I was trying to clarify before, that the highest accruer  
25 centers had about 10 patients, so what I was trying to get

1 at is how many patients of those went into PCR, and of those  
2 how many had cytologic remission. So, you can see that we  
3 are talking I think about a very small number that we have  
4 data on, that we can say that these PCR's are, in fact,  
5 cytologically free of disease.

6 DR. ALBAIN: Scott, I thought that is what I was  
7 trying to clarify with the two highest accruing centers,  
8 that they had cytogenetic normality.

9 DR. APPELBAUM: Nobody uses PCR.

10 DR. LIPPMAN: CRp. The platelet ones, the ones we  
11 are talking about. Of those, how many patients did you have  
12 that went into CRp?

13 DR. APPELBAUM: Oh, CRp, I just know of our total  
14 CR's both in the Phase I and in the Phase II data. We did  
15 not have a single case where there was cytogenetic evidence  
16 of formal disease, when they were morphologically in  
17 remission, cytogenetically, they were in remission.

18 DR. LIPPMAN: I am just trying to get a sense of  
19 the number of those patients that went into CRp.

20 DR. APPELBAUM: I am not sure. I think we  
21 probably had three or four.

22 DR. LIPPMAN: Well, I just heard three, so three  
23 patients is what we are talking about.

24 DR. SCHILSKY: Getting back to this question -  
25 Does the committee agree that the efficacy of this product

1 can be satisfactorily judged on the basis of the overall  
2 response rate and compared with CR's reported in the  
3 literature?

4 All who would vote yes on that?

5 [Show of hands.]

6 DR. SCHILSKY: Two yes.

7 All who would vote no?

8 [Show of hands.]

9 DR. SCHILSKY: Nine no.

10 Abstain?

11 DR. SCHILSKY: One abstention. Something doesn't  
12 add up. Either I can't count or you guys don't raise your  
13 hands very high.

14 We have two yes. If you are voting no, please  
15 raise your hand again high.

16 [Show of hands.]

17 DR. SCHILSKY: All right. Ten no, two yes, one  
18 abstention.

19 Question 3. Does the committee agree that the  
20 efficacy of this product in relapsed AML has been shown to  
21 be comparable to that of conventional salvage regimens?

22 Any discussion on that?

23 All who vote yes?

24 [Show of hands.]

25 DR. SCHILSKY: Three yes.

1 All who would vote no?

2 [Show of hands.]

3 DR. SCHILSKY: Ten no. Three yes, ten no. No  
4 abstentions. A decisive vote.

5 On to some questions regarding safety. Again, we  
6 are shown a table here, Table 4 of adverse events by  
7 regimen, comparing gemtuzumab to three different  
8 chemotherapy regimens, and pointing out some differences in  
9 toxicity profile. I don't think we need to review those  
10 again.

11 The question is: Does the committee agree that  
12 there is sufficient evidence to support a claim of improved  
13 safety over conventional salvage chemotherapy regimens?

14 Discussion on that?

15 DR. SANTANA: I don't think it is improved safety.  
16 I think it is a different safety profile just for point of  
17 clarification.

18 DR. SCHILSKY: Any other discussion?

19 Again, the question is: is there sufficient  
20 evidence to support a claim of improved safety over  
21 conventional salvage chemotherapy regimens?

22 All who would vote yes?

23 [Show of hands.]

24 DR. SCHILSKY: Eight yes.

25 All who would vote no?

1 [Show of hands.]

2 DR. SCHILSKY: Three no.

3 Abstentions?

4 [Show of hands.]

5 DR. SCHILSKY: Two abstentions.

6 DR. BERMAN: Can you clarify, though, that it is a  
7 different safety profile? I mean can we modify the question  
8 to take into account that it is a different profile?

9 DR. SCHILSKY: Question 5 now deals with  
10 approvability. Does the committee believe that there is  
11 sufficient evidence of improved safety and comparable  
12 efficacy in patients with relapsed acute myeloid leukemia to  
13 support approval of gemtuzumab ozogamicin under the  
14 Accelerated Approval regulations? Do you recommend  
15 accelerated approval?

16 Discussion?

17 DR. NERENSTONE: A question to the FDA. Are we  
18 allowed to make recommendations as to which category of  
19 patients we think this would be appropriate for, in which  
20 case I would propose that we reword that to say in elderly  
21 patients or patients who are otherwise not candidates for  
22 high-dose aggressive chemotherapy?

23 DR. SCHILSKY: That is actually Question 7.

24 DR. PAZDUR: The subsequent question.

25 DR. NERENSTONE: Except I think maybe Question 5,

1 how we vote depends on if we are going to limit it.

2 DR. SCHILSKY: Do you want to discuss limitation  
3 at this point or do you want to vote on approvability?

4 DR. TEMPLE: But you also have to come to grips  
5 with your response to Question 3, which said that you can't  
6 evaluate it. So, you will have to make all those make sense  
7 together.

8 DR. NERENSTONE: We didn't say we had to be  
9 consistent.

10 [Laughter.]

11 DR. TEMPLE: We didn't ask that question, you are  
12 right.

13 DR. SCHILSKY: I would suggest that we vote on  
14 Question 5 as written, and depending upon that vote, we may  
15 or may not need to discuss Question 7.

16 Mr. Flatau?

17 MR. FLATAU: I just would like to know what  
18 happens if we don't approve it for accelerated approval,  
19 what happens in the future.

20 DR. TEMPLE: Remember advisory committees are  
21 advisory committees, so let's presume that we agree. You  
22 tell us that, and we agree. We would surely work with the  
23 sponsor to think what kind of data they would need to make a  
24 more persuasive case. I mean that is a generic answer.  
25 Many drugs have not made it the first time through an

1 advisory committee, and subsequently become available.

2 DR. BERMAN: Can I just summarize something which  
3 I think is important, and that is, for patients with  
4 relapsed disease, especially for people over the age of 60,  
5 there are not a lot of options out there, and we have been  
6 shown data in over 110 patients, 140 patients I think, that  
7 this has some efficacy, and while it is on a low end of the  
8 scale of efficacy compared to high-dose studies, there is a  
9 defined efficacy there.

10 I think that the toxicity is perhaps less well  
11 defined with an eye toward liver toxicity, but I think that  
12 adding further studies, which is I think the thrust are more  
13 data needed, I doubt that the results are going to change  
14 significantly.

15 DR. SIMON: I guess I would think that if we are  
16 thinking about a subset of the patients, the older patients  
17 for whom there aren't many other options, you could do a  
18 whole lot better job of accumulating evidence, of doing a  
19 study of either evaluating or comparing this drug to  
20 whatever options would be available, and looking at the  
21 results for that targeted group of patients.

22 Here, we have sort of a real scatter of kinds of  
23 patients, and it is very difficult with the historical  
24 controls and varied treatments that the patients are going  
25 onto, to determine whether this drug contributes anything in

1 the context of an older group of patients.

2 DR. BERMAN: Actually, I would disagree. I think  
3 the data are there, and I think there was between a 25 and  
4 30 percent overall response rate in patients over the age of  
5 60. Now, as a practicing leukemia doctor, I am not sure I  
6 would be enthusiastic about randomizing a patient over the  
7 age of 60 to something like high-dose ara-C versus this  
8 agent.

9 DR. SIMON: Well, I mean one would have to say for  
10 that targeted group of patients, what would be the  
11 appropriate comparison. I think given that you have a  
12 response rate that is depending upon how you define it, may  
13 range it between 15 and 30 percent, and that the median  
14 duration are maintained at two months, I would question  
15 whether there really is an ethical issue.

16 DR. BERMAN: Well, I would argue that this is what  
17 all of the other single agent and combination studies have  
18 shown, and that this fits well within what is published.

19 DR. SIMON: Well, I think if we set our standards  
20 very low for the kind of data that we are going to use to  
21 approve agents, then, that is the kind of data we are going  
22 to get.

23 DR. BERMAN: Well, I don't think it is a matter of  
24 setting our standards low. I think this is what the results  
25 are. We are not going to be held if the FDA tells us that

1 we are not going to be held that this will be necessarily  
2 the standard therapy for all future trials.

3 DR. SCHILSKY: Dr. Lippman.

4 DR. LIPPMAN: I think if I felt confident that  
5 this agent, which again the reason I abstained earlier is  
6 because of different toxicity profile, not to say better or  
7 worse, but different, but even with this toxicity profile,  
8 if I felt confident that the rates were comparable, even at  
9 the low end of active agents, I might feel differently, but  
10 I am not even confident in that based on the kind of  
11 comparisons we are using, comparing patients that were  
12 treated non-protocol, many other issues, historical. That's  
13 my concern.

14 If your statement is true, and I don't think we  
15 can tell based on this data, that it's on the low end of an  
16 active drug and the toxicity, then, I think it may have a  
17 role.

18 DR. SCHILSKY: Dr. Temple.

19 DR. TEMPLE: What I hear the committee having told  
20 us in Question 3, was not that they didn't think the drug  
21 was adequate or knew that it wouldn't be useful, but that  
22 the available data didn't characterize its usefulness  
23 adequately. Obviously, there could be disagreement about  
24 that.

25 DR. SCHILSKY: I think that is a fair statement.

1 Any other discussion?

2 Question 5 then again: Does the committee believe  
3 that there is sufficient evidence of improved safety and  
4 comparable efficacy in patients with relapsed AML to support  
5 approval under the Accelerated Approval regulations? Do you  
6 recommend accelerated approval?

7 All who would vote yes?

8 [Show of hands.]

9 DR. SCHILSKY: Four yes.

10 All who would vote no?

11 [Show of hands.]

12 DR. SCHILSKY: Seven no.

13 Abstain?

14 [Show of hands.]

15 DR. SCHILSKY: Two abstentions.

16 Four yes, seven no, two abstentions.

17 Question 6, I think we don't have to discuss  
18 because it starts with, "If accelerated approval is  
19 recommended."

20 Question 7. If the answer to Question 5 is no,  
21 does the committee agree that sufficient evidence of  
22 improved safety and comparable efficacy has been  
23 demonstrated in a subgroup of patients with relapsed acute  
24 leukemia to support approval?

25 Then, we are referred to two tables on the

1 following page that give us some breakdown of remission  
2 rates versus duration of first CR in Table 5, and remission  
3 rates versus age in Table 6.

4 I want to point out to the committee that there is  
5 a typographical error in Table 6, which if you look at the  
6 bottom row of Table 6 for the gemtuzumab outline, what it  
7 should say is that the CR rate is 18 percent with confidence  
8 intervals of 9 to 31 percent, and the CR plus CRp is 34  
9 percent with confidence intervals of 21 to 49 percent.

10 In the next box over for patients 60 and older,  
11 the CR rate is 17 percent with confidence intervals of 8 to  
12 29 percent, and the CR plus CRp is 28 percent with  
13 confidence intervals of 16 to 42 percent. Just to be sure  
14 that we are looking at the complete information.

15 It would not appear that there are great  
16 differences here based on duration of first response,  
17 although there may be differences based on age group.

18 Since we have heard a lot of discussion from  
19 people on the committee, as well as the sponsor and others,  
20 about maybe this is the drug to give to older patients with  
21 AML, now is the opportunity to discuss that a little bit  
22 further.

23 Is this the drug to give for an older group of  
24 patients? Dr. Kelsen.

25 DR. KELSEN: Does that mean that we would then

1 have the opportunity to approve it for a specific indication  
2 or for accelerated approval for a specific subgroup?

3 DR. PAZDUR: Yes, and that would be reflected in  
4 the labeling.

5 DR. KELSEN: I think the discussion--I am not a  
6 leukemia doctor either--but what I have heard today is that  
7 for that targeted subgroup, the options for further therapy  
8 are very limited, and they are not the kind of people you  
9 give very high-dose intense therapy to, and there isn't a  
10 good comparator arm that could leap to your mind, Ellin, as  
11 I was listening, and if that is correct, I would think that  
12 this is a very reasonable thing to do.

13 DR. SCHILSKY: So, presumably the indication would  
14 be for patients 60 years and older with relapsed AML.

15 DR. TEMPLE: Just to be sure, you have to explain  
16 how whatever answer you give here is consistent with the  
17 answer to No. 3, and I guess I would take note of the fact  
18 that there are response rates from the literature using  
19 something. So, apparently, old people were given something,  
20 and those are the response rates there.

21 So, while you are thinking about this, you need to  
22 explain, so we will understand.

23 DR. SCHILSKY: Dr. Lippman.

24 DR. LIPPMAN: Unless we are all comfortable  
25 potentially telling patients that yes, we have maybe a less

1 toxic or different toxicity program, elderly patients, but  
2 less effective, less active, if that is what we are doing,  
3 then, I feel more comfortable, but if we are really  
4 comparing again to the literature, I would like to see in  
5 this older group, as Dr. Simon mentioned, even the  
6 comparisons that we have, what the other criteria, what the  
7 other characteristics were of the older groups in these  
8 studies.

9 I am just very concerned about the comparisons and  
10 somehow writing off the older patients as not being able to  
11 be treated more aggressively, because they have been, and we  
12 have seen the results.

13 DR. BERMAN: Well, they have been, but those are  
14 very selected patients who are felt that they can tolerate  
15 high-dose therapy, and actually there is no denominator to  
16 know how many patients over the age of 60 are offered  
17 supportive care in any group of 1,000 patients and how many  
18 patients are actually offered therapy.

19 So, I am not sure why you are quite so dismissive  
20 of the literature.

21 DR. LIPPMAN: I guess I would like to see those  
22 data and get some sense of that. I mean if the focus is on  
23 this group of elderly patients, then, I would like to see  
24 more data from the literature, more discussion of that point  
25 in the presentation.

1 DR. SCHILSKY: Well, you are not going to see any  
2 more data today.

3 DR. LIPPMAN: Right, and that is to answer the  
4 question of why I am dismissive of that. That is the reason  
5 is I am just not comfortable I have seen enough data to feel  
6 confident about it.

7 DR. SCHILSKY: Dr. Przepiorka?

8 DR. PRZEPIORKA: I am questioning whether or not  
9 those are actually patients put on studies, as well. I am  
10 wondering if these are not retrospective reviews rather than  
11 prospective studies, and were not quite as selected as we  
12 are thinking they are, and I am not certain that we should  
13 assume that those were selective patients rather than  
14 unselective patients.

15 I am concerned that the safety data presented was  
16 safety data for all patients, not safety data for patients  
17 over the age of 60, and so although overall the safety  
18 profile looks to be improved, I am not certain that I heard  
19 that it was actually also improved in the elderly  
20 individuals.

21 However, I think overall it would look great if it  
22 really were that true.

23 DR. SCHILSKY: Would you feel comfortable using  
24 this treatment for a 65-year-old patient with relapsed AML?

25 DR. PRZEPIORKA: I think that will come up in my

1 vote.

2 [Laughter.]

3 DR. SCHILSKY: Dr. Nerenstone.

4 DR. NERENSTONE: As a practicing oncologist, we  
5 make the decision all the time with the patient whether to  
6 trade a drug with less toxicity or different toxicity  
7 profile with response rate, and I see this as giving the  
8 hematologist another weapon in their armamentarium to  
9 present to a patient.

10 I am very struck by the mucositis data. I mean  
11 these patients, Grade 3 and 4 mucositis in a leukemic, their  
12 whole GI tract sloughs, and it is very distressing to the  
13 patient, they are often in the hospital, they are getting  
14 TPN, they get infected, they get febrile, they get septic,  
15 they are very sick, and the fact that that toxicity may be  
16 traded for other toxicities is still I think an important  
17 tradeoff that the physician and the patient will have the  
18 opportunity to decide.

19 DR. BERMAN: I would agree with that. I think  
20 that it is wrong to probably discriminate by age, because I  
21 think if this, in fact, with larger numbers, proves to be a  
22 more successful agent, that is going to get out there, and I  
23 think the market, so to speak, will bring this to bear.

24 I don't think that if in the end it proves not to  
25 be effective, then it not going to be used, but I don't

1 think it should be denied the patients who are 59 years old,  
2 the opportunity to have this as an option.

3 DR. SCHILSKY: I think we have already voted about  
4 that.

5 DR. LIPPMAN: I guess if we could put in the  
6 approval, just not to confuse the doctors in the community  
7 who are treating, that the toxicity profile we think may be  
8 better, less mucositis, and so on, but we are not sure if  
9 the activity is equivalent to what is out there, so they  
10 could decide, as you mentioned, so that doctors could decide  
11 if they want to trade that off, then, I think that is  
12 another issue, but if we label this as feeling confident  
13 that it's equivalent based on the data we have, I have  
14 concerns with that.

15 DR. SCHILSKY: I think, generally speaking, the  
16 agency hears these discussions, and if we were to come up  
17 with a category of patients for whom we thought approval was  
18 appropriate, then, they would probably be able to work with  
19 the sponsor to develop appropriate language.

20 DR. PAZDUR: Could you also help us, maybe the  
21 leukemia doctors, help us characterize what is it about the  
22 age group here that makes it at higher risk, is it because  
23 we are comparing it to comorbid illnesses in this patient  
24 population?

25 Specifically, if we are going to label something

1 on an age basis, is there any better handle we could have  
2 about this? If they got less toxic therapy, but the same  
3 drugs that we are using, would that be another situation  
4 that we could be looking at, a conventional agent?

5 Can you give us a better handle of the problem  
6 with age here?

7 DR. BERMAN: I think there are two. First of all,  
8 older people just don't survive the regimen because of the  
9 high risk of infection or other comorbid problems, but the  
10 second is their leukemia tends to be more resistant because  
11 they have a higher incidence of unfavorable cytogenetics.

12 DR. PAZDUR: So, it is inherent in the disease.

13 DR. SCHILSKY: Let me suggest that we vote on the  
14 following question: Does the committee agree that  
15 sufficient evidence of improved safety and comparable  
16 efficacy has been demonstrated in patients 60 years of age  
17 or older with relapsed AML?

18 That is a paraphrase of adding Question 5 to  
19 adding Question 7. So, I would read it again.

20 Does the committee agree that sufficient evidence  
21 of improved safety and comparable efficacy has been  
22 demonstrated in patients 60 years of age or older with  
23 relapsed AML?

24 DR. SANTANA: I must point out that we have not  
25 been presented any safety data using this apparent

1 dichotomy, so just a point of comment.

2 DR. SCHILSKY: Are you saying that you don't want  
3 to vote on this particular question or are you indicating  
4 how you would vote on it?

5 DR. SANTANA: We are making up a question, but  
6 part of the data has been presented, but the other part we  
7 haven't been presented that same way, the age criteria.

8 DR. PAZDUR: Sufficient data for the question, so  
9 if there is not sufficient data--

10 DR. SCHILSKY: The question says, "Does the  
11 committee agree that sufficient evidence of improved safety  
12 and comparable efficacy has been demonstrated?"

13 Dr. Albain.

14 DR. ALBAIN: I am just concerned with making this  
15 60 dichotomy here. I think that we are all sensing that  
16 there is a group of patients with comorbidities for whom a  
17 well-intentioned practicing physician is going to look at  
18 and say I am not going to give high-dose ara-C, too, I am  
19 not going to do all the things that will result in what Dr.  
20 Nerenstone just described.

21 I think we need to leave some room here for the  
22 judgment of the primary caregiver, and there is going to be  
23 a 55-year-old with bad diabetes and hypertension and other  
24 things that you also might want to consider.

25 Also, that group about bridging into transplant

1 was another one we haven't brought up here that I think is  
2 worth discussing.

3 DR. SCHILSKY: Let me remind you that, first, we  
4 have already voted against approvability in general.

5 DR. ALBAIN: For a subgroup of patients, as  
6 worded. I am proposing another subgroup of patients.

7 DR. SCHILSKY: What subgroup would you be  
8 proposing, whatever group the doctor feels like he wants to  
9 treat with this? Is that your subgroup?

10 DR. ALBAIN: No, patients with comorbidities for  
11 whom more aggressive reinduction therapies are not  
12 indicated, and that subgroup that may need a bridging agent  
13 into high-dose therapy. Those are the two subgroups that I  
14 have heard as being potentially attractive for this agent.

15 DR. SCHILSKY: Dr. Nerenstone.

16 DR. NERENSTONE: Just a point of clarification.  
17 In the briefing documents that the sponsor gave to us, page  
18 73 discusses the fact that effective age was looked at on  
19 side effects, and that there were no differences age less  
20 than 60 or age greater than or equal to 60.

21 Remember that their third study looked exclusively  
22 at the older patient population. So, the data is gone into  
23 in quite some detail over those next few pages. So, I do  
24 think we do have the data to look at age, and it does not  
25 look to be more toxic in the older patients.

1 DR. BLAYNEY: And their Slide 15 showed there is  
2 no difference in early deaths greater than 60 and less than  
3 60.

4 DR. BERMAN: The other is that, as Dr. Albain  
5 said, if we begin to pick out subgroups less than 60 for  
6 whom it may be appropriate, then, why not just leave it as  
7 approvable regardless of specific age groups.

8 DR. SCHILSKY: Dr. Temple.

9 DR. TEMPLE: We need to understand the logic of  
10 this. If it is necessary to know that efficacy is  
11 comparable, you have told us in vote on 5 that you didn't  
12 think you could know from the available literature.

13 An alternative theory for approval is that it  
14 doesn't make any difference how it compares with other  
15 therapy as long as in some sense it works at least a little,  
16 but you need to be explicit in telling us what you think  
17 about that. Otherwise, the answers won't look like they  
18 make sense together.

19 DR. SCHILSKY: My own sense from hearing the  
20 discussion at least is that many of the leukemia doctors  
21 would feel--and I won't speak for my colleagues around the  
22 table, but I will--that many patients with relapsed AML who  
23 are in the over age 60 group are not good candidates for  
24 aggressive chemotherapy, don't tolerate it well, do tend to  
25 have poor outcomes from it, and that this is an agent that,

1 as best as we can tell from the available information, seems  
2 to have a different, perhaps more favorable toxicity profile  
3 and appears to produce outcomes that are no worse than what  
4 one might expect with giving those people chemotherapy.

5 DR. TEMPLE: You just voted on that, and what you  
6 said was you can't tell on the outcomes.

7 DR. SCHILSKY: For the population overall.

8 DR. TEMPLE: I guess I would submit that what you  
9 are really saying is it obviously gives you some responses,  
10 there is no doubt about that, you can see them, and that it  
11 doesn't matter whether it is comparable to aggressive  
12 chemotherapy because you don't want to give that therapy to  
13 these people.

14 DR. SCHILSKY: Well, I think that is another valid  
15 way of looking at it, and I will ask Dr. Berman and Dr.  
16 Przepiorka if you would accept that, Dr. Temple's notion.

17 DR. PRZEPIORKA: I would feel comfortable  
18 answering a question that was worded is there sufficient  
19 evidence of improved safety and acceptable efficacy as  
20 opposed to a comparable efficacy.

21 DR. BERMAN: I would agree with that.

22 DR. SCHILSKY: In the group of 60 years and older  
23 with relapsed AML. Would that help reconcile the vote for  
24 you?

25 DR. TEMPLE: Yes. I mean that is logically

1 consistent. I mean you could say both of things together, I  
2 think.

3 DR. SCHILSKY: Dr. Albain.

4 DR. ALBAIN: Rich, why are you focusing on 60? I  
5 am still troubled by that. Why couldn't the question leave  
6 the age out, because you have got the patients with great  
7 comorbidities who are younger than 60?

8 DR. SCHILSKY: If you leave the age out, then, it  
9 is the same question as Question 5, and there are no other  
10 particular groups of individuals that we have heard any data  
11 on at all.

12 Personally, I don't know what you mean by  
13 comorbidities. We all could conjure up what comorbidities  
14 might be, but which comorbidities are important? Would you  
15 want to give this to a 55-year-old with osteoarthritis?

16 DR. ALBAIN: I think leukemia experts frequently  
17 answer this in their practice every day, and I don't know  
18 that we could resolve this around the table at this minute,  
19 but I think there is enough of the literature that this type  
20 of a grouping could be described in more detail, you know  
21 whether there is drug efflux in the leukemic cells, too.  
22 There is a growing literature from South West Oncology Group  
23 that documents, not age per se, as it is the drug efflux  
24 system that seems to be more out of whack in this older  
25 group.

1 DR. BERMAN: I don't think it is up to the FDA to  
2 kind of say, well, it should be used for this comorbidity  
3 and not that comorbidity. I think it should be available,  
4 so the practicing physician can make that decision under the  
5 rubric of clinical judgment.

6 DR. PAZDUR: With the existing data that we have,  
7 we would be unable to label around existing comorbidities,  
8 et cetera. We have seen an analysis on age here, which does  
9 make some sense to us to consider.

10 DR. BERMAN: And it showed no difference.

11 DR. SCHILSKY: Dr. Temple.

12 DR. TEMPLE: There is a general injunction that  
13 drugs that appear are supposed to have adequate directions  
14 for use, which generally means you are supposed to be able  
15 to characterize their value, and things like that.

16 We do not just, as a rule, put something out  
17 because it has activity, because, you know, you know that  
18 within the first few patients. So, this may be well  
19 characterized, sufficiently characterized, I am not trying  
20 to make that judgment, but the mere existence of activity is  
21 not usually considered sufficient.

22 You want to be able to tell somebody something  
23 about how it is going to work, how it compares with other  
24 therapies, if that is relevant, and things like that.

25 DR. SIMON: But here, all you do have is an

1 evidence of activity. You have a response rate and you  
2 either have no duration of response or the duration you view  
3 as very short.

4 DR. TEMPLE: I am not trying to make a judgment  
5 about that. You have responses, they have a duration, and  
6 it is up to people who know about these things to tell us  
7 whether they think that is worth anything.

8 DR. SCHILSKY: Dr. Lippman.

9 DR. LIPPMAN: To vote on changing it again to  
10 acceptable activity confuses me, because what we are really  
11 saying, and what I said before, was that it has activity,  
12 but we are not confident that it's equivalent to what's out  
13 there, and I think if we just use the term "acceptable," I  
14 am not sure that that helps accomplish what we want.

15 Some people could interpret acceptable as being  
16 comparable.

17 DR. SIMON: We are not really even sure that that  
18 activity is clinically meaningful to the patient. The  
19 patient may be better off without treatment if we are  
20 talking about patients who are really not candidates for  
21 cytotoxic chemotherapy.

22 Those patients may be better off getting nothing  
23 than getting this drug given what we know about the limited  
24 durability of these responses.

25 DR. BERMAN: I don't think that is so. I just

1 don't think that is a fact.

2 DR. SIMON: Well, I think we have to distinguish  
3 wanting to have something to treat patients with from being  
4 able to get evidence as to whether the drugs really benefit  
5 the patients.

6 DR. BERMAN: And that is a Phase III question.  
7 That is a randomized trial to look at this versus no further  
8 therapy.

9 DR. SIMON: And this is for approval.

10 DR. BERMAN: But that doesn't mean that it  
11 shouldn't be approved at this stage.

12 DR. SIMON: We usually require evidence of  
13 clinical benefit or something that we really believe looks  
14 like it.

15 DR. BERMAN: Well, and we have seen that when you  
16 compare it, when the company has shown on the graph that  
17 this falls on the low end of the scale of response, but  
18 there is a defined response.

19 DR. SIMON: It seems to me like where we are  
20 basically is we have activity, we have nothing more, the  
21 responses aren't durable, and we are trying to come up with  
22 some rationale for just making the drug available without  
23 any real evidence of benefit.

24 DR. BERMAN: Well, not to just get in the last  
25 word, but--[laughter]--the survival with any kind of

1 chemotherapy, once you relapse with this disease, there is  
2 no more than four months with any form of high-dose therapy  
3 with the exception of transplant.

4 DR. SCHILSKY: I think we have had adequate  
5 discussion on a variety of issues here, and if it is  
6 agreeable to the agency, I might propose that we take a vote  
7 on the following question: Does the committee agree that  
8 sufficient evidence of improved safety and acceptable  
9 efficacy has been demonstrated in patients 60 years of age  
10 and older with relapsed AML?

11 Would that be useful for you if we voted on that  
12 question?

13 DR. TEMPLE: We listened, we heard the rest of the  
14 discussion, too.

15 DR. SCHILSKY: So, that is the question.

16 If there is sufficient evidence of improved safety  
17 and acceptable efficacy in patients 60 and older with  
18 relapsed AML, all who would vote yes, please raise your  
19 hand.

20 [Show of hands.]

21 DR. SCHILSKY: Twelve, I think.

22 All who would vote no?

23 [Show of hands.]

24 DR. SCHILSKY: I must have miscounted again. We  
25 have two no.

1 All who would vote yes, please raise your hands  
2 again.

3 [Show of hands.]

4 DR. SCHILSKY: Eleven yes.

5 So, it is eleven yes and two no.

6 Okay. That concludes our proceedings. Thank you  
7 very much.


8 [Whereupon, at 12:20 p.m., the proceedings were  
9 concluded.]

10

---

**C E R T I F I C A T E**

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Alice Toigo", is written over a horizontal line.

**ALICE TOIGO**

<b>0</b>	<b>1</b>	<b>2</b>	<b>3</b>	<b>4</b>
<p>0 51:6 0.01 28:4 0.09 96:9 0.25 23:24 0.97 102:15</p>	<p>16 35:24; 158:13 17 46:20; 49:18; 108:20; 111:9; 158:11 175 41:2 18 6:7, 17; 48:24; 70:22; 128:11; 158:7 19 47:15; 51:6; 57:5; 128:11, 12, 13 195 23:9 1979 38:9 1997 63:20 1999 10:15, 20</p>	<p>3.1 27:9 3/4 115:1 30 14:23; 16:2; 19:21; 22:6; 34:2, 10; 44:2; 45:1; 86:6; 89:16; 109:4; 128:1; 141:13; 145:11; 155:4, 13 30,000 29:7; 127:19 31 35:21, 22; 42:8; 44:6; 108:21; 158:8 32 42:7; 52:8 33 48:24 34 47:17; 48:21; 158:8 37 19:11; 28:19 38 11:14; 16:15; 43:13; 51:15; 105:23; 109:3</p>	<p><b>6</b> 6 32:14; 75:22; 76:10; 157:17; 158:3, 5, 6 6.8 36:9; 40:17, 20; 44:5; 133:3 60 11:9; 13:7, 12; 19:14; 29:1; 35:4, 11; 43:1, 1; 52:11; 56:3; 75:5, 9; 93:17; 154:4; 155:5, 7; 158:10; 159:14; 160:16; 161:17; 164:16, 22; 165:15; 166:20, 20; 167:2, 3, 5, 23; 168:22; 169:4, 7; 173:9, 17 61 32:10; 56:8 62 32:8; 39:15 65 28:17; 32:9; 39:15; 49:9; 70:5, 12 65-year-old 161:24 66 74:3 67 20:10; 27:10</p>	<p><b>A</b> ability 57:14; 58:5; 131:17 able 13:21; 22:5; 40:24; 72:3; 75:10, 11; 79:9, 11, 25; 80:18; 85:4; 100:20; 109:25; 111:16; 120:5; 160:10; 163:18; 170:14, 22; 172:4 Abnormal 49:17 abnormalities 115:20; 118:4 about-again 84:8 above 13:7; 26:6; 28:5; 30:7 absence 41:24; 105:7; 107:2; 112:21; 118:15 absent 20:15; 129:18 absolute 94:25 absolutely 140:6 abstain 146:13; 150:10; 157:13 abstained 156:5 abstaining 146:25; 147:1 abstention 146:22; 150:11, 18 abstentions 151:4; 152:3, 5; 157:15, 16 abstract 16:8 abstracts 38:9 accelerated 103:19; 104:1, 20; 118:19; 119:16; 131:10; 148:17; 152:14, 15; 153:18; 157:5, 6, 18; 159:2 accept 168:16 acceptable 24:6; 89:2; 168:19; 171:10, 13, 15; 173:8, 17 accepted 15:22; 19:19; 72:16 accepting 148:3, 14 accessibility 9:16 accomplish 171:14 accordance 6:7 according 68:10, 13; 91:6 account 43:4; 152:8 accrued 139:21 accruer 148:24 accrues 147:25 accruing 105:18; 149:7 accumulating 154:18 accumulation 27:10 acetaminophen 24:17; 30:16, 18 achieve 10:6; 12:16; 13:8, 21; 15:4; 33:23; 57:24; 58:16; 59:2; 93:13; 103:21; 104:1; 107:12, 16, 17; 108:4; 126:8; 128:5 achieved 22:1; 25:13;</p>
<b>1</b>	<b>2</b>	<b>4</b>	<b>7</b>	<b>8</b>
<p>1 25:14; 27:23; 30:20; 40:15; 44:24; 45:1, 12; 46:5; 79:23 1,000 18:14; 160:17 1,221 41:13, 19 1,500 33:13; 46:16 1,696 41:8 1,890 38:23 10 15:24; 16:4, 16; 18:24; 38:22; 50:13; 54:17; 67:25; 70:14; 84:17; 148:25 10,000 13:5; 20:17; 92:10; 95:24 100 34:17; 37:2; 38:10; 61:1; 85:7; 135:24; 137:14; 139:21 100,000 33:14, 19; 47:22; 91:9; 92:4; 93:17; 94:4, 25; 107:10; 127:13; 132:13; 133:21 104 11:4, 14; 43:12, 18; 52:25; 105:16, 21; 108:19 10:30 101:20 11.1 32:15; 56:9 110 113:9; 154:6 110,000 95:2; 127:14 12 35:5, 12; 40:4; 86:12, 17; 87:6 12.6 40:10 12.8 75:3; 87:2 126 52:11 12:20 174:8 12A-30 6:13 13 34:4; 35:25; 78:5, 18; 116:2; 128:12 132 27:9 14 12:14; 19:3; 24:1; 30:19; 32:17; 40:22; 75:9; 106:8; 107:4 14-day 27:12 140 154:6 142 11:15; 23:12; 32:9; 34:2; 35:18; 40:6; 43:14, 15; 44:1, 15; 51:8; 52:25; 55:16; 61:1; 63:12; 69:8; 83:10; 105:24 142-patient 82:15; 83:4, 16 15 17:3; 46:23; 52:5; 75:8; 83:20, 23; 155:13; 167:1 15,000 128:6 15-minute 101:19</p>	<p>2 12:13; 25:12; 30:21; 44:24; 45:12; 46:5; 82:18, 20; 147:12 2.1 75:1; 80:8; 87:1; 132:25 2.5 40:15 2.9 40:15 20 38:14; 42:23; 51:1; 52:14; 71:9; 140:3 20,000 95:25 20-year 71:21 200 73:12 201 11:6, 8; 28:17; 34:5; 70:5, 11 201-U.S 10:24 202 28:18; 29:12; 34:6 202-EU 11:7 203 11:9; 28:19; 29:1; 32:17; 34:6; 106:2; 108:25 208 6:7, 17 21 17:3; 158:9 21-174 7:20 22 17:21; 32:10; 38:20, 23; 41:12; 51:22; 67:24 23 48:24; 49:19; 74:4, 17, 21, 25; 86:9, 15, 24; 148:17 24 26:21; 40:9; 51:8; 79:24; 80:1; 89:10 25 40:18; 54:20; 155:3 25,000 47:10, 16, 17; 92:2; 128:6, 9, 12 25-month 40:19 26 102:8 27 83:19; 137:11 28 47:25; 49:7; 158:12 29 10:15; 49:9; 52:19; 158:12</p>	<p>4 25:14, 16; 30:4; 45:1, 11, 13; 46:3; 48:15; 49:4, 19, 20; 51:4, 5; 57:6; 63:12; 75:11; 88:12; 89:20; 151:6; 162:11 4.2 85:8 40 11:3; 23:11; 25:12; 28:18; 29:13; 110:9 40-minute 77:23 40.5 46:17 41 32:12; 46:20; 105:15, 20 42 34:16; 36:8; 46:14; 74:20; 86:24; 158:13 43 16:10; 51:22 46 41:21 47 47:25 49 41:25; 158:9</p>	<p>7 25:16; 51:6; 106:17; 107:5; 152:23; 153:15; 157:20; 164:19 70 15:24; 32:24; 93:17 73 18:23; 166:18 74-year-old-male 116:17 75,000 128:13; 132:13 75-year-old 63:18 79 26:10</p>	<p><b>8</b> 8 16:12; 79:22; 95:12; 128:12; 158:11 80 9:5; 13:13; 20:11; 26:6; 30:5 80,000 93:18 84 32:11 85,000 127:19</p>
<b>1</b>	<b>2</b>	<b>4</b>	<b>7</b>	<b>8</b>
<p>1 25:14; 27:23; 30:20; 40:15; 44:24; 45:1, 12; 46:5; 79:23 1,000 18:14; 160:17 1,221 41:13, 19 1,500 33:13; 46:16 1,696 41:8 1,890 38:23 10 15:24; 16:4, 16; 18:24; 38:22; 50:13; 54:17; 67:25; 70:14; 84:17; 148:25 10,000 13:5; 20:17; 92:10; 95:24 100 34:17; 37:2; 38:10; 61:1; 85:7; 135:24; 137:14; 139:21 100,000 33:14, 19; 47:22; 91:9; 92:4; 93:17; 94:4, 25; 107:10; 127:13; 132:13; 133:21 104 11:4, 14; 43:12, 18; 52:25; 105:16, 21; 108:19 10:30 101:20 11.1 32:15; 56:9 110 113:9; 154:6 110,000 95:2; 127:14 12 35:5, 12; 40:4; 86:12, 17; 87:6 12.6 40:10 12.8 75:3; 87:2 126 52:11 12:20 174:8 12A-30 6:13 13 34:4; 35:25; 78:5, 18; 116:2; 128:12 132 27:9 14 12:14; 19:3; 24:1; 30:19; 32:17; 40:22; 75:9; 106:8; 107:4 14-day 27:12 140 154:6 142 11:15; 23:12; 32:9; 34:2; 35:18; 40:6; 43:14, 15; 44:1, 15; 51:8; 52:25; 55:16; 61:1; 63:12; 69:8; 83:10; 105:24 142-patient 82:15; 83:4, 16 15 17:3; 46:23; 52:5; 75:8; 83:20, 23; 155:13; 167:1 15,000 128:6 15-minute 101:19</p>	<p>2 12:13; 25:12; 30:21; 44:24; 45:12; 46:5; 82:18, 20; 147:12 2.1 75:1; 80:8; 87:1; 132:25 2.5 40:15 2.9 40:15 20 38:14; 42:23; 51:1; 52:14; 71:9; 140:3 20,000 95:25 20-year 71:21 200 73:12 201 11:6, 8; 28:17; 34:5; 70:5, 11 201-U.S 10:24 202 28:18; 29:12; 34:6 202-EU 11:7 203 11:9; 28:19; 29:1; 32:17; 34:6; 106:2; 108:25 208 6:7, 17 21 17:3; 158:9 21-174 7:20 22 17:21; 32:10; 38:20, 23; 41:12; 51:22; 67:24 23 48:24; 49:19; 74:4, 17, 21, 25; 86:9, 15, 24; 148:17 24 26:21; 40:9; 51:8; 79:24; 80:1; 89:10 25 40:18; 54:20; 155:3 25,000 47:10, 16, 17; 92:2; 128:6, 9, 12 25-month 40:19 26 102:8 27 83:19; 137:11 28 47:25; 49:7; 158:12 29 10:15; 49:9; 52:19; 158:12</p>	<p>4 25:14, 16; 30:4; 45:1, 11, 13; 46:3; 48:15; 49:4, 19, 20; 51:4, 5; 57:6; 63:12; 75:11; 88:12; 89:20; 151:6; 162:11 4.2 85:8 40 11:3; 23:11; 25:12; 28:18; 29:13; 110:9 40-minute 77:23 40.5 46:17 41 32:12; 46:20; 105:15, 20 42 34:16; 36:8; 46:14; 74:20; 86:24; 158:13 43 16:10; 51:22 46 41:21 47 47:25 49 41:25; 158:9</p>	<p>7 25:16; 51:6; 106:17; 107:5; 152:23; 153:15; 157:20; 164:19 70 15:24; 32:24; 93:17 73 18:23; 166:18 74-year-old-male 116:17 75,000 128:13; 132:13 75-year-old 63:18 79 26:10</p>	<p><b>8</b> 8 16:12; 79:22; 95:12; 128:12; 158:11 80 9:5; 13:13; 20:11; 26:6; 30:5 80,000 93:18 84 32:11 85,000 127:19</p>
<b>1</b>	<b>2</b>	<b>4</b>	<b>7</b>	<b>8</b>
<p>1 25:14; 27:23; 30:20; 40:15; 44:24; 45:1, 12; 46:5; 79:23 1,000 18:14; 160:17 1,221 41:13, 19 1,500 33:13; 46:16 1,696 41:8 1,890 38:23 10 15:24; 16:4, 16; 18:24; 38:22; 50:13; 54:17; 67:25; 70:14; 84:17; 148:25 10,000 13:5; 20:17; 92:10; 95:24 100 34:17; 37:2; 38:10; 61:1; 85:7; 135:24; 137:14; 139:21 100,000 33:14, 19; 47:22; 91:9; 92:4; 93:17; 94:4, 25; 107:10; 127:13; 132:13; 133:21 104 11:4, 14; 43:12, 18; 52:25; 105:16, 21; 108:19 10:30 101:20 11.1 32:15; 56:9 110 113:9; 154:6 110,000 95:2; 127:14 12 35:5, 12; 40:4; 86:12, 17; 87:6 12.6 40:10 12.8 75:3; 87:2 126 52:11 12:20 174:8 12A-30 6:13 13 34:4; 35:25; 78:5, 18; 116:2; 128:12 132 27:9 14 12:14; 19:3; 24:1; 30:19; 32:17; 40:22; 75:9; 106:8; 107:4 14-day 27:12 140 154:6 142 11:15; 23:12; 32:9; 34:2; 35:18; 40:6; 43:14, 15; 44:1, 15; 51:8; 52:25; 55:16; 61:1; 63:12; 69:8; 83:10; 105:24 142-patient 82:15; 83:4, 16 15 17:3; 46:23; 52:5; 75:8; 83:20, 23; 155:13; 167:1 15,000 128:6 15-minute 101:19</p>	<p>2 12:13; 25:12; 30:21; 44:24; 45:12; 46:5; 82:18, 20; 147:12 2.1 75:1; 80:8; 87:1; 132:25 2.5 40:15 2.9 40:15 20 38:14; 42:23; 51:1; 52:14; 71:9; 140:3 20,000 95:25 20-year 71:21 200 73:12 201 11:6, 8; 28:17; 34:5; 70:5, 11 201-U.S 10:24 202 28:18; 29:12; 34:6 202-EU 11:7 203 11:9; 28:19; 29:1; 32:17; 34:6; 106:2; 108:25 208 6:7, 17 21 17:3; 158:9 21-174 7:20 22 17:21; 32:10; 38:20, 23; 41:12; 51:22; 67:24 23 48:24; 49:19; 74:4, 17, 21, 25; 86:9, 15, 24; 148:17 24 26:21; 40:9; 51:8; 79:24; 80:1; 89:10 25 40:18; 54:20; 155:3 25,000 47:10, 16, 17; 92:2; 128:6, 9, 12 25-month 40:19 26 102:8 27 83:19; 137:11 28 47:25; 49:7; 158:12 29 10:15; 49:9; 52:19; 158:12</p>	<p>4 25:14, 16; 30:4; 45:1, 11, 13; 46:3; 48:15; 49:4, 19, 20; 51:4, 5; 57:6; 63:12; 75:11; 88:12; 89:20; 151:6; 162:11 4.2 85:8 40 11:3; 23:11; 25:12; 28:18; 29:13; 110:9 40-minute 77:23 40.5 46:17 41 32:12; 46:20; 105:15, 20 42 34:16; 36:8; 46:14; 74:20; 86:24; 158:13 43 16:10; 51:22 46 41:21 47 47:25 49 41:25; 158:9</p>	<p>7 25:16; 51:6; 106:17; 107:5; 152:23; 153:15; 157:20; 164:19 70 15:24; 32:24; 93:17 73 18:23; 166:18 74-year-old-male 116:17 75,000 128:13; 132:13 75-year-old 63:18 79 26:10</p>	<p><b>8</b> 8 16:12; 79:22; 95:12; 128:12; 158:11 80 9:5; 13:13; 20:11; 26:6; 30:5 80,000 93:18 84 32:11 85,000 127:19</p>
<b>1</b>	<b>2</b>	<b>4</b>	<b>7</b>	<b>8</b>
<p>1 25:14; 27:23; 30:20; 40:15; 44:24; 45:1, 12; 46:5; 79:23 1,000 18:14; 160:17 1,221 41:13, 19 1,500 33:13; 46:16 1,696 41:8 1,890 38:23 10 15:24; 16:4, 16; 18:24; 38:22; 50:13; 54:17; 67:25; 70:14; 84:17; 148:25 10,000 13:5; 20:17; 92:10; 95:24 100 34:17; 37:2; 38:10; 61:1; 85:7; 135:24; 137:14; 139:21 100,000 33:14, 19; 47:22; 91:9; 92:4; 93:17; 94:4, 25; 107:10; 127:13; 132:13; 133:21 104 11:4, 14; 43:12, 18; 52:25; 105:16, 21; 108:19 10:30 101:20 11.1 32:15; 56:9 110 113:9; 154:6 110,000 95:2; 127:14 12 35:5, 12; 40:4; 86:12, 17; 87:6 12.6 40:10 12.8 75:3; 87:2 126 52:11 12:20 174:8 12A-30 6:13 13 34:4; 35:25; 78:5, 18; 116:2; 128:12 132 27:9 14 12:14; 19:3; 24:1; 30:19; 32:17; 40:22; 75:9; 106:8; 107:4 14-day 27:12 140 154:6 142 11:15; 23:12; 32:9; 34:2; 35:18; 40:6; 43:14, 15; 44:1, 15; 51:8; 52:25; 55:16; 61:1; 63:12; 69:8; 83:10; 105:24 142-patient 82:15; 83:4, 16 15 17:3; 46:23; 52:5; 75:8; 83:20, 23; 155:13; 167:1 15,000 128:6 15-minute 101:19</p>	<p>2 12:13; 25:12; 30:21; 44:24; 45:12; 46:5; 82:18, 20; 147:12 2.1 75:1; 80:8; 87:1; 132:25 2.5 40:15 2.9 40:15 20 38:14; 42:23; 51:1; 52:14; 71:9; 140:3 20,000 95:25 20-year 71:21 200 73:12 201 11:6, 8; 28:17; 34:5; 70:5, 11 201-U.S 10:24 202 28:18; 29:12; 34:6 202-EU 11:7 203 11:9; 28:19; 29:1; 32:17; 34:6; 106:2; 108:25 208 6:7, 17 21 17:3; 158:9 21-174 7:20 22 17:21; 32:10; 38:20, 23; 41:12; 51:22; 67:24 23 48:24; 49:19; 74:4, 17, 21, 25; 86:9, 15, 24; 148:17 24 26:21; 40:9; 51:8; 79:24; 80:1; 89:10 25 40:18; 54:20; 155:3 25,000 47:10, 16, 17; 92:2; 128:6, 9, 12 25-month 40:19 26 102:8 27 83:19; 137:11 28 47:25; 49:7; 158:12 29 10:15; 49:9; 52:19; 158:12</p>	<p>4 25:14, 16; 30:4; 45:1, 11, 13; 46:3; 48:15; 49:4, 19, 20; 51:4, 5; 57:6; 63:12; 75:11; 88:12; 89:20; 151:6; 162:11 4.2 85:8 40 11:3; 23:11; 25:12; 28:18; 29:13; 1</p>		

34:14; 63:22; 81:8, 14; 107:10; 108:14; 128:6, 9, 11, 12, 13 hievemnt 36:12 achieving 80:7; 81:8; 96:4; 99:20 action 8:16 activated 64:20 active 156:9, 16; 160:2 activity 23:20; 163:9; 170:17, 20; 171:1, 10, 11, 18; 172:20 actual 134:15 actually 62:13; 63:6; 66:7; 68:11; 72:19; 75:25; 76:7; 83:14; 88:18, 20; 89:8; 99:5; 122:5; 125:1; 128:7; 131:12; 132:21; 133:8; 136:21; 137:5, 21; 138:17, 20; 146:12; 148:19; 152:23; 155:2; 160:15, 18; 161:9, 19 actually- 72:23 acute 8:5; 12:10; 13:2, 6; 23:2; 57:17; 93:4; 102:24; 103:12, 15, 20, 24; 110:8, 15; 113:17; 114:22; 118:25; 152:12; 157:23 acutely 45:12 add 8:10; 73:24; 108:21; 111:10; 124:25; 135:16; 141:19; 150:12 added 137:21 adding 125:3; 154:12; 164:18, 19 addition 9:23; 25:16; 26:14; 30:5; 38:5; 73:11, 16; 83:10; 110:3 Additional 27:2; 30:17; 31:12; 43:13; 74:12, 14; 75:12; 78:8, 25; 81:13, 18, 21, 23; 82:1; 87:8, 10; 101:23; 105:23; 109:3, 5; 136:15; 138:5, 12; 139:10 Additionally 57:4 address 7:6, 13, 14; 69:24; 71:4; 92:23; 135:15; 148:5 addresses 5:22 adequate 30:23; 104:12; 118:18; 124:23; 145:2; 156:21; 170:13; 173:4 adequately 156:23 adjust 122:8 adjuvant 67:5 administer 57:15 administered 12:11; 24:1; 30:12, 21; 48:18; 50:21; 55:9; 59:5; 67:19 administration 24:12, 13; 28:6; 30:18; 45:4, 22; 46:3, 21, 24; 47:6; 49:15; 53:14; 82:8; 117:14 adult 23:10; 38:14; 121:18 advantage 105:11;	123:18 advantageous 100:1, 13 advantages 117:13; 122:18 adverse 24:10, 11, 21; 30:15; 44:13, 18, 22, 23; 45:5, 7, 8, 23, 24; 46:4; 53:8; 57:16; 71:2, 22; 99:6; 151:6 advice 124:8, 19 advising 125:6 Advisory 11:12; 153:20, 21; 154:1 Affairs 8:2 affected 55:23; 110:12 affects 48:10 Again 12:20; 16:23; 19:13; 58:15; 63:24; 64:6, 7; 66:15; 69:25; 70:19; 78:23; 80:5; 86:10; 89:19; 91:11; 95:10; 96:13; 114:19; 115:6, 9; 117:5; 125:9, 18; 128:16; 130:1; 134:10, 15; 135:4; 142:14; 144:23; 146:15; 147:13, 20; 148:23; 150:15; 151:5, 10, 19; 156:5; 157:2; 160:4; 164:19; 171:9; 173:24; 174:2 again-that 96:7 against 8:22; 9:20; 22:25; 50:4, 7, 7, 11, 19; 103:6, 9; 123:11; 166:4 age 11:10; 13:6, 13, 14; 17:4, 25; 19:8, 9, 12, 13; 29:1; 32:6, 8, 9; 34:18, 22; 35:4, 11; 38:16; 39:8, 13, 14; 41:20; 42:3, 11, 19; 52:12; 56:2, 3, 8, 12, 20; 71:19; 75:5; 110:16; 111:1; 117:20; 125:15, 17, 25; 154:4; 155:4, 7; 158:3, 17; 160:16; 161:17; 162:20; 163:22; 164:1, 6, 16, 22; 165:7; 166:18, 19, 20, 24; 167:7, 23; 169:6, 8, 23; 170:8; 173:9 Agency 6:19; 121:13; 131:5; 163:16; 173:6 agenda 5:25; 6:25; 11:17 agent 8:9, 23; 9:21, 24; 10:23; 12:15; 21:20, 21; 22:2, 5, 9, 23; 23:5; 25:19; 30:11; 34:10; 38:17; 53:7, 21; 54:22; 55:5, 15, 20; 59:2; 66:1; 67:20; 82:8; 89:22; 99:11, 17, 24; 120:16; 122:1; 123:6, 7; 124:13; 131:11; 132:10; 141:6; 155:8, 17; 156:5; 162:22; 164:4; 166:12, 14; 167:25 agents 10:2; 15:20; 57:11; 141:15; 155:21; 156:9 ages 35:10 aggressive 15:5; 32:22; 84:6, 21, 21; 139:3; 141:7;	152:22; 166:11; 167:24; 168:11 aggressively 43:8; 160:11 ago 123:9; 141:17 agree 67:13; 122:13; 127:15; 138:1; 140:11; 142:11; 146:5; 147:17, 20; 149:25; 150:19; 151:11; 153:21, 22; 157:21; 162:19; 164:14, 20; 165:11; 168:21; 173:7 agreeable 173:6 agreements 10:21 air 130:3 Alan 16:9; 41:5 ALBAIN 5:9, 9; 6:15, 21; 65:19, 20; 67:16; 98:19; 99:9, 10; 140:20, 21; 147:23; 149:6; 165:13, 14; 166:5, 10; 167:4; 169:3, 4, 16 algorithm 99:13 alive 16:5, 12, 17; 35:25; 97:13, 18 allo 98:22; 110:11 alogeneic 82:11; 83:2, 12; 84:2; 110:7; 119:4 allograft 82:21, 24 allow 136:20 allowed 29:2; 32:17; 63:9; 106:2, 22; 121:3; 122:15; 126:6; 152:18 allows 21:17; 55:8 allude 125:10 almost 41:17; 51:1; 123:20; 125:5 alone 65:22, 23, 23, 25; 66:15; 67:16, 18; 74:9; 82:8; 139:21; 144:14 along 92:22; 93:5; 124:11 alopecia 45:15, 16; 57:9 alter 109:6 alternative 167:13 Alternatively 119:20 Although 15:19; 17:25; 30:20; 32:15; 33:1; 36:11, 15; 37:9; 40:12; 43:4; 47:5, 20; 52:17; 80:24; 83:7; 88:8; 92:4; 96:8, 22; 97:6; 103:23; 108:3; 138:10; 145:17; 158:17; 161:17 Alvis 120:3 always 122:3; 124:24; 136:8 America 28:16 Americans 13:5 AML 8:10, 12; 9:6, 15, 19; 10:17; 11:7, 9, 19; 12:22; 13:1; 14:3, 20; 15:11, 13, 21; 17:9; 19:14, 18; 20:12, 13; 21:7, 17; 22:7, 10; 23:7, 11, 21, 23; 25:20; 28:11, 23; 29:8, 9; 30:13; 32:8, 8; 34:24; 37:19, 25; 38:4, 8, 15; 39:15; 40:2;	41:7; 42:21; 44:11; 45:10, 13; 46:19; 47:25; 48:12, 20; 49:10; 50:22; 51:11, 13, 14, 19, 21, 23; 52:8, 12; 53:7, 22; 54:10, 14, 21, 23; 55:11, 18, 21; 56:1; 57:8; 58:19, 25; 59:11, 19; 60:3, 9, 11; 84:5, 11; 85:18; 93:16; 94:16, 17, 20; 111:2; 147:13; 150:20; 157:4; 158:21; 159:14; 161:24; 164:17, 23; 167:22; 168:23; 173:10, 18 AML-R 16:7 among 17:13; 18:22; 19:19; 76:18 amount 90:19, 22, 23 amounts 66:11, 19 analogous 140:23 analyses 60:25 analysis 56:16; 77:3, 4, 12; 86:19; 96:19; 98:15; 102:5, 7, 12; 110:22; 114:9; 170:8 analyze 114:7 analyzed 56:19; 98:14 ANC 33:13; 46:12, 14, 15, 17, 19 Anderson 4:9; 18:19, 25; 19:5; 42:17, 23; 52:10; 70:21; 71:7 anecdotal 77:21 Angeles 4:14 animal 28:3 announcement 5:21 antecedent 60:4, 11 anthracycline 38:25 anthracyclines 45:18 anti 76:12 anti-CD33 21:8, 13; 29:22; 58:20; 65:21; 66:22 anti-leukemic 21:22; 75:12; 89:12 anti-neoplastic 103:5 antibiotic 8:24; 65:22 antibodies 9:15; 50:9; 76:12; 90:25; 113:14, 23, 25; 124:10 antibody 8:22, 25; 9:2, 20; 20:18; 21:1, 8, 13; 22:25; 27:4; 29:23, 23, 24; 50:7, 9; 58:21; 65:21, 25; 66:3, 3, 5, 9, 11, 15, 22; 67:8, 14; 77:17, 18; 91:2, 3; 103:6, 8, 9; 113:24; 125:3, 3; 132:10; 138:11 antibody-antigen 21:2 antibody-based 24:12 antibody-directed 21:4 antibody-targeted 8:20; 22:22; 24:20; 53:20; 54:25 anticipated 27:11 antigen 8:23; 9:3, 4, 6, 9, 19, 23; 8:9, 10, 14, 17; 76:14; 103:6, 13	antigen-antibody 20:18 antigens 20:7; 60:19 antihistamine 24:18; 30:17 antileukemic 145:5 antitumor 8:24; 67:20 apart 12:14; 30:20 APL 67:7 apologize 146:16 apparent 164:25 apparently 83:8; 159:19 appear 20:7; 77:21; 92:25; 109:23; 112:13; 114:10; 115:12, 19; 117:17; 131:14; 132:6, 12, 20; 158:15; 170:13 appearance 5:24; 6:18, 22 appeared 21:3; 26:22; 113:18; 114:4, 25; 115:5, 10, 17; 117:18; 118:1 appears 50:20; 109:13; 113:19; 114:24; 117:15; 127:6; 130:19; 168:3 Appelbaum 11:18; 13:1, 3; 22:18; 36:11; 41:1, 10; 54:13; 61:24; 62:1; 66:7, 10; 69:11, 21; 82:25; 83:17, 21; 84:16; 92:23; 93:8; 99:10, 16; 110:14; 123:3; 126:7, 18, 19; 127:8; 137:6; 141:20; 142:5; 148:1; 149:9, 13, 20 application 23:16; 38:2; 103:18; 104:4, 25; 119:22; 122:16; 145:8 appreciably 73:25 appreciate 89:18 appreciated 17:19 approach 21:4; 66:14, 24 appropriate 26:23; 27:2; 33:4; 112:11; 114:7; 152:19; 155:11; 163:18, 19; 167:6 approvability 152:10; 153:3; 166:4 approvable 167:7 approval 103:19, 21; 104:2, 19, 20, 21; 118:19; 119:16, 18; 123:7, 8, 10; 131:10; 139:24; 141:3; 144:24; 148:17; 152:13, 14, 15; 153:18; 157:5, 5, 6, 18, 24; 159:2; 163:6, 17; 167:13; 172:9 approve 123:17; 124:10; 153:18; 155:21; 159:1 approved 8:10; 12:21; 59:9; 103:24; 121:8; 124:6, 13, 22; 144:2, 4, 14; 172:11 approving 121:13 approximately 13:5; 14:23; 16:12, 16; 17:21; 20:17; 22:6; 32:8; 39:1, 15; 46:22; 50:16; 61:1; 75:22;
---	--	---	--	---

76:10; 77:23  
**ara-C** 16:14, 14; 18:7, 10;  
 32:25; 72:6; 99:13; 143:6;  
 155:7; 165:18  
**ara-C-containing** 16:20;  
 136:18  
**arbitrary** 92:4; 94:25  
**area** 123:20  
**areas** 100:18  
**argue** 155:16  
**argument** 134:12  
**Arizona** 5:8  
**arm** 85:17; 110:22;  
 119:12; 133:13; 159:10  
**armamentarium** 162:8  
**around** 4:5; 128:23;  
 167:21; 169:18; 170:7  
**arrange** 13:22  
**arrangements** 14:13  
**Arthur** 4:11  
**articles** 38:8, 11, 12, 13;  
 39:6  
**as-** 181:15  
**ascites** 83:6  
**aspirate** 31:2, 16  
**aspirates** 31:23  
**assay** 106:12  
**assays** 62:9  
**Assessment** 54:2  
**Assistant** 12:2  
**associated** 16:19; 20:2;  
 23:1; 24:5; 45:17, 22; 48:9;  
 49:15, 25; 50:14; 51:23;  
 52:2; 53:8, 12; 54:19;  
 102:11, 13, 16; 106:11  
**association** 19:8  
**assume** 76:18; 90:14;  
 125:18; 138:24; 161:13  
**assuming** 86:2; 89:24  
**assured** 122:17  
**AST** 116:3  
**asthenia** 45:9  
**at-the** 70:4  
**attached** 103:5  
**attaches** 103:8  
**attained** 41:8  
**attaining** 101:11  
**attempt** 103:17  
**attempting** 104:5  
**attention** 28:8; 93:12  
**attractive** 166:14  
**AUC** 27:9  
**audience** 7:14  
**augmenting** 66:13  
**auto** 98:23  
**autofluorescence** 30:4  
**autologous** 93:16  
**available** 14:7; 16:9;  
 38:17; 41:4, 8; 42:15;  
 60:20; 61:5, 25; 84:25;  
 104:6; 112:23; 123:18, 19,  
 21; 125:1; 130:16; 131:12,  
 15; 142:6; 144:11; 154:1,

20; 156:22; 167:12; 168:1;  
 170:3; 172:22  
**average** 16:3; 19:13, 21;  
 87:6  
**avoid** 13:25  
**avoided** 138:6  
**awaiting** 119:4  
**aware** 7:2; 116:25;  
 120:23  
**axis** 26:16, 18

**B**

**B-14** 65:10  
**B-2** 74:22; 86:21  
**B-20** 72:24  
**B-29** 63:16  
**B-31** 62:17  
**B-44** 85:2  
**B-45** 80:19  
**B-46** 76:1  
**B-47** 96:17  
**B-58** 82:13  
**B-83** 79:16  
**B-88** 102:7  
**B-89** 102:15  
**B-90** 102:10  
**back** 74:10; 82:1; 87:17;  
 88:2; 89:16; 98:20;  
 140:21; 149:24  
**background** 11:12;  
 68:12  
**bad** 165:23  
**bar** 26:3  
**barriers** 48:13  
**Barry** 8:1; 13:3  
**bars** 26:9, 9  
**Bart's** 127:9  
**Based** 5:25; 26:24; 30:2;  
 31:22; 33:6; 35:13; 55:1;  
 56:15; 58:12; 68:2;  
 104:19; 119:16; 123:17;  
 124:17, 19; 134:15;  
 144:24; 146:3; 147:21;  
 156:10, 15; 158:16, 17;  
 163:13  
**Baseline** 33:3; 61:6; 77:2  
**bases** 62:11  
**basic** 133:11  
**Basically** 72:21; 76:16;  
 77:1; 128:4; 172:20  
**basis** 85:16; 89:14;  
 100:3; 122:16; 124:14;  
 130:4; 144:14; 147:18;  
 150:1; 164:1  
**Baylor** 4:20  
**BCNU** 84:14  
**be-will** 94:12  
**bear** 162:23  
**bearing** 21:17  
**became** 92:1; 116:17;  
 128:14  
**become** 16:21; 31:18;

59:10; 92:5; 123:10;  
 124:2, 4; 128:15; 154:1  
**becoming** 17:18; 95:21,  
 22; 96:2  
**bed** 14:9  
**began** 66:12  
**begin** 4:4, 6; 8:14;  
 101:22; 167:5  
**begins** 20:23  
**behalf** 8:2  
**behave** 106:14  
**behaving** 109:12  
**behavior** 129:20  
**BEITZ** 5:11, 11; 120:4  
**belief** 60:8  
**below** 28:4; 131:23  
**benefit** 22:10; 58:15;  
 103:22; 104:13, 18, 22;  
 105:6; 118:23; 141:20;  
 142:1; 143:9; 145:1, 3;  
 172:4, 13, 23  
**benefit/risk** 54:1, 2; 55:3  
**benefitted** 57:2; 58:1;  
 138:20  
**Bennett** 31:24; 32:2  
**Berger** 11:22, 24; 22:13,  
 18; 61:8; 71:3, 6, 24;  
 72:11, 14, 19; 74:10, 19;  
 75:17; 76:24; 77:20;  
 78:10, 14, 20; 79:2; 80:12;  
 81:12, 19; 82:9; 85:1;  
 128:3  
**BERMAN** 4:23, 23;  
 64:22, 23; 77:13, 14; 82:4,  
 5; 84:10; 98:19, 20; 132:1,  
 4; 135:16; 136:17; 137:3,  
 24; 140:4; 141:10; 142:11;  
 152:6; 154:2; 155:2, 16,  
 23; 160:13; 162:19; 164:7;  
 167:4; 168:15, 21; 170:1,  
 10; 171:25; 172:6, 10, 15,  
 24  
**Bernstein** 21:6, 10  
**best** 14:6; 27:5; 71:25;  
 88:11; 94:6; 110:24;  
 148:3, 15; 168:1  
**better** 76:6, 22; 80:20;  
 94:21; 100:13; 104:1;  
 109:21, 22; 112:5; 130:18;  
 140:12, 16; 141:13; 143:8,  
 10; 154:18; 156:6; 163:8;  
 164:1, 5; 171:19, 22  
**beyond** 35:21; 43:21;  
 54:18; 133:16  
**bias** 121:23; 122:2, 5  
**biases** 74:6  
**bibliographies** 38:11  
**big** 70:20; 127:14  
**bilirubin** 49:17, 21, 22,  
 25; 116:2, 3  
**binding** 9:9; 20:18; 21:1;  
 88:11, 13, 16  
**binds** 9:2, 11  
**biologic** 122:5  
**biopsies** 31:23; 68:20, 25

**biopsy** 31:2, 16  
**biostatistics** 4:21  
**bit** 7:19; 89:23; 124:14;  
 130:1; 131:22; 144:20;  
 158:21  
**blanket** 123:7, 10  
**blast** 25:1, 3, 6; 26:9;  
 30:4, 5; 63:3; 73:6; 103:14  
**blasts** 9:5; 14:23; 20:11;  
 23:23; 25:17; 31:15;  
 33:11, 11; 41:24; 58:2;  
 64:4; 66:18; 67:1; 89:13;  
 93:25; 107:2, 2, 18, 23;  
 134:8  
**BLAYNEY** 4:13, 13; 6:9;  
 60:17, 18, 24; 61:15, 19;  
 62:25; 64:11, 21; 120:12,  
 14; 121:7; 143:14, 15;  
 167:1  
**Blayney's** 69:7; 125:10  
**bleeding** 48:5; 57:20;  
 113:14; 114:4, 6, 8, 10, 13;  
 115:1, 4; 117:18  
**blinded** 32:2  
**blood** 9:16; 25:14; 26:6;  
 29:7; 31:19; 33:15, 16;  
 47:14; 48:2; 64:8; 89:13;  
 95:13; 98:12  
**blue** 27:22  
**Bob** 5:12, 16  
**body** 60:18  
**bond** 64:18; 121:10, 10  
**bone** 9:17; 25:1, 3, 17;  
 26:9; 28:23; 30:22; 31:2, 3,  
 16, 16, 23; 32:4; 33:11;  
 41:24; 55:22; 66:20; 68:4,  
 19; 69:2; 74:14; 82:7, 11,  
 15; 83:2, 18; 89:12; 107:3  
**both** 16:18; 29:22; 36:25;  
 42:19; 43:6, 19; 44:3; 50:5;  
 53:2, 22; 55:11, 21; 58:1,  
 12; 61:6; 65:3, 16; 70:1, 2;  
 73:5, 20; 90:7; 96:20;  
 116:3; 132:25; 138:6;  
 140:17; 149:14; 169:1  
**bottom** 158:6  
**bound** 136:19  
**box** 158:10  
**break** 101:19; 121:11  
**breakdown** 69:9, 17;  
 158:1  
**breaking** 48:13  
**breaks** 9:12  
**breast** 123:8; 140:24  
**breath** 50:13  
**bridge** 100:19  
**bridging** 165:25; 166:12  
**brief** 106:16  
**briefing** 166:17  
**briefly** 10:10; 11:23;  
 66:10  
**bring** 162:23  
**broad** 64:25  
**broke** 120:21  
**Bross** 102:20, 22, 22;

120:10, 19; 122:6; 125:22;  
 126:2, 4, 7, 18; 127:18, 24;  
 129:3, 9  
**brought** 94:11; 166:1  
**Building** 6:13  
**built** 76:24; 77:8  
**bullet** 95:12  
**Burnett** 16:9; 41:5  
**but-[laughter]-the**  
 172:25

**C**

**calculated** 109:20  
**calculation** 113:1  
**calicheamicin** 8:24; 9:1,  
 10; 22:24; 25:25; 27:15,  
 15, 21; 28:1, 4; 50:4;  
 64:19; 65:23; 66:1, 4;  
 67:16, 18, 19; 90:12, 13,  
 20, 23; 91:3; 103:7;  
 115:23; 121:11; 122:6  
**calicheamicin-linker**  
 50:8, 11  
**calicheamicins** 28:2  
**Call** 4:2; 93:18; 94:4  
**called** 83:6; 107:18;  
 141:2  
**calling** 68:6  
**calls** 100:2  
**came** 127:19  
**can** 4:6; 16:5; 17:13, 20;  
 21:24; 28:3; 37:15; 39:8;  
 45:18, 19; 54:14; 55:6;  
 57:23; 59:5; 62:16, 20;  
 67:9; 70:8, 15, 18; 74:24;  
 75:17; 78:22; 79:16;  
 80:12, 19; 81:21; 82:1, 2,  
 25; 84:13; 85:5, 9; 95:2;  
 96:6, 22; 100:7; 101:13;  
 102:10; 109:22; 110:24;  
 111:9; 119:1; 122:7, 8;  
 123:16; 128:11; 132:1;  
 136:25; 138:9, 24; 140:2;  
 143:10, 20, 24; 144:1, 24;  
 147:17, 21; 149:2, 4;  
 150:1; 152:6, 7; 154:2;  
 156:15; 160:14; 164:5;  
 168:1, 10; 170:4  
**Canada** 28:17; 70:6  
**Cancer** 4:22, 24; 11:18;  
 14:20; 42:17, 23; 43:8;  
 52:10; 76:13; 103:7;  
 123:9; 140:24  
**candidate** 143:16  
**candidates** 13:19; 15:3;  
 36:20; 152:21; 167:23;  
 171:20  
**cardiotoxicity** 57:10  
**care** 15:23; 54:23;  
 137:15; 160:17  
**careful** 121:13  
**caregiver** 165:22  
**carried** 17:4; 18:7;  
 144:12

carry 14:7  
case 41:12; 77:14; 78:2;  
86:3; 103:7; 104:8, 13, 16;  
114:14; 114:13; 118:16;  
123:23; 124:21; 127:4;  
131:10; 145:4; 149:15;  
152:20; 153:24  
cases 20:12; 21:12; 62:3;  
9; 64:12; 65:16; 67:3; 82:5;  
115:11; 117:10; 119:2;  
120:22; 129:17; 148:16  
categories 33:7; 97:4;  
105:2  
categorization 102:16  
categorized 87:24  
categorizing 91:22  
category 19:15; 33:3;  
61:9; 62:20; 87:12; 93:5, 6;  
126:16; 142:19, 21;  
152:18; 163:17  
cause 52:6; 90:15;  
109:23  
causes 45:6  
causing 9:11  
CD-positive 102:24  
CD33 8:22; 9:3, 4, 9, 19,  
20, 23, 25; 12:9; 20:9, 12,  
21, 23, 25; 21:2, 16, 22;  
22:25; 23:20, 22; 24:7, 22;  
25:22, 25; 26:2, 5, 10, 11,  
15, 17, 20, 25; 29:17, 18,  
30:23; 30:1; 46:8; 47:2;  
50:5; 60:19, 20; 63:3, 6, 8;  
64:1; 65:1, 3, 5; 66:10;  
67:13; 76:17, 18, 25; 77:5;  
88:16, 20; 103:9, 11, 13  
CD33-expressing 46:7  
CD33-positive 8:5;  
21:14; 28:11; 58:25; 63:2,  
21; 64:7, 24; 76:21; 103:20  
CD34 20:22; 21:15  
cell 9:13; 13:20; 20:15,  
16, 17, 21, 25; 21:16; 29:7,  
11; 33:16; 36:13, 25; 48:2;  
75:8; 76:15; 79:20; 95:13;  
107:4, 13; 114:16; 116:13;  
129:16; 145:6  
cells 9:4, 7, 8, 8, 16, 18,  
24, 25; 21:1, 14, 18; 24:23;  
26:6; 29:17; 30:4, 5, 6, 7;  
46:7, 8; 47:3; 58:6, 21;  
60:18, 21, 22, 23; 63:3, 3;  
94:19; 98:12; 103:7, 14;  
108:2, 7, 9; 139:2; 169:21  
cellularities 68:25  
cellularity 30:23; 68:5,  
18, 19; 69:3  
Center 4:24; 6:3; 11:19;  
14:21; 42:17, 23; 43:9;  
52:10; 69:10, 16, 17, 18;  
70:9; 143:18  
centers 69:8, 25; 70:2, 7,  
11, 13, 14, 17; 92:10;  
136:5; 148:25; 149:7  
central 28:24; 29:21;  
65:4, 6, 14, 15, 17  
cerebellar 17:1; 57:10

certain 65:13; 85:14;  
112:24; 119:1, 2; 161:12,  
18  
certainly 90:25; 92:10;  
122:7, 8, 9; 124:1; 131:14;  
135:11, 24; 137:3; 138:10;  
139:22; 142:18; 143:1  
cetera 170:8  
CFU 60:22  
challenging 60:7  
chance 36:14; 99:19  
change 67:9; 109:5;  
126:21; 154:13  
changed 18:6  
changes 102:25; 120:8, 9  
changing 117:22; 171:9  
characteristics 17:18;  
52:16; 160:7  
characterize 156:22;  
163:21; 170:15  
characterized 15:7;  
33:10; 170:19, 19  
chart 26:16  
chemotherapeutic 21:4  
chemotherapies 93:11  
chemotherapy 8:20;  
13:9, 11; 15:6; 22:23;  
24:20; 36:21; 37:25;  
38:21; 41:15; 48:17;  
53:21; 55:1, 24; 56:18;  
74:12, 15; 78:8, 25; 84:12;  
90:6, 7, 10; 94:14; 99:21;  
100:11, 21; 105:4; 106:15,  
17; 111:17; 112:14;  
116:10; 117:9, 16; 118:15;  
119:1; 127:1, 3; 132:11;  
136:15, 18; 138:7, 12;  
139:3, 8, 10, 11; 141:7;  
142:12; 151:8, 13, 21;  
152:22; 167:24; 168:4, 12;  
171:21; 173:1  
CHF 45:18  
Chicago 5:1, 10; 148:6  
Children's 5:5  
chills 24:13; 45:9; 46:1;  
57:19  
choice 25:10  
choose 88:25; 99:24  
chosen 89:14  
circulating 14:23; 66:18;  
67:1; 107:2  
circulation 27:25; 90:12  
circumstance 67:9  
circumstances 14:6, 11  
claim 85:16; 112:24;  
113:2; 151:12, 20  
clarification 101:3;  
120:25; 125:16; 127:12;  
151:17; 166:16  
clarifications 95:10  
clarify 97:12; 101:24;  
102:5; 144:1; 148:24;  
149:7; 152:6  
class 8:19; 103:5  
classic 106:17

classification 67:24;  
68:8, 10, 12, 15, 16  
classifications 68:14  
clear 21:25; 47:7; 117:6;  
138:10  
clearance 25:1, 4, 6, 17;  
26:9; 58:2; 64:3; 73:6;  
91:1, 3; 107:18, 23;  
108:13; 129:11; 134:7  
clearing 89:12; 93:24  
clearly 15:22; 53:21;  
55:19; 59:1; 62:23; 88:7;  
89:1; 92:19; 143:6; 145:18  
clinic 21:24; 113:19;  
135:7  
clinical 8:18; 10:23; 11:2,  
22; 12:3, 5; 22:6, 14, 16;  
23:10, 19; 24:5, 6; 26:23,  
24; 32:3; 41:1; 45:11; 50:5,  
10, 20; 54:6; 59:20; 60:13;  
83:7; 88:14, 21; 90:2; 92:8;  
93:4; 98:11; 99:7; 104:13,  
17, 22; 105:1; 112:19;  
116:10; 119:24; 129:20;  
142:1; 144:25; 145:3;  
170:5; 172:13  
clinically 37:13; 44:18;  
59:22; 95:2, 3; 109:12;  
132:12; 171:18  
clonal 62:7  
clonality 21:9  
clone 21:12; 108:14;  
129:12  
closely 109:19  
closer 111:18; 112:1  
CMA-676 8:18; 93:24  
CML's 84:20  
CNS 45:19  
cohort 140:9  
coined 107:8  
colleagues 100:3;  
167:21  
collection 31:9  
College 41:5  
colony-forming 108:7  
colony-stimulating  
120:15; 121:5  
combination 13:8; 15:6,  
20; 19:20; 23:6; 34:12;  
36:21; 38:17, 21; 41:15,  
20; 53:11; 79:21; 90:10;  
141:15; 155:17  
combined 33:22; 37:16;  
108:18  
combining 90:6  
comfort 61:19  
comfortable 119:6;  
122:24; 123:21; 129:11,  
13; 159:24; 160:3; 161:5,  
23; 168:17  
commencing 138:7  
comment 7:8; 83:17;  
121:16; 125:10; 136:11;  
165:1  
comment-you 136:11

comments 71:21;  
130:23; 133:9; 134:14;  
138:22; 140:1  
commitment 20:22  
commitments 119:17  
committee 4:5, 8; 5:3;  
7:13, 15; 11:12; 54:5;  
59:15, 17; 101:24; 114:23;  
119:15, 15, 18, 20; 120:11,  
13; 130:15; 131:2; 133:9;  
141:25; 142:4; 147:16;  
149:25; 150:19; 151:11;  
152:10; 154:1; 156:19;  
157:2, 21; 158:4, 19;  
164:14, 20; 165:11; 173:7  
committees 153:20, 21  
common 24:10; 30:12;  
45:9; 46:1; 49:5; 75:14;  
113:17; 114:14; 136:4;  
139:23  
commonly 57:19; 107:1  
communications  
134:21  
community 163:6  
comorbid 163:23; 164:9  
comorbidities 121:21;  
125:14; 135:9; 165:16;  
166:10; 169:7, 13, 13, 14;  
170:7  
comorbidity 143:22;  
170:2, 3  
company 172:16  
comparability 97:3;  
128:21  
comparable 23:6; 33:20;  
34:11; 35:22; 36:3; 37:13;  
42:20; 43:1, 7; 44:10;  
56:17, 23; 58:13; 71:21;  
73:21; 104:6; 111:12;  
112:22; 115:5, 11; 118:16;  
122:18; 129:1; 130:8;  
131:16, 18, 22; 145:21;  
146:6; 147:5; 150:21;  
152:11; 156:8; 157:4, 22;  
164:15, 21; 165:12;  
167:11; 168:11, 20;  
171:16  
comparative 140:7  
comparator 159:10  
compare 40:24; 65:20;  
68:20; 111:21; 113:6;  
117:20, 22; 118:14;  
121:18; 122:4, 13; 133:19,  
21; 135:13; 172:16  
compared 10:1; 24:25;  
39:14; 40:5, 15, 18, 21;  
46:23; 47:24; 48:25;  
50:24; 51:24; 52:3, 21;  
53:10, 18; 55:10; 56:5;  
114:13, 17; 115:3, 9, 13,  
17, 20; 117:7, 13; 125:19;  
136:9; 138:12; 147:19;  
150:2; 154:8  
compares 49:8; 167:14;  
170:23  
comparing 16:13; 70:21,  
23; 71:18; 86:1; 114:5;  
125:12, 25; 144:5; 147:14;

151:7; 154:19; 156:11;  
160:4; 163:23  
comparison 37:21;  
39:22; 71:4, 8; 96:14;  
110:25; 117:24; 121:22;  
122:24; 140:13; 141:21;  
155:11  
comparisons 39:19;  
42:11; 85:20, 22; 110:21;  
112:11; 119:13; 121:17;  
122:10; 123:2; 124:13, 16,  
18, 24, 25; 125:6; 133:14;  
134:25; 135:5, 7; 141:4;  
144:6; 148:12; 156:11;  
160:6, 9  
compartment 60:21  
compassionate 23:14;  
83:11; 100:3; 117:4; 118:8  
complement 21:8  
complete 13:8; 16:1, 8,  
10, 15; 18:1, 12; 19:10, 11;  
24:19; 25:13; 26:8; 33:7, 8;  
57:24, 24; 62:4; 63:22, 22;  
64:3, 8; 67:2, 5; 69:22;  
81:14; 82:20; 89:8; 91:8;  
92:5; 93:15, 19, 20, 24;  
94:4, 7; 101:12; 104:7, 11,  
16; 105:2; 106:25, 25;  
107:9, 18; 108:20; 111:8;  
120:5; 134:22; 145:1, 7,  
21; 148:7; 158:14  
completed 106:9  
completely 22:3; 108:10;  
123:15  
completion 119:21, 23,  
25  
complex 20:19; 21:2;  
113:25  
complication 81:18;  
116:9, 21  
complications 48:13;  
51:20; 57:3  
component 50:5, 7, 8, 9,  
11, 19; 65:22  
components 8:21; 68:5  
compound 64:12, 15  
compounds 8:19  
comprehensive 38:3;  
56:15  
comprised 62:23;  
107:20  
conceivably 124:21  
concentration 27:8, 17,  
19, 23  
concentrations 27:21;  
28:1; 50:14; 90:15  
concept 21:5; 109:11  
concern 45:11; 71:17;  
97:7; 98:3; 104:24;  
134:16; 156:13  
concerned 95:7; 117:9;  
132:19; 133:6; 134:24;  
135:6, 12; 160:9; 161:15;  
165:14  
concerning 6:23; 105:1;  
108:12; 119:7  
concerns 130:15; 135:2;

163:14  
**conclude** 12:8; 37:15;  
 84:23; 96:22; 145:21;  
 146:6  
**concluded** 174:9  
**concludes** 174:6  
**conclusion** 39:16; 58:18;  
 72:21; 73:22; 98:2; 115:4  
**conclusions** 12:6; 39:5;  
 54:2; 110:21; 112:16, 21;  
 119:10  
**concordance** 65:14  
**conditioning** 139:12  
**conduct** 37:23  
**conducted** 11:7, 8, 9, 25;  
 17:7; 28:16; 38:5, 7; 70:6  
**confidence** 131:11;  
 158:7, 9, 11, 13  
**confident** 97:7; 156:4, 8;  
 10; 161:6; 163:12; 171:12  
**confirm** 42:12; 104:21;  
 108:6  
**confirmed** 28:24; 65:6;  
 109:6  
**confirms** 44:8  
**Conflict** 5:18, 20, 22; 6:5,  
 18, 22  
**confounding** 125:15  
**confronted** 93:10  
**confuse** 163:6  
**confused** 142:19  
**confuses** 171:10  
**conjure** 169:13  
**Connecticut** 4:16  
**connecting** 8:25  
**consider** 118:12; 119:15;  
 123:5, 5; 124:22; 137:9;  
 144:19; 165:24; 170:9  
**considerable** 15:19;  
 20:2  
**consideration** 147:11  
**considered** 34:15;  
 36:19; 45:7; 51:10; 93:7;  
 104:7, 12; 126:9; 137:8;  
 145:2, 22; 170:21  
**consisted** 75:5; 139:1  
**consistent** 24:7; 26:25;  
 42:14; 47:2; 52:7; 55:14;  
 105:7; 110:5; 153:9;  
 159:16; 169:1  
**consistently** 26:6  
**consists** 41:6  
**consolidation** 13:11;  
 18:11; 94:14, 17; 99:21;  
 100:10  
**constitute** 6:16  
**consumer** 5:8  
**contain** 38:6  
**contained** 43:12; 114:23  
**containing** 71:10; 72:7;  
 84:15  
**containment** 117:20  
**contemporary** 16:6  
**content** 10:24

**context** 155:1  
**continents** 70:3  
**continue** 100:2; 125:2  
**continuing** 67:4; 76:5;  
 92:6  
**continuous** 30:14;  
 117:16  
**contrast** 30:12; 58:10;  
 106:16  
**contributed** 127:5  
**contributes** 154:25  
**contribution** 109:7  
**control** 70:19  
**controlled** 112:18  
**controls** 97:6; 114:20;  
 125:12, 17; 140:3; 154:24  
**convenient** 117:15  
**conventional** 10:2; 22:8;  
 35:23; 37:25; 41:20;  
 44:10; 51:25; 55:10, 24;  
 56:18; 105:4, 12; 112:13;  
 115:6; 117:8, 14, 25;  
 118:13, 15; 126:25; 127:2;  
 130:19; 150:21; 151:13,  
 21; 164:4  
**conventions** 107:1  
**conversation** 138:24  
**cooperative** 62:12  
**copies** 20:17  
**copy** 6:11  
**corner** 35:8  
**corollary** 68:4  
**correctly** 74:3, 16;  
 122:23; 137:12  
**correlate** 98:15  
**correlated** 110:7  
**corresponding** 70:13  
**cost** 117:20  
**Council** 40:25  
**count** 33:13; 47:10, 16,  
 17, 22; 93:25; 94:10;  
 128:5, 6; 132:13; 134:9;  
 143:11; 146:15; 150:12  
**counted** 134:12; 137:11  
**counting** 120:18  
**countries** 28:18, 20  
**counts** 25:14; 29:7;  
 30:25; 31:19; 33:15, 18;  
 47:14; 64:8; 91:8; 94:3;  
 95:23; 108:5; 128:14, 16  
**couple** 69:10; 74:2; 76:5  
**course** 12:13; 13:24;  
 16:22; 20:6; 27:22; 50:17;  
 51:13; 63:8, 13, 21; 64:2;  
 90:13; 94:12; 100:16;  
 106:16; 112:10; 120:17;  
 129:3; 139:11  
**courses** 63:9  
**covalent** 64:18; 121:10,  
 10  
**cover** 113:13  
**CR** 18:16; 33:7, 10, 20,  
 22; 34:4; 36:2, 4, 7, 16, 24;  
 37:2, 7, 10, 12, 15; 47:8,  
 10, 18, 21, 23; 48:4, 5, 6;

58:9, 13, 16; 67:3; 68:19;  
 69:3, 12; 72:9, 11; 73:14,  
 19, 25; 74:5, 17; 79:21;  
 80:5, 9, 14, 23; 85:5;  
 89:20; 93:2, 5; 96:8, 14;  
 97:15, 23; 98:4, 5; 99:8;  
 101:17; 109:18; 114:5, 17;  
 126:20; 127:1, 5; 128:21;  
 129:2; 131:23; 132:17;  
 133:20, 22; 134:6, 13;  
 145:11; 148:18, 20; 158:2,  
 7, 8, 11, 12  
**CR's** 68:6; 97:25; 109:12,  
 14, 20; 113:3; 114:13;  
 126:21; 131:19; 133:18;  
 134:23; 135:17; 145:15,  
 17, 22; 146:6; 147:15;  
 148:15, 16, 21; 149:14;  
 150:2  
**CR/CRp** 80:7  
**CR/CRp's** 135:18  
**create** 6:17  
**criteria** 30:1, 3; 33:17;  
 34:3, 4; 39:13; 60:2; 63:20,  
 25; 65:5; 71:19; 72:9, 11;  
 76:23; 83:8; 101:10;  
 106:4; 124:3; 126:16, 20;  
 127:1; 144:5, 6; 160:6;  
 165:7  
**critical** 58:18  
**critically** 45:6  
**cross** 29:20  
**CRp** 33:8, 17, 19, 22;  
 34:4; 36:2, 4, 7, 16, 24;  
 37:2, 7, 10, 13, 15; 47:7,  
 10, 15, 19; 48:2, 5, 7; 58:7,  
 9, 11, 16; 68:6; 69:3;  
 72:14, 19, 21; 73:2, 8, 14,  
 15, 19, 23; 74:5, 17; 79:21;  
 80:5, 9, 14, 23; 91:6, 7, 22;  
 92:1, 24; 93:1, 5, 6; 95:4,  
 11, 12, 16, 19, 21; 96:2, 3,  
 4, 5, 8, 15; 97:14; 99:1, 8;  
 101:14, 17; 105:2; 107:11;  
 108:15; 110:11; 112:25;  
 114:5, 10, 12, 17; 125:20;  
 126:3; 127:4, 6; 128:14,  
 21; 129:1; 132:18; 145:11;  
 148:8; 149:10, 12, 13, 19;  
 158:8, 12  
**CRp's** 68:19, 21; 72:13;  
 93:22; 98:20, 22; 101:7;  
 107:8; 108:21, 23; 109:7,  
 11, 14, 21; 111:11; 113:3;  
 118:17; 126:4, 15; 127:9,  
 16; 129:25; 131:19, 23;  
 132:4; 133:18; 134:16, 23;  
 145:15, 16, 21; 146:6  
**crux** 129:3  
**cumulative** 81:10  
**current** 7:6; 54:19; 71:18;  
 119:16; 137:15  
**currently** 8:10; 12:20;  
 18:9; 98:6; 103:23; 137:8  
**curve** 27:17, 21; 76:4;  
 78:22; 80:13, 20, 21;  
 109:17; 132:17, 21, 21  
**curves** 36:7; 80:25; 81:2;

96:9, 22; 97:9; 109:19;  
 110:12  
**cut-off** 23:15; 31:11;  
 36:5; 127:13  
**cycles** 18:10  
**cytarabine** 38:25; 45:19;  
 52:13; 71:9, 13; 90:7, 8  
**cytarabine-containing**  
 42:22; 71:15  
**cytogenetic** 33:1; 60:25;  
 61:5; 62:20; 69:12, 20, 22;  
 108:13; 129:11; 148:1;  
 149:8, 15  
**cytogenetically** 62:5;  
 149:17  
**cytogenetics** 15:8; 18:1;  
 61:2, 4, 10, 12, 13, 15;  
 62:2, 8, 8, 21, 22; 99:18;  
 148:7, 9; 164:11  
**cytologic** 149:2  
**cytologically** 149:5  
**cytometry** 23:23  
**cytoreduction** 137:10  
**cytotoxic** 8:23; 9:21;  
 21:21; 22:24; 171:21  
**cytotoxin** 84:14

**D**

**damage** 100:8  
**damaging** 58:22  
**data** 8:8; 11:3, 23, 25;  
 18:1; 22:23; 23:15; 25:22;  
 31:9, 11; 33:19; 34:2; 35:3,  
 16; 36:5; 37:9, 12; 38:6;  
 39:20, 22; 40:13; 41:6, 8;  
 42:12, 12, 17, 21; 43:4, 12,  
 15, 22, 25; 46:25; 47:5;  
 51:9, 14, 22; 52:11, 15, 20;  
 53:1, 6, 19; 55:1; 58:7, 23;  
 59:1; 60:14, 20; 61:13, 15,  
 21; 62:19; 64:23; 65:8, 13,  
 14, 21, 21, 22, 24; 68:3,  
 11; 71:5; 72:4, 4, 5, 24;  
 75:17; 76:16, 20; 77:4;  
 80:23; 81:5; 85:6, 13;  
 86:19; 87:16; 88:8; 89:15,  
 19; 98:14; 99:5, 16; 105:7,  
 20; 106:12; 108:15; 109:6,  
 10; 110:4; 115:7; 117:19;  
 119:17; 124:2; 126:5, 19;  
 127:16; 128:2, 4, 22;  
 134:11, 15, 20; 136:22;  
 137:23; 138:10; 140:2, 3;  
 141:1; 146:1, 3; 148:14;  
 149:4, 14; 153:23; 154:6,  
 13; 155:3, 20, 21; 156:15,  
 22; 160:22, 24; 161:2, 5,  
 15, 16, 16; 162:10; 163:13;  
 164:25; 165:6, 8, 9;  
 166:22, 24; 169:10; 170:6  
**database** 11:2, 11; 40:25;  
 41:3, 4, 18, 19; 42:7, 15;  
 72:3; 73:12, 15, 17  
**databases** 38:6; 39:21;  
 44:8; 56:16; 71:25; 73:12,  
 20  
**date** 31:11; 36:4, 5; 86:23  
**dating** 121:13  
**daunorubicin** 90:8  
**David** 4:17; 6:8  
**day** 4:3; 45:25; 46:22;  
 52:6; 106:8; 137:14;  
 169:17  
**days** 12:14; 24:2; 30:19;  
 31:1; 37:3; 46:17, 20;  
 47:17, 18, 23, 25; 50:25;  
 51:2, 6, 8, 16, 22; 57:5, 6,  
 7; 107:5, 5; 117:15; 135:24  
**de** 41:7; 54:14  
**deal** 17:12  
**dealing** 132:10; 133:13  
**deals** 152:9  
**death** 9:13; 31:11; 36:5;  
 53:17; 56:5; 88:1  
**deaths** 44:19; 52:5; 167:2  
**decades** 54:21  
**December** 63:20  
**decide** 119:15; 162:18;  
 163:10, 10  
**decided** 25:8; 122:11  
**decision** 93:23; 162:5;  
 170:4  
**decisive** 36:12; 151:4  
**declared** 92:12  
**decrease** 22:25  
**decreased** 23:2; 30:25;  
 49:2; 50:14; 51:24; 53:9;  
 108:25  
**decreases** 66:17  
**define** 123:19; 140:9;  
 155:12  
**defined** 45:24; 72:9, 11;  
 106:25, 25; 107:9; 154:9,  
 11; 172:18  
**definition** 18:16; 41:23;  
 42:3; 45:4; 73:2, 14, 14,  
 18; 91:6, 6, 11, 18, 21, 23;  
 92:24; 93:20; 95:20;  
 125:20; 127:10  
**definitions** 17:15; 18:12;  
 19:25  
**definitive** 112:16  
**definitively** 140:14  
**degree** 9:7  
**Delayed** 24:24; 57:25;  
 58:4; 101:15  
**delineated** 108:10  
**deliver** 90:1  
**delivery** 9:21; 22:24;  
 25:24  
**demonstrable** 80:16  
**demonstrate** 10:5;  
 12:14; 22:23; 42:18;  
 43:25; 53:6, 21; 55:19;  
 59:1; 103:25; 104:5;  
 105:5; 110:1  
**demonstrated** 30:22;  
 57:1; 157:23; 164:16, 22;  
 165:12; 173:9  
**demonstrates** 37:12  
**demonstrating** 18:19;

104:3; 108:25  
**demonstration** 118:18  
**denied** 163:1  
**denominator** 160:15  
**Department** 8:2  
**depend** 13:18; 83:22  
**dependent** 23:20  
**depending** 110:17;  
153:14; 155:12  
**depends** 29:16; 84:8;  
112:25; 124:14; 125:4;  
153:1  
**depicted** 8:17  
**depicts** 11:2  
**Deputy** 5:12  
**derivative** 8:23  
**derived** 119:10  
**describe** 107:8  
**described** 77:14; 88:7;  
165:20; 169:20  
**design** 10:23; 11:8;  
22:16; 30:9; 60:6; 139:25  
**designation** 10:16, 19  
**desire** 110:24  
**despite** 13:10; 17:3;  
24:16; 49:4; 54:21; 56:10  
**detail** 128:23; 166:23;  
169:20  
**detailed** 38:10; 44:17;  
62:10  
**detect** 50:6; 96:23  
**detectable** 90:12  
**detected** 50:9, 19;  
113:24  
**detection** 28:6  
**determine** 28:10; 89:23;  
131:18; 154:25  
**determined** 6:2, 19;  
23:23; 27:16; 55:2;  
106:20, 21  
**determining** 104:9  
**develop** 13:6, 14; 16:23,  
24; 83:13; 136:19; 163:19  
**developed** 48:17; 83:5,  
15; 113:25; 116:12, 14;  
117:5; 137:13  
**development** 8:16;  
10:13, 22; 21:19; 54:6;  
113:13; 136:2, 7  
**di** 97:23  
**di-efflux** 98:1, 10, 13, 15;  
102:8, 11, 13  
**diabetes** 165:23  
**diagnosis** 13:15; 19:14;  
83:7; 97:24; 116:10; 134:7  
**dichotomy** 165:1, 15  
**Dick** 100:15  
**die** 83:8  
**die** 83:14; 116:14, 18, 21  
**differed** 36:24  
**difference** 42:2, 3; 58:10;  
69:2, 19; 80:10, 16, 25;  
84:7; 88:9, 18, 18; 96:10,  
21, 23, 25; 99:3, 7; 127:14;

133:7; 167:2, 14; 170:10  
**differences** 28:25; 42:1,  
10; 43:5; 71:20; 81:1;  
101:18; 106:1; 134:17;  
145:13, 18; 151:8; 158:16,  
17; 166:19  
**different** 15:3, 19; 63:6;  
70:13; 71:1; 72:25; 76:9;  
88:15; 94:23; 95:1; 97:8;  
108:24; 109:23; 112:17;  
113:4, 10; 129:14; 132:18,  
24; 144:5, 16; 151:7, 16;  
152:7, 8; 156:6, 7; 160:1;  
162:6; 168:2  
**differentiation** 20:7  
**differently** 59:24; 156:9  
**differing** 19:25; 35:10  
**difficult** 20:4; 85:13; 86:5;  
89:23; 93:23; 104:14, 16;  
112:22; 113:6; 116:10;  
117:19; 22; 118:14;  
121:19; 122:4; 145:5;  
154:23  
**difficulty** 45:5; 104:8  
**direct** 112:11; 115:7  
**directed** 9:20  
**directions** 170:13  
**directly** 7:18; 73:3; 90:24;  
138:16; 139:8  
**Director** 5:13, 14, 16;  
11:22; 22:14  
**disabling** 16:25  
**disadvantaged** 130:18  
**Disadvantages** 117:24  
**disagree** 155:2  
**disagreement** 156:23  
**disappear** 20:8  
**disclose** 6:14  
**discriminate** 162:20  
**discuss** 11:23; 12:4;  
103:1; 131:3; 132:2;  
147:22; 153:2, 15; 157:17;  
158:21  
**discussed** 11:13; 31:21;  
63:12  
**discusses** 166:18  
**discussing** 21:20; 166:2  
**discussion** 100:17;  
128:20; 131:2, 7, 25;  
135:14; 144:20; 145:24;  
147:24; 150:22; 151:14,  
18; 152:16; 157:1; 158:18;  
160:24; 167:20; 173:5, 14  
**discussion-I** 159:5  
**discussions** 6:24;  
163:16  
**disease** 10:18; 11:21;  
13:12; 18:1; 30:20; 31:10;  
54:11; 55:23; 57:13; 62:7;  
67:6, 11; 79:14; 82:6, 11,  
17; 83:14; 116:8, 14, 21;  
117:1, 5, 6; 118:5, 9, 20;  
135:19; 136:4, 8; 144:14;  
149:5, 16; 154:4; 164:12;  
173:1  
**diseases** 139:23

**dish** 21:11  
**dismissive** 160:19;  
161:4  
**disorder** 60:5  
**display** 79:11  
**displayed** 47:9  
**dissatisfying** 85:21  
**distinct** 123:4  
**distinction** 68:18  
**distinctly** 132:18  
**distinguish** 172:2  
**distorted** 133:14  
**distressing** 162:12  
**distribution** 66:6  
**divide** 80:8  
**divided** 34:22; 80:14  
**Division** 5:12, 14  
**DNA** 9:11; 64:14; 103:8  
**doctor** 134:2, 10; 155:5;  
159:6; 166:8  
**doctors** 163:6, 10, 21;  
167:20  
**document** 52:20  
**documentation** 29:18;  
134:6  
**documented** 28:23  
**documents** 48:21;  
166:17; 169:23  
**done** 62:8; 66:21; 70:1;  
72:23; 76:7; 77:3; 104:3;  
138:21; 139:25; 140:18;  
144:7  
**Donna** 4:19  
**donor** 14:12, 13, 19;  
143:19  
**donors** 108:8  
**dosage** 23:25; 25:15;  
26:4, 7  
**dose** 12:11; 16:14; 22:9;  
24:4, 5, 17, 17; 25:1, 5, 11,  
23; 26:3, 5, 11, 16, 19, 23;  
27:2, 7, 11, 18; 30:20, 21,  
21, 22; 31:1; 35:20; 46:5,  
5, 18, 22; 47:18, 23; 50:15,  
22; 52:6; 76:6; 88:6, 7, 10,  
22, 25; 89:2, 4, 6, 14, 17,  
23; 90:1, 9, 9; 140:5  
**dose-escalation** 11:4  
**doses** 12:14; 23:24; 24:2;  
25:7, 8; 26:22; 27:12;  
28:12; 30:17, 19, 24;  
88:12; 89:3, 7, 10, 25;  
143:6  
**dosing** 23:19; 88:6; 90:4;  
119:8  
**double-strand** 9:12  
**doubt** 154:13; 168:10  
**Douglas** 4:13; 6:9  
**down** 48:13; 80:8  
**DR** 4:3, 6, 9, 13, 15, 17,  
19, 21, 23, 25; 5:2, 4, 7, 9,  
11, 12, 14, 16, 17, 18, 21;  
6:8, 8, 9, 9, 9, 10, 14, 15;  
7:10, 17; 11:18, 22, 24;  
12:2, 5, 25; 13:3; 16:9;

21:6, 10; 22:13, 18, 18;  
31:24; 32:2; 36:11; 40:25;  
41:5, 9; 42:18; 43:11;  
52:10; 53:25; 54:3, 5, 13;  
59:13, 13, 16, 18; 60:1, 17,  
17, 18, 20, 24; 61:3, 8, 15,  
17, 19, 22, 23; 62:1, 16,  
25; 63:4; 64:11, 17, 21, 22,  
22, 23; 65:2, 19, 19, 20,  
24; 66:7, 10; 67:16, 17, 21,  
21, 22; 68:7, 17; 69:1, 5, 5,  
6, 6, 11, 14, 17, 21, 24;  
70:18; 71:3, 3, 6, 17, 24;  
72:8, 11, 13, 14, 16, 19;  
73:12; 74:1, 1, 2, 10, 10,  
16, 19; 75:13, 17; 76:11,  
24; 77:13, 13, 14, 20; 78:3,  
4, 10, 14, 20; 79:2; 80:3, 3,  
4, 12; 81:6, 12, 17, 19;  
82:3, 4, 5, 9, 25; 83:17, 21;  
84:10, 16; 85:1, 12, 12, 13;  
86:9, 18; 87:3, 7, 11, 13,  
15, 18, 20, 24; 88:4, 22,  
23; 89:18; 90:5, 11, 19;  
91:5, 20; 92:6, 8, 11, 18,  
21, 23; 93:8; 95:10, 15, 18,  
18, 21; 96:2, 4, 5, 7, 13, 16,  
17; 97:5, 10, 10, 12, 16,  
20, 21, 22; 98:9, 18, 20;  
99:1, 9, 9, 10, 10, 14, 16;  
100:16, 23, 23, 25; 101:1,  
13, 19, 22; 102:1, 4, 19,  
20, 22; 110:14, 23; 120:10,  
10, 12, 14, 19, 25; 121:2,  
6, 7; 122:3, 6, 20, 20, 21;  
123:3, 13; 124:9, 14;  
125:8, 8, 9, 10, 22, 23;  
126:2, 3, 4, 5, 7, 7, 15, 18,  
18, 19; 127:4, 8, 12, 18,  
22, 24; 128:3, 18; 129:3, 5,  
9; 130:14; 131:1; 132:1, 1,  
4, 16, 16, 17; 133:9, 10,  
11, 25, 25; 134:1, 14, 14,  
15; 135:1, 3, 14, 16;  
136:10, 17, 23; 137:3, 5, 6,  
24; 138:2, 9, 15, 22, 22,  
23; 139:6, 13, 16, 17, 17,  
18; 140:1, 4, 11, 11, 20,  
20, 21; 141:10, 18, 19, 20,  
23, 23, 24; 142:5, 7, 11,  
14, 17; 143:3, 14, 14, 15,  
25, 25; 144:1, 1, 8, 16, 18;  
146:2, 9, 12, 14, 16, 21,  
25; 147:1, 3, 8, 11, 12, 23;  
148:5, 10, 10, 11, 22, 22,  
23; 149:6, 9, 10, 13, 18,  
20, 22, 24; 150:6, 9, 11,  
17, 25; 151:3, 15, 18, 24;  
152:2, 5, 6, 9, 17, 23, 24,  
25; 153:2, 4, 8, 11, 13, 20;  
154:2, 15; 155:2, 9, 16, 19,  
23; 156:3, 3, 4, 18, 18, 19,  
25; 157:9, 12, 15; 158:24,  
25; 159:3, 5, 13, 15, 23,  
23, 24; 160:5, 13, 21;  
161:1, 3, 7, 7, 8, 23, 25;  
162:3, 3, 4, 19; 163:3, 5,  
15, 20; 164:7, 12, 13, 24;  
165:2, 5, 8, 10, 13, 14, 19;  
166:3, 5, 7, 10, 15, 15, 16;  
167:1, 4, 4, 8, 8, 9, 19;

168:5, 7, 8, 14, 15, 15, 16,  
17, 21, 22, 25; 169:3, 3, 4,  
8, 16; 170:1, 6, 10, 11, 11,  
12, 25; 171:4, 8, 8, 9, 17,  
25; 172:2, 6, 9, 10, 12, 15,  
19, 24; 173:4, 13, 15, 21,  
24; 174:4  
**dramatic** 85:10  
**drawing** 110:20  
**Drs** 6:21; 98:19; 148:1  
**Drug** 6:3; 10:19; 21:24;  
22:4, 24; 27:5; 38:2; 50:14;  
76:8; 83:13; 93:24; 94:11,  
15, 18, 23; 98:4, 10; 103:5,  
21, 23, 25; 105:3, 10, 11;  
106:7, 15; 108:2, 9;  
112:17, 23; 113:19; 119:2,  
5, 19; 123:17; 130:6, 7, 16;  
136:1, 7; 137:7; 138:8, 20;  
140:5; 142:4, 6; 154:19,  
25; 156:16, 20; 158:20, 23;  
162:6; 169:21, 23; 171:23;  
172:22  
**drug-related** 45:17; 57:9  
**drugs** 123:12, 24; 136:2;  
140:24; 144:2, 4, 13;  
153:25; 164:3; 170:13;  
172:4  
**due** 19:23; 83:9  
**Dunson** 120:3  
**durability** 171:24  
**durable** 75:14; 104:11;  
107:23; 145:1; 148:15, 16,  
21; 172:21  
**duration** 15:9; 16:3, 11,  
15; 17:25; 18:20; 23:3;  
32:14, 16, 18; 34:7, 18, 22;  
35:12, 19; 38:16; 39:9;  
41:21; 42:11; 43:5; 44:9,  
19; 51:7, 15, 21, 24; 53:4;  
56:8, 12, 20; 74:8; 75:1,  
21; 76:10; 86:7, 25; 87:5;  
88:11, 15, 20; 89:9; 104:9,  
13, 15; 106:3; 110:16;  
111:22, 23; 113:6; 145:4;  
148:18; 155:14; 158:2, 16;  
171:2, 2, 5  
**durations** 19:22; 29:2;  
35:1, 5, 10; 52:16; 112:4  
**during** 7:13; 8:7; 10:22;  
20:6; 23:3; 31:7, 11; 32:24;  
36:21; 44:19; 48:3, 11;  
49:11; 51:1, 2, 13; 53:17;  
87:14, 19; 95:25; 116:12;  
118:9; 137:20  
**dysfunction** 50:1

**E**

**earlier** 12:20; 46:24; 56:7;  
60:22; 61:8; 125:11; 156:5  
**early** 7:19; 53:4; 97:14;  
125:6; 132:19; 139:24;  
167:2  
**ease** 55:7  
**easily** 100:6  
**educate** 86:11

**effect** 126:22  
**effective** 8:9; 12:9; 20:3; 25:19; 44:3; 53:23; 56:12; 59:8; 85:24; 86:1, 2, 4; 130:22; 160:2; 162:25; 166:18  
**effectively** 26:21  
**effectiveness** 37:17; 56:17  
**effects** 21:7; 22:2, 8; 23:1; 53:10; 19; 55:8; 59:6; 66:16; 90:2; 108:2; 130:20; 140:17; 166:19  
**efficacious** 105:3  
**efficacy** 11:24; 22:17; 23:5; 25:10; 26:1; 31:22; 33:20; 34:1; 35:4; 37:14; 24; 39:20; 43:20; 25; 55:3; 14; 58:11; 104:4, 5, 25; 105:22; 106:24; 108:18; 109:3, 7; 111:12; 112:17; 21, 22, 25; 113:4; 118:12; 16; 119:7; 122:18; 22, 25; 131:12; 140:6, 8, 14, 17; 145:22; 147:17, 21; 149:25; 150:20; 152:12; 154:7, 8, 9; 157:4, 22; 164:16, 21; 165:12; 167:10; 168:19, 20; 173:9, 17  
**efflux** 97:24; 169:21, 23  
**eight** 28:18; 57:6; 151:24  
**Eighteen** 47:15  
**either** 50:19; 57:24; 61:11; 62:13; 68:16; 69:3; 72:7; 74:5, 17; 77:5; 84:3; 98:15, 22; 102:16; 132:1, 14; 143:18; 150:12; 154:19; 171:2  
**either-but** 159:6  
**elaborate** 135:1  
**elapsed** 91:16  
**elderly** 118:24; 121:20; 142:9; 143:7, 16; 152:20; 160:1, 23; 161:19  
**electrical** 102:2  
**elevated** 49:16; 118:1  
**elevations** 49:19, 20, 23, 24; 57:12; 92:2; 115:25; 116:2, 3, 5  
**Eleven** 174:4, 5  
**Eli** 18:18; 42:18  
**eligibility** 29:5; 63:20, 25; 65:5; 71:19; 106:20  
**eligible** 17:22; 31:17; 63:24; 64:25; 75:6; 87:7; 94:2; 98:7; 110:6  
**elimination** 21:14  
**Ellin** 4:23; 83:21; 136:10; 159:10  
**embolus** 116:15  
**emerged** 15:21; 39:5; 54:22  
**emergent** 44:25  
**emphasize** 20:3  
**enabling** 55:22

**end** 24:14; 27:19; 31:4; 17; 46:23; 103:2; 132:21; 154:7; 156:9, 15; 162:24; 172:17  
**endpoint** 72:10, 12, 13, 14, 16, 18; 104:8; 107:11; 144:25  
**endpoints** 10:23; 72:9; 106:24; 145:8  
**enjoyed** 112:4  
**enormous** 100:2  
**enough** 64:25; 78:1; 124:21; 132:22; 161:5; 169:19  
**enrolled** 23:9, 11, 12, 14, 22; 25:12; 28:17, 18, 19, 22; 29:1, 14; 32:6, 21; 38:14; 61:4, 6; 70:12; 119:12  
**enrolling** 70:8, 14  
**enrollment** 29:13  
**entail** 125:11  
**enter** 121:21  
**entered** 17:22; 29:3; 32:19; 62:3; 71:12; 136:5  
**enthusiastic** 155:6  
**entire** 48:10; 71:14; 86:23  
**entirely** 94:10; 139:1  
**entry** 29:18; 65:8; 76:23; 106:4  
**episode** 48:6, 7; 113:20  
**epistaxis** 48:6  
**equal** 33:11, 12, 13, 14; 112:23; 131:12; 166:20  
**equally** 105:3; 133:8, 8  
**equipped** 113:19  
**equivalence** 105:1; 110:1; 112:24; 140:15  
**equivalency** 139:25  
**equivalent** 86:4; 90:1; 96:6, 12; 113:2; 115:2; 118:13; 123:8; 130:9, 11; 131:20; 132:5, 15; 134:25; 135:18; 163:9, 13; 171:12  
**error** 158:5  
**erythroid** 20:23  
**especially** 84:11; 104:15; 118:20; 154:4  
**essentially** 20:15; 35:9; 95:9  
**establish** 140:8, 14, 14  
**established** 17:24; 112:18; 113:4; 140:5  
**Estey** 18:18; 42:18; 52:10; 73:12  
**estimates** 88:2; 145:14  
**et** 170:8  
**ethical** 94:5; 155:15  
**Europe** 11:8; 28:16; 29:22; 70:2  
**European** 28:18, 20  
**evaluatable** 31:3  
**evaluate** 28:12; 85:13; 153:6

**evaluated** 24:4; 29:20; 31:8; 88:25  
**evaluating** 29:23; 30:1; 39:8; 154:19  
**Evaluation** 6:3; 28:23; 31:22; 33:2; 34:1; 35:16; 39:5  
**evaluations** 50:6  
**even** 5:24; 14:6; 15:14; 22:5; 42:10; 89:7; 90:15; 93:14, 19; 95:24; 110:10; 111:10; 121:21, 25; 125:12, 14; 126:11; 134:22, 25; 135:6, 7; 139:13; 140:13; 143:12; 147:8; 156:7, 8, 10; 160:5; 171:17  
**event** 6:24; 24:21; 44:13; 53:8  
**events** 24:10, 11, 16; 30:15; 44:18, 22, 23, 25; 45:2, 5, 7, 8, 9, 10, 12, 13, 23, 24; 46:4; 57:16; 97:16, 17, 17; 99:6; 109:22; 114:6, 20; 115:18; 151:6  
**eventually** 94:4; 116:18  
**everybody** 85:21; 122:7; 123:24  
**evidence** 50:1, 3, 20; 63:2; 64:13; 67:6; 91:1; 104:12; 122:25; 145:2, 21; 146:6; 147:4, 6, 9; 148:15, 19, 20; 149:15; 151:12, 20; 152:11; 154:18; 157:3, 21; 164:15, 20; 165:11; 168:19; 171:1; 172:4, 12, 23; 173:8, 16  
**exact** 127:21  
**exactly** 33:17; 129:13  
**exam** 31:3, 16  
**examination** 30:22  
**example** 17:21; 18:6, 13; 93:23; 99:13; 143:21  
**excellent** 21:22; 122:17; 135:23  
**except** 33:18; 88:10; 107:10; 152:25  
**exception** 29:11; 35:8; 173:3  
**exceptions** 6:6  
**excessive** 98:23  
**exclude** 7:2; 60:12  
**excluded** 29:10  
**exclusion** 7:3; 60:3  
**exclusively** 166:21  
**Executive** 5:2  
**exhausted** 144:9  
**exhibited** 116:3  
**exhibiting** 41:24  
**exist** 65:21  
**existence** 170:20  
**existing** 103:22; 104:1; 131:16, 22, 24; 170:6, 7  
**expand** 82:25  
**expanded** 51:17

**expect** 15:12; 59:21, 23; 75:10, 23; 79:14; 106:14; 168:4  
**expected** 10:1; 15:15; 16:5; 21:11; 24:23; 30:25; 35:13; 51:20; 57:11; 106:5; 109:1; 112:5; 114:15; 116:24; 136:14  
**experience** 70:21; 71:14, 20, 22; 75:15; 77:25; 83:16, 20; 99:14, 25  
**experienced** 25:6; 83:25; 115:25; 116:2  
**experimental** 120:16  
**experiments** 21:5  
**expert** 31:23  
**experts** 17:12; 132:2; 169:16  
**expired** 82:18, 19  
**explain** 86:14; 87:4, 15; 138:24; 159:15, 22  
**explained** 17:13; 36:11; 39:8; 71:24; 108:4  
**explicit** 167:16  
**exploratory** 77:4; 98:14; 102:5, 7; 112:15  
**exposed** 18:6, 8  
**exposure** 64:10  
**express** 9:19; 20:21, 22, 25; 46:8  
**expressed** 9:4, 6, 23; 20:11, 24; 24:22  
**expresses** 20:16  
**expressing** 9:25  
**expression** 23:21; 29:18, 20, 24; 30:1; 47:2; 65:3; 76:25; 77:1, 5  
**extensive** 17:6; 38:5; 66:21  
**extensively** 66:23; 101:16  
**extent** 88:13; 128:20  
**extraordinarily** 90:14  
**extreme** 60:9  
**extremely** 90:23; 124:17  
**extremes** 15:25; 17:10  
**eye** 154:11

**F**

**FAB** 67:23; 68:1, 3, 8, 10, 12, 13; 102:15  
**faced** 93:21  
**faces** 4:5  
**facilitate** 29:13  
**facilities** 29:21  
**fact** 64:25; 69:7; 71:17; 93:14; 94:20; 130:17; 134:2; 135:6; 142:7; 149:4; 159:17; 162:15, 21; 166:18; 172:1  
**factor** 120:24; 121:2, 5  
**factors** 34:15, 16; 56:10, 20; 60:10; 61:11; 71:2, 23;

102:8; 106:22; 120:15, 17; 125:15; 135:12  
**failed** 107:16, 17  
**failing** 143:4  
**failure** 83:5, 9; 108:4; 116:18  
**fair** 156:25  
**fairly** 71:18, 18; 108:23; 144:21  
**fairness** 7:6  
**fall** 19:15  
**falls** 135:25; 172:17  
**familiar** 144:3  
**far** 22:8; 69:1; 95:6; 132:20  
**fatal** 54:10; 83:1; 116:9; 117:5  
**fatality** 84:19  
**favorable** 15:8, 12; 24:20; 33:3; 53:8; 55:3; 57:1; 61:9; 62:21; 99:18, 22; 100:22; 168:2  
**favorably** 49:8  
**FDA** 5:3, 11, 13, 15; 7:1; 10:13, 16, 21; 17:8; 37:23; 43:17; 101:22; 102:20, 21, 23; 120:11; 152:17; 155:25; 170:1  
**FDA's** 6:12  
**feasible** 113:19; 117:15  
**features** 112:13  
**febrile** 162:14  
**feel** 98:1; 114:7; 129:1; 130:5, 11; 141:5; 156:9; 160:3; 161:5, 23; 168:17  
**feel-and** 167:21  
**feeling** 124:20; 163:12  
**feels** 166:8  
**fell** 61:9; 75:15; 89:9  
**felt** 60:12; 89:5; 126:25; 156:4, 8; 160:14  
**females** 32:13  
**fever** 24:13; 45:8; 46:1; 57:19; 66:16, 16  
**few** 8:14; 18:22; 62:9, 20; 75:16; 109:22; 110:11; 114:2; 120:7, 20; 124:12; 129:16; 131:4; 166:23; 170:18  
**fewer** 16:4; 22:8; 50:25; 51:20; 57:4; 126:24; 130:20  
**Fifteen** 84:10  
**figure** 47:9; 63:16; 79:1  
**final** 100:25; 127:12  
**Finally** 18:12; 20:1; 25:22; 121:16; 124:2  
**financial** 6:1, 16; 7:1  
**find** 14:9; 67:8; 71:25; 72:1, 3; 99:7; 101:18; 112:6; 120:16; 126:24  
**findings** 30:2  
**fine** 94:18; 99:25  
**finish** 119:17

finished 119:22  
firm 7:7  
ms 6:2, 25  
st 8:19; 15:9; 17:25;  
18:20, 22; 19:3; 22:22;  
24:9; 26:3, 5, 11, 21; 27:7,  
18; 28:10, 11, 23; 29:2;  
30:3; 32:15, 16, 18, 24;  
34:7, 18, 20, 22; 35:1, 5,  
11, 12, 20; 37:20; 38:1, 4,  
15, 16; 39:6, 9; 40:3; 41:9,  
21; 42:11, 21; 43:5; 44:11,  
16; 46:18, 19; 47:18, 23,  
25; 48:20; 49:10; 51:14;  
52:6, 9, 12, 16; 53:20;  
54:25; 56:8, 13, 20; 63:19;  
64:10; 68:7; 69:15, 24;  
72:22; 73:2, 21; 75:21;  
76:6; 84:12; 85:18; 88:5;  
89:1, 10; 94:13; 99:12, 19;  
110:16; 111:7, 8, 22, 23;  
112:4; 121:8; 129:7;  
131:9; 136:4; 140:9;  
143:4; 145:20; 153:25;  
158:2, 16; 164:7; 166:3;  
170:18  
first-line 143:3  
fit 127:1  
fits 155:18  
fitting 99:11  
five 16:4; 25:5; 28:19;  
54:18; 112:1; 116:18;  
14:15; 146:21, 21  
ATAU 4:11, 11; 78:3, 4,  
12, 17, 24; 138:2, 3, 13,  
18; 139:6, 9, 15; 141:18,  
19; 145:25; 146:1; 147:7;  
153:16, 17  
flexible 94:24  
flow 23:23; 28:24; 65:13,  
14, 15, 15, 17  
fluid 46:2  
fluorescence 30:3  
focus 44:14; 144:20;  
160:22  
focusing 71:19; 169:4  
follicular 141:13  
follow 31:11; 69:20; 80:4;  
86:8; 125:9; 142:17  
follow-on 128:19  
follow-up 31:5, 7; 68:17;  
78:4; 97:11; 99:10  
followed 31:10; 35:24;  
97:19  
following 5:21; 6:5;  
11:17; 15:5; 21:13; 25:11;  
27:7; 28:10; 44:1; 46:5;  
56:22; 58:13; 63:7; 74:12;  
82:7, 7; 87:8; 97:2; 98:25;  
99:2, 8; 104:21; 116:17,  
19, 20; 117:3; 136:17;  
17:25; 141:14; 158:1;  
164:14; 173:7  
follows 69:6  
form 16:8, 25; 18:5; 66:5,  
11; 106:13; 173:2  
formal 149:16

format 10:24  
forward 66:8; 92:17;  
93:3; 94:11  
found 21:10; 56:16, 21;  
57:23; 66:14; 73:13, 17;  
103:6, 14; 106:10  
four 14:12; 40:14; 70:14;  
82:5; 116:11; 146:12;  
149:21; 157:9, 16; 173:2  
frank 14:22  
frankly 100:17; 146:13;  
147:2  
fraught 123:2  
Fred 11:18; 14:20; 99:11;  
100:18  
Frederick 11:18  
free 27:15; 28:1, 4; 90:12,  
20, 22; 109:11; 122:6;  
149:5  
Freedom 6:12  
frequency 111:16  
frequently 24:14; 76:13;  
169:16  
fulfill 59:9; 63:25  
full 6:7; 25:13; 64:4, 7  
fully 6:23; 64:19; 95:19,  
22  
function 49:17; 57:12;  
101:17; 115:19; 118:2;  
121:15  
fundamentally 134:22  
Further 6:14; 36:22; 74:4,  
14, 17, 21, 25; 75:4, 13;  
79:8; 80:7, 13, 24; 86:20,  
25; 87:12, 13, 18, 22, 23,  
25; 92:20; 106:12; 113:5;  
129:21; 132:7; 134:18;  
142:19, 21, 21, 24; 143:1;  
144:20; 145:24; 154:12;  
158:22; 159:7; 172:7  
Furthermore 57:9; 89:7  
future 93:4; 140:7;  
153:19; 156:2

**G**

G6PD 21:8  
gain 99:25  
gave 141:2; 166:17  
geared 143:18  
gemtuzumab 6:23; 7:20;  
8:4, 8, 17, 19, 21; 9:2, 9,  
25; 10:6, 11, 13, 18, 22;  
12:1, 4, 8, 15, 19, 22;  
21:20; 22:1, 22; 23:5, 10,  
20, 25; 24:15, 18; 25:5, 11,  
19, 24; 27:4, 6, 11, 18, 19,  
24; 28:6, 10, 13; 29:16, 25;  
30:10, 11, 18; 31:2; 34:10;  
35:3, 20; 37:17, 21; 39:22,  
25; 40:6, 16, 21; 41:18;  
42:2, 9, 13, 20; 43:2, 6;  
44:1, 3, 9, 14; 45:3, 4, 16,  
17, 22, 25; 46:7, 18, 22;  
47:18, 23, 24; 48:9; 49:15;  
50:5, 8, 12, 15, 17, 19, 21;

52:2, 4, 6, 18, 21, 24; 53:7,  
13, 20; 54:1, 25; 55:2, 5, 9,  
11, 15, 20; 56:11, 17, 23;  
57:1, 4, 15, 23; 58:1, 16,  
20, 24; 59:2, 5, 8, 9; 60:16;  
63:2, 5, 7, 10, 13, 19; 64:2,  
5, 10; 74:4, 9, 13; 76:3;  
77:23; 78:7; 79:1; 81:11;  
84:3; 86:20; 87:9; 89:3;  
90:6, 10; 102:23; 103:4,  
13; 107:22; 111:9, 19;  
112:2, 7, 14; 114:24;  
115:5, 20; 116:20; 117:4,  
12, 25; 147:14; 151:7;  
152:13; 158:6  
gemtuzumab's 8:15;  
58:5  
gemtuzumab-treated  
47:1  
general 25:3; 79:6;  
127:25; 135:5, 14; 166:4;  
170:12  
generalizable 98:1  
generally 15:22; 16:2;  
19:19; 39:1; 46:4; 51:20;  
59:6, 7; 67:1; 112:8;  
113:18; 130:16; 163:15;  
170:14  
generic 153:24  
George 6:10  
GI 48:10; 113:14; 115:16;  
162:12  
given 12:14; 30:11, 21,  
24; 74:12; 96:1, 11; 97:8;  
99:12, 14; 100:6; 106:7;  
110:10; 117:4; 133:16;  
134:3; 155:11; 159:19;  
171:23  
gives 76:5; 168:9  
giving 94:13; 102:23;  
162:7; 168:4  
global 69:25  
goal 21:25  
goals 13:17; 15:2, 14, 16  
goes 77:19  
Good 4:3; 7:24; 22:18;  
54:5; 93:8; 94:15, 17; 95:5;  
99:19; 102:22; 124:21;  
136:12, 14; 137:15;  
142:13; 159:10; 167:23  
good—the 95:4  
Government 6:20  
Grade 44:24; 45:11, 12;  
49:4, 18, 19, 20; 59:7;  
115:1; 162:11  
Grades 45:1, 13  
granted 6:8; 10:19  
granulocytes 93:25;  
95:6  
graph 46:13; 97:23;  
109:18; 172:16  
grappling 91:5  
great 17:12; 128:20;  
158:15; 161:21; 169:6  
greater 11:10; 33:12, 13,  
14; 40:10; 45:1, 11; 47:16;  
56:3; 79:22; 89:25; 92:2, 3;

111:16; 125:14; 128:6, 23;  
166:20; 167:2  
green 112:6  
grew 21:11  
grips 153:4  
groove 9:11  
ground 100:14  
group 20:4; 23:25; 26:7;  
36:15; 37:22; 43:18, 23;  
62:12, 24; 66:21, 23; 71:5;  
74:7, 8, 18, 19, 25; 78:6,  
19, 22; 79:20; 80:9; 82:15;  
83:4, 17; 85:5, 6, 8; 86:23;  
106:4; 107:8, 25; 108:23;  
111:14; 112:9, 25; 114:5,  
5, 11, 12, 17, 17; 125:15,  
21; 126:23; 127:22;  
138:16; 142:9, 10; 145:15;  
148:8; 154:21; 155:1, 10;  
158:17, 23; 160:5, 17, 23;  
163:22; 165:16, 25; 166:8;  
167:23; 168:22; 169:22,  
25  
grouped 107:17  
grouping 169:20  
groups 25:15; 26:4; 37:9;  
42:19; 43:8, 19; 53:2; 58:1;  
68:20, 25; 75:18; 79:21;  
80:14, 16, 17; 82:10;  
96:20; 105:6; 109:17, 18;  
110:1; 111:13; 112:12;  
119:1; 123:4; 130:8;  
132:25; 133:7, 7, 24;  
160:7; 167:7; 169:10  
growing 169:22  
growth 106:21; 120:23;  
121:2  
guess 70:22; 78:4, 8;  
79:25; 88:17; 89:19;  
92:11, 22; 121:11; 124:9;  
129:9; 130:10; 136:13;  
139:19; 146:24; 154:15;  
159:17; 160:21; 163:5;  
168:8  
guide 103:17  
guys 127:24; 142:5;  
150:12

**H**

H 144:24  
had—this 74:25  
half 56:2; 92:2; 123:9  
half-life 27:9, 10; 106:10  
hallmarks 101:7  
hampered 119:11  
hand 100:19; 146:7, 19;  
150:15; 173:19  
handful 15:10  
handle 164:1, 5  
handout 103:1  
handouts 120:8  
hands 66:25; 146:8, 11,  
20; 150:5, 8, 13, 16, 24;  
151:2, 23; 152:1, 4; 157:8,  
11, 14; 173:20, 23; 174:1,

3  
happen 143:22  
happened 86:14, 15  
happens 153:18, 19  
happy 103:1; 120:9  
hard 70:20; 84:22;  
124:17; 138:19  
Hartford 4:16  
have—of 16:21  
hazard 123:2  
hazards 110:20; 114:19  
Head 54:6  
head-to-head 124:12  
healthy 130:6; 137:7  
hear 43:18; 78:10; 156:19  
heard 54:13; 56:7; 91:15;  
123:3; 127:13; 142:5, 20;  
147:24; 148:4; 149:22;  
158:18; 159:6; 161:18;  
166:14; 169:10; 173:13  
Hearing 7:11, 13; 122:23;  
167:19  
hears 163:16  
heavily 112:25; 141:12  
hedged 124:7  
held 155:25; 156:1  
help 48:13; 101:5; 132:2;  
144:20; 163:20, 21;  
168:23  
helpful 62:25; 111:4;  
130:14, 23  
helps 171:14  
hemapoietic 9:24  
hematologic 33:3;  
44:25; 45:7; 60:4; 81:18;  
104:11; 107:16; 126:9;  
145:1  
hematologist 162:8  
hematology 107:3  
hematopoiesis 21:15;  
64:4  
hematopoietic 13:20;  
20:15; 21:16, 17; 24:22;  
29:11; 36:13, 25; 46:8;  
47:3; 75:8; 79:19; 145:6  
hematuria 48:7  
hemoglobin 33:12  
hepatic 16:25; 49:16, 19,  
24; 57:13; 83:5, 9; 91:1;  
101:17; 106:4; 113:15;  
116:8  
hepatocyte 91:4  
hepatotoxic 115:24  
hepatotoxicity 105:10;  
116:5; 118:3; 137:21, 22  
hepatotoxin 121:12  
heterogeneity 17:12;  
60:9  
heterogenous 114:6  
HIDAC 70:23  
high 16:13; 22:8; 32:22;  
54:14; 84:10, 15; 112:7;  
129:25; 137:25; 150:13,  
15; 164:9

high-dose 16:14, 20;  
18:7, 10; 32:25; 42:22;  
52:13; 71:13, 15; 72:6;  
99:13; 100:10; 136:17, 18;  
152:22; 154:8; 155:7;  
159:9; 160:15; 165:18;  
166:13; 173:2  
higher 49:23; 52:19;  
56:5; 84:19, 21; 89:6, 24;  
125:19; 127:6; 163:22;  
164:11  
highest 24:4; 70:14;  
147:25; 148:24; 149:7  
highlighted 10:14;  
106:1; 108:19; 111:25;  
112:6; 114:25  
highly 10:12; 70:8  
hinge 128:20; 131:8  
hinges 131:19  
histopathologically  
69:2  
historic 122:10  
historical 110:21;  
114:20; 119:13; 122:24;  
124:16; 125:12, 17, 20;  
134:24; 135:5, 6; 140:3;  
144:6; 154:23; 156:12  
history 8:16; 10:11;  
118:9  
HLA 14:7; 94:1  
hone 90:3; 98:6  
honest 127:20  
hope 21:21; 94:12;  
121:12  
Hospital 5:5; 10:8; 51:1,  
21; 53:15; 95:5; 162:13  
hospitalization 12:18;  
23:3; 30:13; 44:19; 51:5, 7,  
7, 10, 15, 18, 24; 53:4;  
55:12; 57:5, 7; 59:4;  
117:19, 21  
hospitalized 51:2, 12  
hours 24:15; 26:17, 21;  
27:9, 10; 28:6; 77:17, 19;  
89:10; 114:2  
Houston 4:20  
hP67.6 8:22; 27:3, 8, 17,  
18, 23  
HSCT 29:12, 14  
humanized 8:21; 29:24;  
66:3, 22; 103:8; 113:23  
Hutch 84:1  
Hutchinson 11:18; 14:20  
hydra 143:10  
hydrolysis 9:10  
Hydroxyurea 29:6  
hypertension 165:23  
hypotension 24:14; 46:2  
hypotensive 113:20  
hypoxic 113:20

**I**

I-131 66:11

I.V 46:2; 55:7  
idea 140:2  
ideally 9:15  
identical 14:7; 41:17  
identified 33:7; 100:18;  
107:22  
identify 14:13  
identity 32:2  
II 11:5, 6, 11, 15, 25; 23:4,  
12, 19; 24:5; 25:8, 11, 23;  
26:23; 28:8, 9, 16, 22;  
29:19; 30:9; 32:7; 35:18;  
39:22; 40:6, 11, 15, 18;  
41:18; 42:13; 43:2, 14;  
44:2, 9, 15, 23; 45:23;  
47:24; 48:15; 50:3, 5, 18,  
25; 52:4; 85:17; 88:19, 23;  
89:15; 105:16, 17, 21;  
119:21; 136:8; 141:1;  
149:14  
III 172:6  
ill 12:23; 16:21; 45:6, 12  
illnesses 163:23  
illustrate 109:24  
illustrative 63:15  
imagine 124:11  
immature 9:7  
immediately 79:10  
immune 50:4, 6, 11, 18,  
21  
immunotoxin 103:4  
impact 120:17; 132:14  
impacted 137:18  
imperfect 122:9, 14  
implication 91:7  
implying 68:21  
importance 17:17; 18:19  
important 9:18; 12:23;  
13:20, 24; 17:24; 22:6;  
28:2; 55:20; 56:19; 59:10;  
60:12; 71:20; 76:7; 88:18,  
20; 90:3; 92:21; 94:24;  
112:14; 121:14; 154:3;  
162:16; 169:14  
importantly 42:5; 44:3;  
58:8; 89:9; 105:10  
impossible 114:9  
impressed 142:7; 147:23  
improve 13:23; 14:16  
improved 10:1; 12:16;  
36:14; 58:19; 104:5;  
118:18; 131:13, 17;  
151:12, 15, 20; 152:11;  
157:3, 22; 161:18, 19;  
164:15, 21; 165:11;  
168:19; 173:8, 16  
improvement 104:4  
incapable 67:14  
incidence 44:25; 49:2,  
12, 16; 56:1; 57:20; 77:15;  
83:23; 84:17; 115:12, 19;  
117:6; 137:24; 164:11  
incidents 115:13; 120:23  
include 10:22; 11:6;  
29:12; 38:1; 51:18; 59:18;

73:8; 93:14; 105:15;  
113:13; 127:9, 20; 131:22;  
134:4  
included 11:3, 14; 17:7;  
24:13; 38:23, 25; 41:2;  
43:13, 22; 48:16; 50:6;  
51:14; 52:5; 57:2; 73:5;  
93:5; 125:20; 126:2, 16;  
138:17  
includes 11:13  
including 25:16; 51:5;  
64:1; 97:1; 101:17; 102:8;  
107:2; 145:6  
inclusion 60:2; 112:25;  
118:17  
incomplete 33:8; 73:6, 6;  
107:23; 130:4  
inconsistency 85:15  
increase 92:3  
increased 107:2; 114:4,  
10; 115:3, 19; 116:25;  
117:7; 118:1, 19  
increases 56:1  
increasingly 17:18  
IND 117:4; 118:8; 119:22  
indeed 24:24  
independence 58:3;  
91:21; 107:13  
independent 31:20, 23;  
33:16; 47:12; 91:10, 12,  
13, 16, 19, 24; 92:13, 16,  
19; 95:6, 22; 106:21;  
107:4, 5; 128:15  
indicate 43:5; 52:17; 53:1  
indicated 43:11, 19;  
133:2; 166:12  
indicates 26:3  
indicating 27:24; 49:11;  
56:11; 145:10; 165:3  
indication 103:11, 19;  
124:11; 159:1, 13  
indications 119:19  
indirectly 79:18  
individual 61:23; 137:8;  
143:7  
individuals 161:20;  
169:10  
induce 22:6  
induced 55:6; 84:3;  
143:24  
inducing 67:14  
induction 18:3, 11;  
94:16; 106:17, 18; 117:16;  
139:8  
infected 162:14  
infection 10:7; 12:17;  
16:23; 48:14; 53:3, 12;  
100:8; 117:17; 136:20;  
164:9  
infections 49:3, 4, 5, 8,  
13; 53:15; 113:14; 115:12;  
121:3  
infectious 48:12; 57:3  
influenced 42:4; 108:23;  
137:1

information 6:13; 22:21;  
27:2; 31:9; 38:15; 40:4, 10;  
44:17; 59:25; 61:1, 24;  
62:17; 66:1, 4; 75:25;  
78:21; 81:22; 82:12;  
88:19; 90:22; 102:5;  
109:24; 128:25; 130:5;  
132:22; 146:4; 158:14;  
168:1  
informed 7:12  
information 12:12; 24:1, 10,  
15; 27:20; 30:11; 45:25;  
55:7, 8; 77:16, 23, 24;  
106:8, 16; 113:19; 120:15  
infusion-related 24:16;  
30:15; 45:23, 24; 113:13,  
17  
infusional 22:2; 57:18;  
66:15  
infusions 30:14; 78:1, 2  
inherent 110:20; 114:19;  
164:12  
initial 13:8; 18:2, 7; 19:18;  
29:9; 51:19; 63:25; 94:15  
initially 63:10; 72:6  
initiate 14:10, 14; 104:21  
initiated 108:6  
injunction 170:12  
injury 91:4  
innovative 106:13  
inpatient 30:13; 39:1  
input 60:7  
inquired 101:24  
insight 37:24  
instance 29:1; 34:16;  
39:12; 67:7; 75:6; 123:8  
instead 17:23; 77:23  
Institute 4:22  
institution 52:13; 64:24;  
71:15  
institutional 38:6; 39:21;  
42:14; 44:8; 56:16; 71:25;  
72:3  
institutions 83:23  
insufficient 109:25;  
147:4  
insurance 14:9  
intact 27:24  
intended 112:16  
intending 148:11  
intense 159:9  
intensive 94:14; 99:21;  
100:10, 21; 143:6  
interactions 10:14  
interactive 10:12  
Interest 5:18, 20, 22; 6:2,  
5, 16; 7:1, 6; 94:6; 148:7  
interested 137:16, 19  
interesting 94:11;  
112:12; 141:15  
interests 6:1, 20, 20  
interference 102:3  
interim 84:13; 119:16  
intermediate 61:11;

62:22  
internalized 9:10; 20:19;  
21:2  
interpret 49:10; 62:14;  
70:20; 105:7; 171:15  
interpretation 97:15;  
110:4  
interval 95:25  
intervals 158:8, 9, 11, 13  
into 19:15; 29:18; 37:24;  
43:4; 55:6, 22; 61:4, 9;  
65:8; 69:12, 22; 71:12;  
74:7; 76:24; 77:9; 80:9, 14;  
101:11; 131:5, 21; 138:25;  
139:4, 10; 147:11; 148:3;  
149:1, 12, 19; 152:8;  
165:25; 166:13, 22  
intracellular 121:9  
intracellularly 64:20  
intravenous 12:11; 24:1;  
30:11, 14; 45:21; 106:8  
intriguing 141:1, 6  
introduce 22:13  
introducing 4:4  
Introduction 4:8; 7:23;  
144:23  
introductory 43:11  
investigator 61:23  
investigators 60:8; 84:1;  
120:21  
invite 128:2  
involve 6:24  
involved 47:3; 70:11;  
103:18; 110:10  
involvement 7:3, 7  
involvements 6:15  
irregardless 81:25  
Irwin 21:6  
issue 5:22; 14:5; 68:18;  
93:21; 94:10; 101:11;  
131:19; 134:9; 139:18;  
147:23; 155:15; 163:12  
issues 14:9; 68:24;  
101:24; 103:18; 104:24;  
113:12, 12; 118:12; 131:3,  
8; 132:3; 144:3, 4; 156:12;  
173:5  
IV 119:17

**J**

January 105:22  
jaundiced 116:17  
job 154:18  
Jody 5:7  
John 31:24  
Jude's 5:5  
judged 96:24; 147:18, 21;  
150:1  
judgment 165:22; 170:5,  
20; 171:4  
Julie 5:11; 120:4  
JUSTICE 5:12, 12

**K**

plan 86:18  
Kaplan-Meier 76:4;  
80:12, 20, 21; 88:2; 96:19;  
145:14  
Karen 5:2  
Kathy 5:9; 6:14; 144:3  
kd 20:10  
keep 110:24; 132:9  
Keeping 110:20; 114:19;  
132:21  
KELSEN 4:17, 17; 6:8;  
158:24, 25; 159:5  
kepcytobine 140:22;  
141:9  
key 10:14  
kills 68:24  
kind 67:22; 127:25;  
148:14; 153:23; 155:20,  
21; 156:10; 159:8; 170:2;  
172:25  
kindly 41:4; 42:17  
kinds 93:10; 124:8;  
133:14; 154:22  
knew 129:13; 156:21  
knowing 61:20; 135:10;  
137:19; 140:16  
knowledge 67:19; 121:8  
known 8:18, 20; 18:1;  
8; 116:9  
knows 122:7

**L**

lab 65:1  
label 141:9; 163:12, 25;  
170:7  
labeled 30:4, 6; 66:6;  
123:22, 25; 124:2; 141:11  
labeling 123:15; 141:14;  
142:16; 159:4  
Laboratories 7:21; 65:6  
laboratory 21:6; 28:24;  
31:25; 50:6; 65:4, 7, 15, 18  
lack 24:19; 49:11; 70:19;  
110:5  
lacking 45:15  
landmark 102:10, 12  
language 163:19  
large 39:21; 40:25; 41:6;  
43:7; 44:7; 56:16; 67:25;  
72:1  
largely 18:7; 22:1; 75:5;  
108:22  
larger 16:2; 19:4; 41:3;  
134:3, 9, 19; 162:21  
largest 42:14; 71:25; 72:3  
LARSON 100:16, 24;  
148:2, 5  
Larson's 99:15  
last 25:5; 31:1; 42:23;  
64:13; 69:14; 71:9; 72:8;

78:10; 91:17; 95:11;  
129:22; 138:24; 172:24  
lasted 63:23; 64:5  
lasting 24:15  
Lastly 55:10; 89:10  
late 46:21  
later 29:24; 46:15; 50:16;  
107:8; 109:21; 116:14;  
137:17  
Laughter 129:8; 147:10;  
153:10; 162:2  
lead 67:1  
leading 46:2  
leads 23:2; 66:25  
leap 159:10  
learn 85:22  
least 13:12; 14:1; 17:13;  
19:23; 26:10; 35:24;  
38:14; 47:13; 48:23; 83:6;  
91:9, 16; 111:18; 115:2;  
122:13; 128:11, 12, 13;  
131:18; 134:2; 138:11;  
140:8; 167:15, 20  
leave 70:18; 165:21;  
167:6; 169:5, 8  
led 21:19; 49:2; 53:15;  
88:10  
length 25:4; 78:21  
less 10:6, 7, 7; 17:4; 18:1,  
24; 19:3, 9; 20:3; 24:13;  
25:4; 29:7; 31:15; 32:16;  
33:11, 18; 35:5, 12; 43:1;  
46:4; 51:2; 54:11, 17; 57:6;  
72:23; 73:5, 10, 24; 75:9;  
77:16, 19; 79:23; 84:21;  
89:10; 90:2; 97:14;  
107:20; 109:17; 111:16;  
114:25; 118:25; 120:21;  
126:10, 12; 139:22, 23;  
142:8, 8; 145:17; 154:10;  
159:25; 160:2, 2; 162:6;  
163:8; 164:2; 166:19;  
167:2, 5  
lesser 9:7; 123:17  
Leukemia 4:23; 8:5; 9:4;  
12:10; 13:2, 6, 15; 17:12;  
22:3; 23:2; 29:17; 30:23;  
33:4; 64:3; 66:19; 67:2, 12;  
68:8; 91:13; 93:4; 102:24;  
103:12, 14, 15, 20, 25;  
105:12; 106:18; 107:1, 15;  
108:3, 14, 18; 110:8, 16;  
111:15; 114:22; 118:25;  
119:6; 132:2; 142:3;  
152:12; 155:5; 157:24;  
159:6; 163:21; 164:10;  
167:20; 169:16  
leukemic 9:5, 13, 16, 18;  
20:11, 16; 21:1; 58:2, 21;  
66:18; 121:18; 129:12;  
162:11; 169:21  
level 26:11; 30:7; 77:1, 2,  
5; 89:2; 93:12; 131:11  
levels 26:14, 16, 20; 28:4;  
31:19; 49:17; 89:6, 17  
licensure 58:24  
life 15:17

life-threatening 121:3  
likelihood 18:20; 77:6, 6  
likely 19:23; 111:16;  
118:24, 25; 144:25  
limit 28:5; 42:11; 57:14;  
153:1  
limitation 153:2  
limited 15:25; 31:9;  
39:17; 47:6; 65:12; 159:8;  
171:23  
line 26:20  
lineage 20:23  
lines 92:22  
linked 67:23; 121:9  
linker 8:25; 64:14, 18, 18;  
113:25  
Lippman 4:7, 9, 9; 6:9;  
69:5, 6, 17; 70:18; 71:17;  
72:8, 13, 16; 95:10, 18;  
96:2, 5, 16; 97:5; 125:8, 9,  
23; 126:3, 5, 15; 127:4, 12,  
22; 134:14, 15; 135:3;  
139:17, 18; 140:11;  
143:25; 144:1, 16; 148:22,  
23; 149:10, 18, 22; 156:3,  
4; 159:23, 24; 160:21;  
161:3; 163:5; 171:8, 9  
listed 45:8; 87:11  
listen 124:8  
listened 101:4; 173:13  
listening 159:11  
lists 44:24  
liter 27:9  
literature 17:6; 34:11;  
37:24; 38:1, 4, 7, 13, 20;  
39:7, 11, 13, 16, 20; 40:1,  
4, 9, 12, 14, 19; 44:7;  
46:19; 47:25; 48:20, 21;  
49:9, 24; 50:24; 51:11, 18;  
52:8; 56:15, 24; 71:5; 72:2,  
17, 20, 22; 73:3, 23; 75:22;  
76:9; 84:8; 85:20; 86:4;  
111:18, 21; 112:8; 115:9,  
14; 117:13, 19, 24; 122:25;  
133:4, 13, 19, 22; 136:9;  
147:15, 19; 148:12; 150:3;  
159:18; 160:4, 20, 24;  
167:12; 169:19, 22  
literature's 133:20  
little 7:19; 29:3; 84:10;  
89:23; 112:1; 124:14;  
130:1, 12; 131:21; 144:20;  
158:21; 167:15  
liver 49:17; 50:1; 57:12;  
90:16, 18, 21, 24; 101:9;  
115:19; 116:18; 118:2;  
121:15; 154:11  
living 54:18  
local 64:24; 65:3, 7, 13,  
15  
locally 65:17  
log 27:23  
log-rank 36:6; 80:25;  
96:20  
logic 85:15, 25; 101:5;  
167:9

logically 168:25  
long 14:21; 15:9; 37:10;  
64:16; 74:18; 78:8, 12, 15;  
84:12; 99:19, 20; 108:8;  
112:4; 144:21; 167:15  
long-lasting 15:4  
long-standing 148:6  
long-term 14:24; 36:14;  
74:9; 75:19; 78:17, 18, 21;  
79:3, 7, 9, 13; 110:7;  
138:18  
long-time 78:6  
longer 18:23; 46:13;  
95:24  
look 34:21; 70:14; 72:20;  
73:12; 76:11; 77:10;  
84:16; 87:16; 88:2, 3;  
89:17; 90:9; 109:12, 19;  
111:4, 14; 112:3; 121:17;  
122:10, 11; 129:10, 21, 24;  
131:21; 132:18, 23;  
133:22; 134:19; 135:17;  
136:21; 158:5; 161:21;  
165:17; 166:24, 25;  
167:17; 172:7  
looked 65:1; 68:2, 4, 9,  
13; 70:4, 7; 72:19; 73:11,  
16; 85:6; 99:5; 101:16;  
109:16; 111:1; 114:20;  
120:19; 124:3; 125:16;  
128:22; 135:23; 166:18,  
21  
looking 70:19; 73:20;  
88:8; 111:7, 22; 129:16;  
134:10; 135:19; 144:10;  
154:20; 158:14; 164:4;  
168:15  
looks 69:18; 79:20;  
97:13; 109:17, 20, 21;  
111:11, 17, 25; 115:4;  
139:20; 161:18; 172:13  
looser 106:4  
Los 4:14  
lot 84:12; 85:23; 97:14;  
98:3; 100:16; 123:16;  
128:20; 131:19; 143:22;  
154:5, 18; 158:18  
low 28:1; 48:9, 25; 49:2,  
5, 22; 53:11, 12, 14; 57:2,  
3; 59:7; 72:22; 90:15, 23;  
97:25; 120:20; 121:4;  
122:7; 154:7; 155:20, 24;  
156:9, 15; 172:17  
lower 27:23; 29:6; 34:8,  
25; 35:13; 36:18; 37:5;  
50:15; 52:2, 20; 53:17;  
56:5; 59:24; 84:20; 89:7;  
90:1; 95:23; 111:10; 133:3  
Loyola 5:10  
lymph 9:17  
lymphoma 141:13  
lysis 77:15, 22; 113:21

**M**

M-195 66:23, 25; 67:4  
M.D 4:9; 18:19, 25; 19:5;

42:17, 23; 52:10; 70:21;  
71:7  
M1 68:16  
M2 68:16  
M3 68:15  
magenta 26:8, 19  
magic 76:8  
magnitude 96:9  
main 37:20; 42:1  
maintained 26:21; 86:7,  
10; 155:14  
major 29:5; 34:15; 43:8;  
66:19; 114:8, 10  
majority 13:7, 11; 15:11,  
13; 16:21, 22, 24; 18:9;  
19:14, 17; 54:15; 57:15,  
16; 61:10; 62:21; 65:16;  
92:1; 103:15; 142:20;  
143:1; 146:23  
makes 27:4; 122:3;  
163:22  
making 68:18; 85:20;  
165:5, 14; 172:22  
male 63:18  
males 32:12  
malignancies 104:11;  
145:1  
malignant 21:12  
manage 86:16; 87:5;  
113:20  
manageable 55:8; 59:6  
manifester 67:7  
manner 20:8; 77:11  
many 17:11; 21:3; 22:3,  
10, 25; 30:12; 38:24;  
41:10; 60:7; 64:12, 23;  
69:12, 21; 71:1, 22; 78:6,  
7, 8, 24, 25; 79:1, 3, 4, 4;  
81:8, 9, 14, 17, 20; 83:24;  
85:4; 87:21, 22, 22; 92:6;  
93:16; 119:7; 121:19;  
126:10; 135:4, 4, 18;  
136:5; 149:1, 2, 11;  
153:25; 154:17; 156:12;  
160:16, 17; 167:20, 22  
Mark 11:22; 22:13, 15;  
54:3  
marked 31:4  
markedly 30:25; 109:23  
marker 21:8; 61:20;  
98:17; 101:15; 116:4;  
118:3  
markers 61:5  
market 162:23  
marks 97:18  
marrow 9:17; 21:7; 25:1,  
3, 17; 26:9; 28:23; 30:22;  
31:2, 3, 16, 16, 23; 32:4;  
33:11; 41:24; 55:22;  
66:13, 20; 68:5, 19; 74:14;  
82:7, 12, 15; 83:2, 18;  
98:12; 107:3; 139:1  
marrows 69:2; 89:12;  
108:8  
match 119:4

matched 94:1  
**Matt** 54:6; 62:1  
**matter** 6:16; 113:8;  
 133:18; 155:23; 168:11  
**matters** 6:23  
**Matthew** 12:2; 53:25  
**mature** 9:7  
**maximum** 26:4; 27:18;  
 47:16; 79:24; 80:1; 128:5,  
 13  
**may** 6:11, 17, 22; 7:7;  
 13:19; 14:12; 25:19; 42:4;  
 46:20; 65:10; 87:9; 88:17;  
 91:2; 94:15, 17, 18, 19;  
 99:24; 112:14, 22, 23;  
 117:12; 119:5; 124:19;  
 129:25; 132:21; 136:23;  
 138:20; 153:14, 15;  
 155:12; 156:16; 158:17;  
 162:15; 163:7; 166:12;  
 167:6; 170:18; 171:19, 22  
**may-TBI-containing**  
 84:14  
**Maybe** 62:16; 64:11;  
 96:6; 101:4; 116:24;  
 133:6; 138:3; 144:1;  
 152:25; 158:20; 159:25;  
 163:20  
**mean** 51:15; 76:10;  
 85:24; 87:18, 19; 88:7;  
 95:14; 96:8; 123:9;  
 125:18; 126:2; 127:16, 22;  
 129:10; 130:15; 135:4;  
 136:4; 138:13; 140:5;  
 142:23; 143:5; 147:3, 4, 5;  
 152:7; 153:24; 155:9;  
 158:25; 160:22; 162:10;  
 168:25; 169:1, 12; 172:10  
**meaning** 6:17  
**meaningful** 103:22;  
 132:12; 171:18  
**means** 87:13; 91:14, 16;  
 123:21; 170:14  
**measure** 37:17; 61:12;  
 88:21; 98:10; 104:14, 16;  
 119:4; 145:5  
**measured** 15:16; 36:3;  
 37:14; 43:20; 53:3; 62:7;  
 73:3; 90:20; 123:12;  
 135:20  
**measurement** 76:25;  
 77:1, 8; 98:10, 12  
**measurements** 39:20  
**measures** 33:20; 110:25;  
 121:14  
**mechanism** 8:15; 90:18;  
 125:5  
**median** 13:6; 16:11, 15;  
 17:4; 32:8, 9, 14; 34:18,  
 18; 35:19; 36:9; 37:6, 7;  
 39:13, 14; 40:2, 5, 8, 13;  
 41:20, 21; 42:2; 44:4;  
 46:17, 18; 47:16, 22; 51:7,  
 21; 54:11; 56:8; 74:8; 75:1,  
 2, 20; 79:14; 85:7, 9; 86:7,  
 12, 13, 17, 25; 87:1, 5;  
 109:13, 20; 127:22;  
 132:24; 133:2, 4; 134:17;

145:16; 148:18; 155:13  
**medians** 109:23  
**medical** 4:10, 13, 15, 17,  
 19, 25; 5:9; 11:20; 12:23;  
 40:25; 59:9  
**Medicine** 31:24, 25; 32:1;  
 41:6  
**MEDLINE** 38:7  
**meet** 76:23; 93:19; 101:9  
**meeting** 4:4; 5:23, 24, 25;  
 6:5; 11:17  
**megakaryocyte** 58:6;  
 68:24; 69:4; 108:2, 7  
**megakaryocytes** 68:22;  
 129:17  
**megakaryocytic** 20:23  
**Meier** 86:19  
**members** 4:5; 54:5;  
 120:2; 133:10  
**Memorial** 4:24  
**Memphis** 5:6  
**mentioned** 28:15; 41:1;  
 60:24; 72:12; 102:11;  
 129:10; 160:5; 163:10  
**mere** 170:20  
**met** 34:3, 4; 39:12  
**metastatic** 140:24  
**mg** 27:9  
**mg/L** 27:9; 28:5  
**mg/m2** 12:11; 23:24;  
 24:4; 25:2, 7, 8, 11, 14, 17,  
 23; 26:7, 15, 19, 22; 28:12;  
 30:10; 44:15; 88:12; 89:1,  
 10, 11, 14, 20  
**middle** 100:14  
**might** 24:20; 38:6; 57:10;  
 65:21; 75:10; 77:9; 78:23;  
 79:10, 18; 84:7; 90:1;  
 99:14, 23; 100:20; 106:2,  
 9; 108:3; 109:14; 110:11;  
 111:3; 117:7; 118:23;  
 121:15; 125:4, 4; 130:16,  
 20, 21; 131:24; 136:13;  
 137:9; 140:13; 145:16;  
 156:9; 165:24; 168:4;  
 169:14; 173:6  
**mild** 50:13; 57:17; 66:16;  
 113:18  
**milestone** 57:8  
**mind** 96:11; 110:20, 24;  
 114:19; 131:18; 132:9;  
 159:10  
**minimal** 67:6, 11  
**minimum** 23:25; 79:23  
**minor** 9:11; 28:25; 58:10;  
 102:25; 114:4, 6; 120:7  
**minority** 57:14  
**minute** 83:1; 101:23;  
 169:18  
**minutes** 50:13  
**miscounted** 173:24  
**missed** 38:12  
**missing** 139:5  
**mitoxantrone** 16:14  
**moderate** 46:25; 47:20;

49:16; 57:17  
**moderately** 49:23  
**modest** 15:14  
**modify** 152:7  
**moiety** 64:14  
**molecular** 20:10  
**molecule** 8:25  
**moment** 81:1; 146:4  
**monitored** 98:13  
**monoclonal** 9:14, 20;  
 29:22; 76:12; 103:9;  
 113:23; 121:8; 124:10;  
 125:3; 132:10  
**monotherapy** 25:12;  
 44:1  
**month** 11:15; 40:20;  
 79:23; 86:7, 13; 87:5; 94:1  
**monthly** 31:8  
**months** 14:12; 15:16;  
 16:4, 11, 16; 29:3; 31:8, 8;  
 32:15, 16, 18; 35:6, 12, 19;  
 36:9; 40:5, 6, 9, 10, 15, 15,  
 17, 18, 22; 41:22; 44:5;  
 50:16; 54:12; 56:9; 63:23;  
 64:5; 75:2, 3, 16, 23;  
 76:10; 79:15, 22, 24; 80:1,  
 8; 83:9; 85:8, 8; 86:17;  
 87:1, 2, 6; 116:19; 128:7;  
 132:25; 133:3; 135:20;  
 148:18; 155:14; 173:2  
**more** 9:5; 13:13; 20:3;  
 26:21; 27:23; 38:9, 10;  
 41:7; 44:17; 46:10; 48:2, 3;  
 56:2; 58:7, 8; 63:13; 64:9;  
 70:15; 76:22; 84:14;  
 93:18; 95:12, 16, 25;  
 98:18; 99:16, 25; 100:21;  
 105:10; 109:17; 110:11;  
 114:12, 14, 16, 25; 115:11;  
 117:15; 124:9; 128:9;  
 129:11, 13; 130:18, 21;  
 135:6; 136:23; 137:16;  
 141:7; 142:16; 143:6, 7;  
 146:1, 2; 153:24; 154:12;  
 160:3, 11, 24, 24; 161:2;  
 162:22; 164:10; 166:11,  
 25; 168:2; 169:20, 24;  
 172:20; 173:2  
**Moreover** 55:16  
**morning** 4:3, 6; 7:24;  
 22:19; 54:5; 58:23; 102:22  
**Morphologic** 107:7;  
 148:8  
**morphologically** 149:16  
**mortality** 17:2; 52:3, 5,  
 11, 19, 20; 53:4  
**most** 9:19; 15:7; 17:24;  
 24:10; 38:20; 42:5; 44:22;  
 46:1; 49:5; 55:23; 56:19;  
 67:13; 68:15; 70:4, 8;  
 75:22; 79:6, 12, 15; 84:17,  
 19; 89:8; 92:10; 93:6;  
 100:12, 18; 105:6; 107:15;  
 110:14; 116:5; 118:4, 24;  
 122:23; 126:8; 138:18  
**mostly** 44:23  
**move** 7:18; 44:13

**Moving** 113:12; 140:10  
**MRC** 16:7; 17:21; 18:15;  
 41:1, 4, 17, 19, 23, 25;  
 42:1, 7; 93:14; 126:19  
**MRC-AML-R** 19:9  
**much** 36:13; 39:7; 41:3;  
 52:19; 59:12; 83:22;  
 88:14; 93:12; 94:21;  
 111:10; 120:6; 122:2;  
 128:22; 131:1; 133:1, 3,  
 17; 140:12, 16; 143:8, 23;  
 174:7  
**mucosal** 48:13  
**mucositis** 10:7; 12:17;  
 16:23; 24:19; 48:10, 10,  
 11, 16, 17, 19, 22, 23;  
 49:2, 5, 11; 53:3, 12; 57:3;  
 115:17; 117:17; 142:8;  
 162:10, 11; 163:8  
**mucositis-inducing**  
 143:17  
**multi-drug** 98:13  
**multiple** 18:10; 63:14;  
 71:12  
**multivariate** 77:3, 4, 12,  
 12  
**murine** 66:5, 9, 11;  
 113:23  
**must** 51:9; 74:6; 146:16;  
 164:24; 173:24  
**myeloablative** 116:9  
**myelodysplastic** 29:9;  
 59:19; 60:4, 11  
**myeloid** 8:5; 9:3, 7, 8;  
 12:10; 13:2, 6; 20:6, 22;  
 23:2; 58:21; 60:21, 22;  
 102:24; 103:12, 15, 20, 24;  
 106:18; 110:8, 15; 114:22;  
 118:25; 152:12  
**myelosuppression**  
 24:23, 24; 46:9; 89:3, 4  
**myself** 21:24

**N**

**naked** 65:25; 66:3  
**name** 8:1; 102:22  
**narrow** 141:8  
**narrowed** 124:7  
**National** 4:21  
**nature** 53:13; 114:6  
**nausea** 45:9; 57:20;  
 115:16  
**NCI** 44:24; 49:4  
**NDA** 7:20; 8:4; 10:15, 25;  
 11:2, 3, 14; 43:12, 18;  
 53:1; 97:23; 119:24  
**necessarily** 99:24;  
 106:14; 156:1  
**necessary** 13:22; 14:14;  
 25:25; 26:12; 89:6; 167:10  
**need** 7:2; 11:20; 12:18,  
 23; 20:3; 55:12; 58:18;  
 59:3, 9; 91:14; 99:16;  
 112:18; 124:5; 127:10;

131:25; 138:4; 139:19;  
 143:11, 12; 146:1; 151:9;  
 153:15, 23; 159:21;  
 165:21; 166:12; 167:9, 16  
**needed** 154:13  
**needs** 103:21, 25; 104:6;  
 105:5; 141:8  
**negative** 76:17  
**negatively** 137:19  
**NERENSTONE** 4:15, 15;  
 59:16, 18; 133:25; 134:1;  
 152:17, 25; 153:8; 162:3,  
 4; 165:20; 166:15, 16  
**neutropenia** 25:4, 7;  
 46:11; 48:12; 115:10  
**neutropenic** 49:12  
**neutrophil** 30:24; 46:12  
**neutrophils** 18:14;  
 24:25; 46:14, 20; 58:2  
**Nevertheless** 48:4; 49:7;  
 73:9; 80:18; 81:5; 82:21  
**new** 4:5, 18; 38:2; 57:7;  
 94:19, 22; 123:11; 125:20;  
 132:10  
**next** 74:1; 124:10, 12;  
 145:12; 158:10; 166:23  
**nice** 95:1; 97:23; 115:6  
**nine** 16:4; 64:5; 98:21;  
 150:9  
**Nobody** 149:9  
**nodes** 9:17  
**non** 36:24; 44:24; 81:22;  
 134:8  
**non-controlled** 16:1  
**non-hematopoietic**  
 20:16; 58:22  
**non-leukemia** 134:1, 10  
**non-leukonologists**  
 141:25  
**non-prespecified**  
 110:21  
**non-protocol** 134:24;  
 144:6; 156:12  
**non-responder** 33:23;  
 34:17; 36:18; 37:4, 9;  
 40:13; 81:13, 24; 82:20;  
 85:5, 6  
**non-responders**  
 114:14; 116:23  
**non-targeted** 10:2  
**non-white** 32:14  
**nonclonal** 21:15  
**none** 15:21; 123:24  
**nonhematologic** 24:10  
**nonrandomized** 17:11  
**nonspecific** 10:3; 91:1, 3  
**nontoxic** 100:6; 122:1  
**normal** 20:6; 21:15, 15,  
 17; 24:22; 62:5; 106:14;  
 108:4, 8; 148:8  
**normality** 148:1; 149:8  
**Normally** 104:3  
**North** 28:16  
**Notably** 45:15

note 43:17; 68:14; 159:17  
noted 7:3; 12:20; 31:12;  
10; 41:9; 48:22; 71:8;  
25; 96:19; 107:3;  
115:23  
notice 105:17; 108:19;  
111:8  
notion 168:16  
notwithstanding 6:19  
novel 8:9; 12:8; 58:20;  
59:8; 103:4  
November 10:19  
novo 41:7; 54:14  
NR 33:23  
number 4:5; 15:19; 20:7;  
23:15; 36:15; 38:24;  
65:12; 66:18; 67:25; 69:9;  
16; 25; 70:2; 16; 73:15;  
76:14; 81:12; 22; 89:11;  
95:1; 97:19; 107:22;  
111:9; 125:13; 128:7;  
129:14; 131:3; 137:12;  
140:18; 144:13; 18; 149:3,  
19  
numbers 59:24; 69:4;  
70:23; 79:10; 81:3; 84:22;  
88:3; 96:9; 12; 20; 23;  
97:9; 100:2; 109:22; 25;  
110:10; 22; 119:11; 132:5;  
135:22; 145:17; 162:21  
nurse 5:7

**O**

objectives 28:9  
observation 114:2  
observations 20:2;  
21:19; 112:15  
observe 57:16  
observed 24:24; 42:14;  
55:14; 89:5; 113:21;  
114:16  
obtain 26:12; 61:5; 139:7  
obtained 6:11; 27:3;  
33:15; 61:18; 22; 62:4; 6;  
98:12; 108:8; 120:18  
obtaining 36:11  
obvious 21:3; 100:18  
obviously 13:24; 19:4;  
70:1; 72:4; 5; 77:8; 81:3;  
82:23; 94:25; 97:5; 16;  
128:14; 156:23; 168:9  
occasional 15:4; 113:20  
occasions 136:11  
occlusive 117:1  
occur 45:18; 19; 57:13;  
91:2  
occurred 24:16; 25;  
30:20; 45:2; 46:2; 50:17;  
7:25  
occurrence 46:9; 48:5;  
11  
occurring 24:14; 52:6  
October 10:15; 105:15  
ODAC 4:4

odds 102:15  
off 71:1; 89:9; 140:12; 16;  
160:10; 163:11; 171:19;  
22  
offered 160:16; 18  
offers 9:20  
Office 5:16; 6:13  
often 14:8; 92:14; 121:20;  
123:14; 162:13  
old 39:14; 15; 75:9;  
159:19; 163:1  
older 29:2; 34:6; 25; 35:4,  
11; 39:10; 41:11; 42:19;  
43:1; 6; 44:4; 52:3; 12;  
53:16; 55:23; 56:4; 75:6;  
100:9; 106:3; 109:1;  
111:14; 122:25; 125:14;  
143:22; 154:16; 155:1;  
158:10; 20; 23; 159:14;  
160:5; 7; 10; 164:8; 17; 22;  
166:22; 25; 168:22;  
169:24; 173:10; 17  
oldest 32:11  
once 94:7; 106:8; 114:19;  
135:20; 136:24; 140:5;  
173:1  
oncologist 4:13; 5:1; 5;  
162:4  
oncology 4:10; 16; 17,  
20; 5:7; 9; 11; 54:6;  
123:14; 23; 169:22  
One 14:3; 11; 17:19; 19:3;  
20:8; 24:17; 17; 25:5; 26:3;  
29:21; 22; 35:8; 21; 24;  
37:20; 39:1; 42:5; 8; 25;  
43:21; 48:6; 7; 16; 50:12;  
51:13; 60:14; 63:13; 13;  
66:23; 67:3; 19; 68:14;  
69:11; 71:10; 73:4; 74:3;  
75:23; 77:15; 21; 78:13;  
79:13; 20; 82:19; 83:4; 6;  
11; 88:4; 89:20; 25; 90:13;  
91:23; 92:21; 96:22;  
97:12; 14; 22; 100:19; 25;  
114:1; 116:17; 117:3;  
118:8; 121:21; 23; 124:5;  
125:3; 3; 127:12; 19;  
128:3; 130:15; 131:9; 24;  
135:16; 136:5; 137:17;  
142:13; 144:23; 146:22;  
148:12; 23; 150:11; 17;  
155:9; 166:1; 168:4  
one-year 35:22; 44:5;  
78:9  
ones 78:17; 149:10; 10  
ongoing 88:1; 105:18;  
119:17; 21; 134:21  
only 9:24; 15:10; 15; 16:7;  
17:23; 18:14; 19:3; 11;  
23:14; 28:5; 25; 29:1; 13;  
32:14; 17; 33:2; 39:2;  
41:20; 23; 45:13; 48:15;  
49:25; 51:8; 13; 60:21;  
61:8; 68:14; 76:4; 87:4;  
104:17; 108:20; 125:11;  
128:5; 133:22; 137:11  
onto 17:22; 154:25  
Open 7:11; 13; 132:9

open-label 10:23; 23:22;  
55:15  
Opening 4:2; 8:14; 90:6  
operate 130:4  
opinion 17:11; 128:24;  
129:6; 7; 132:4  
opportunity 8:3; 94:9;  
99:23; 158:21; 159:1;  
162:18; 163:2  
opposed 96:3; 123:1;  
137:8; 168:20  
opt 130:20  
optimal 90:9  
option 59:10; 119:23;  
142:13; 143:13; 163:2  
options 99:13; 103:23;  
119:14; 140:23; 142:15;  
154:5; 17; 20; 159:7  
Order 4:2; 13:21; 14:8;  
13; 104:21  
orderly 20:8  
organ 100:8  
organization 8:3  
original 11:3; 13; 43:12;  
53:1; 105:23; 108:18  
originally 72:15; 105:14;  
20; 107:7; 142:18  
Orphan 10:19  
osteoarthritis 169:15  
others 16:24; 18:14;  
71:9; 141:20; 158:19  
otherwise 40:20; 66:16;  
68:15; 152:21; 167:17  
out 9:14; 14:7; 21; 24;  
17:4; 6; 18:7; 21:11; 62:12;  
73:8; 75:15; 79:11; 80:17;  
83:19; 88:24; 95:5; 99:12;  
106:2; 110:14; 23; 120:7;  
124:15; 134:22; 137:6;  
138:3; 140:24; 141:8;  
144:12; 151:8; 154:5;  
158:4; 162:22; 163:9;  
164:24; 167:5; 169:6; 8,  
24; 170:16; 171:12  
outcome 13:23; 14:1; 16;  
15:12; 17:13; 18; 25; 18:2;  
3; 19:8; 23; 37:14; 93:1; 2;  
98:15; 17; 99:4; 132:6  
outcomes 58:11; 94:23;  
99:7; 133:23; 145:23;  
167:25; 168:3; 6  
outgrowth 21:14  
outlier 40:20  
outline 158:6  
outpatient 53:13; 55:9;  
59:6; 113:18; 117:14  
outpatients 30:12  
outweighs 6:21  
over 12:25; 13:14; 18:5;  
25; 19:5; 12; 14; 38:9;  
39:14; 42:23; 52:13; 71:9;  
72:4; 5; 77:1; 19; 92:3;  
103:22; 105:11; 123:18;  
127:25; 139:20; 21;  
151:13; 20; 154:4; 6;  
155:4; 6; 158:10; 160:16;

161:17; 166:23; 167:23  
overall 12:5; 27:6; 33:21;  
34:2; 9; 16; 35:9; 17; 19;  
36:8; 37:8; 16; 39:17; 40:2;  
5; 41:13; 17; 42:5; 8; 12,  
18; 43:7; 20; 44:5; 8;  
46:15; 53:8; 56:21; 58:9;  
61:9; 70:12; 76:15; 78:15;  
79:19; 80:15; 84:17; 85:7;  
96:24; 24; 97:2; 98:16;  
99:6; 102:12; 17; 108:21;  
22; 109:4; 6; 7; 111:11;  
127:5; 128:24; 131:21;  
142:8; 145:11; 15; 147:18;  
21; 150:1; 155:4; 161:17;  
21; 168:7  
overt 67:2; 12  
overview 11:19; 13:1; 2;  
44:16  
own 124:20; 23; 167:19  
ozogamicin 6:23; 7:20;  
8:4; 21:20; 22:1; 22; 42:2;  
53:20; 54:1; 58:20; 63:19;  
102:23; 103:4; 13; 116:20;  
117:12; 118:1; 152:13

**P**

p-value 96:8  
p.m 174:8  
package 11:12  
packet 17:8; 68:12  
page 67:24; 145:12;  
158:1; 166:17  
pages 166:23  
paid 93:12  
palliation 15:17; 119:2  
palliative 17:23; 41:13;  
143:9  
palliatively 143:24  
pancytopenia 16:22  
paralleled 27:22  
parameter 88:21  
parameters 27:8; 88:10,  
13; 14; 24; 97:1  
paraphrase 164:18  
Parklawn 6:13  
part 5:23; 13:18; 17:14;  
18:10; 19:23; 43:18; 58:5;  
66:12; 67:17; 69:6; 14; 15;  
78:11; 81:20; 85:14; 19;  
93:15; 22; 95:11; 98:11;  
139:12; 165:6; 6  
partial 73:4; 9; 82:22;  
93:7; 107:18; 126:9; 11,  
16; 127:7  
participant 7:1  
participants 6:1; 4; 7:2; 5  
participate 6:22  
participated 53:16;  
55:16  
participation 6:21;  
121:23  
particular 6:16; 13:18;  
62:10; 71:10; 14; 83:18;

22; 123:13; 141:6; 165:3;  
169:10  
particularly 15:9; 16:25;  
17:19; 41:10; 54:16; 60:2;  
6; 10; 15; 82:23; 83:2; 3;  
85:10; 99:19; 100:1;  
108:1; 113:15; 115:16;  
118:6; 120:3; 4; 121:20  
partly 122:22; 22  
parts 68:7  
past 52:14; 93:11;  
139:20; 144:3; 148:16  
pathologic 134:7  
pathologist 31:24;  
106:21  
Pathology 31:25; 129:16  
pathophysiology  
108:12  
patient 4:11; 9:21; 12:23;  
14:5; 7; 10; 17:14; 17;  
25:6; 26:3; 32:10; 21;  
35:17; 43:19; 48:6; 7; 16;  
50:12; 13; 14; 53:2; 62:6;  
63:16; 23; 68:14; 77:2; 21;  
82:20; 83:5; 8; 11; 89:20;  
92:12; 95:21; 99:18; 22,  
22; 100:9; 105:6; 111:12;  
14; 112:17; 24; 114:1;  
116:13; 117:3; 4; 118:8,  
23; 122:9; 128:5; 14;  
143:11; 22; 155:6; 161:24;  
162:5; 9; 13; 17; 163:23;  
166:22; 171:18; 19  
patient's 13:18; 32:2; 4;  
95:7; 113:8  
patients 9:6; 19; 10:5;  
11:3; 4; 7; 9; 14; 14; 15;  
12:15; 18; 13:12; 13; 14,  
17; 19; 25; 14:3; 15; 21,  
24; 15:2; 4; 7; 10; 11; 12,  
13; 23; 16:4; 12; 17; 19,  
24; 17:4; 21; 18:4; 5; 8; 9,  
22; 24; 25; 19:2; 4; 5; 9; 12,  
15; 18; 24; 20:4; 22:3; 7,  
10; 23:9; 11; 12; 15; 21,  
25; 25:1; 3; 12; 14; 16; 17,  
19; 26:8; 28:11; 17; 18; 19,  
22; 29:1; 2; 8; 10; 12; 13;  
30:10; 16; 19; 31:2; 7; 10,  
15; 18; 32:6; 7; 8; 9; 13; 14,  
15; 17; 23; 24; 33:1; 4; 10,  
16; 18; 19; 20; 22; 23;  
34:2; 3; 6; 14; 17; 17; 19,  
22; 24; 25; 25; 35:4; 5; 10,  
11; 18; 24; 36:2; 7; 9; 12,  
15; 16; 18; 19; 20; 21; 24;  
37:2; 4; 7; 10; 10; 13; 13,  
19; 25; 38:4; 14; 23; 39:9,  
10; 14; 15; 24; 25; 40:2; 6,  
8; 11; 14; 41:2; 2; 7; 8; 10,  
11; 12; 14; 19; 42:3; 6; 8,  
19; 20; 21; 43:1; 2; 6; 8; 12,  
13; 14; 15; 19; 44:2; 4; 5,  
11; 15; 45:6; 10; 12; 46:3;  
10; 15; 47:2; 7; 8; 11; 11,  
12; 15; 18; 19; 22; 24;  
48:2; 4; 6; 12; 15; 23; 49:3,  
7; 18; 20; 50:10; 22; 24,  
25; 51:4; 5; 6; 8; 11; 12; 14,

18, 20, 23; 52:3, 11, 15, 17, 18, 21, 25; 53:1, 16, 16, 22; 54:14, 15, 16, 17, 20, 23; 55:6, 11, 16, 17, 21, 21, 23; 56:2, 4, 6, 11; 57:2, 4, 5, 6, 14, 15, 19, 21, 23; 58:7, 9, 12, 14, 15; 59:1, 10, 19, 23; 60:3, 9, 12, 15; 61:1, 4, 5, 9, 10, 25; 62:2, 21, 22, 23, 24; 63:1, 4, 7, 8, 10, 12, 13, 14; 64:24; 65:9, 12, 16; 66:16; 67:2, 5, 9, 15; 68:1, 5, 15, 22; 69:3, 8, 9, 12, 13, 16, 19, 21, 21; 70:5, 5, 12, 15, 16, 24, 25, 25; 71:7, 8, 12; 72:6; 73:6, 8, 12, 13, 15, 18, 20, 22; 74:3, 12, 13, 17, 19, 20, 21, 24, 25; 75:1, 2, 4, 5, 8, 11, 14, 18, 19, 21; 76:5, 6; 77:16; 78:1, 5, 15, 15; 79:3, 6, 8, 12, 15, 21; 80:1, 5, 9, 17, 23; 81:7, 13, 21, 22, 25; 82:6, 10, 10, 16, 17, 17, 18, 19, 21, 23; 83:10, 19, 25; 84:5, 9, 11, 20, 25; 85:5, 7; 86:9, 15, 19, 24, 24; 87:4, 7, 9, 24; 89:4, 11; 90:20; 91:7, 7, 22, 22, 25; 92:1, 1, 14, 18; 93:1, 2, 4, 16, 22, 23; 94:6, 13; 95:4, 4, 12, 16, 16, 23; 96:1, 3, 15, 20, 24; 97:14, 17, 19, 25; 98:3, 7, 11, 22, 24; 99:2, 8, 8; 100:1, 2, 4, 7, 20; 101:7, 8, 14, 17; 103:15; 105:16, 17, 18, 20, 21, 23, 23, 24; 106:3; 107:4, 8, 12, 15, 17, 21, 22; 108:1, 4, 14, 19, 24; 109:4; 110:5, 11, 23; 111:7, 22; 112:4, 9; 113:24, 25; 114:24; 115:17, 20, 25; 116:1, 2, 11, 19, 23; 117:1, 8; 118:5, 7, 20; 119:4, 12; 121:4, 19, 20; 123:4, 5; 125:13; 126:8, 25; 128:9, 21; 129:1, 2, 12, 20, 21, 25; 130:17; 132:7; 133:4; 134:4, 10, 12; 135:19, 20, 22; 136:3, 6, 12, 14, 19, 24; 137:11; 138:4, 15, 17; 139:21; 140:9, 18; 141:7, 12, 21; 142:2, 12, 20, 24; 143:17; 144:7; 145:17; 148:17, 25; 149:1, 11, 19, 23; 152:12, 19, 21, 21; 154:3, 6, 6, 16, 16, 21, 23, 24; 155:1, 4, 10; 156:11; 157:4, 23; 158:10, 20, 24; 159:14, 25; 160:1, 10, 14, 16, 17, 18, 23; 161:9, 13, 14, 16, 16; 162:11; 163:1, 17; 164:16, 22; 165:16; 166:5, 6, 10, 25; 167:22; 169:6; 170:18; 171:20, 22; 172:3, 5; 173:9, 17  
**patients--you** 84:13  
**PAZDUR** 5:14, 14;

146:25; 147:11; 152:24; 159:3; 163:20; 164:12; 165:8; 170:6  
**PCR** 62:10; 67:7; 149:1, 9  
**PCR's** 149:4  
**PCR-based** 62:9, 14  
**PCR-negative** 67:10  
**PCR-positive** 67:10  
**peak** 26:2, 14, 20; 27:8; 89:8; 127:17  
**pediatric** 5:4; 23:13  
**pediatrician's** 101:1  
**PELUSI** 5:7, 7  
**people** 67:13; 76:21; 77:25; 83:24; 84:2; 85:23; 93:11; 94:20; 97:13; 111:15; 123:22; 124:15, 24; 125:6; 132:20; 138:25; 139:7; 142:4; 143:1, 16; 144:9, 10; 154:4; 158:19; 159:8, 19; 164:8; 168:4, 13; 171:6, 15  
**per** 27:9; 69:16, 17; 131:6; 169:23  
**percent** 9:5; 13:13, 14; 14:23; 15:25; 16:2, 4, 11, 12, 15, 16; 17:3, 21; 18:23, 25; 19:4, 10, 11, 22; 20:11; 22:7; 26:7, 10, 17; 27:5; 30:5; 31:15; 32:12, 12, 14, 23, 24; 33:2, 12; 34:3, 3, 4, 10, 19; 35:21, 22; 41:12; 42:1, 7, 8; 44:2, 6; 45:1; 46:3, 10; 48:15, 21, 24; 49:3, 7, 9, 18, 20; 51:1, 4, 5, 6; 52:5, 8, 8, 19; 54:17, 20; 57:5, 6; 61:8; 70:22; 72:23; 73:5, 10, 13, 15, 18, 24; 77:14, 15; 83:20, 23; 84:10, 17, 18; 86:6; 89:16; 107:20; 108:20, 21; 109:5, 20; 110:9; 126:10, 13, 24; 141:13; 145:11; 155:4, 13; 158:7, 8, 9, 9, 11, 12, 12, 13  
**percentage** 19:5; 32:22; 122:6; 143:12  
**perfect** 22:1  
**performed** 21:6; 31:17; 37:21; 38:3; 66:2  
**Perhaps** 4:6; 71:1; 72:20; 80:19; 82:1, 21, 25; 83:17; 99:14, 20; 125:14; 132:1; 136:23; 143:20; 154:10; 168:2  
**period** 19:1, 6; 27:12; 31:4, 5, 7, 12, 17; 44:20; 48:4; 49:12; 51:1, 3; 53:18; 72:5; 83:10; 91:23; 137:20  
**periodically** 92:7  
**periods** 25:18  
**peripheral** 26:6; 29:6; 31:19; 33:11; 89:13  
**permitted** 31:13  
**persistence** 26:14  
**persistent** 83:6, 14; 108:3

**person** 130:6; 139:2  
**personally** 124:16; 169:12  
**persons** 135:7  
**perspective** 51:9; 116:11  
**persuaded** 134:2  
**persuasive** 134:11; 153:24  
**Peter** 102:22; 128:18; 130:24; 131:1  
**Peter's** 131:9  
**ph** 140:22  
**pharmacokinetic** 11:23; 27:3, 7, 16; 106:9  
**pharmacokinetics** 27:6, 14  
**Phase** 11:3, 5, 6, 11, 15, 25; 23:4, 10, 12, 13, 18, 19, 22; 24:5, 9; 25:8, 11, 12, 23; 26:23; 28:8, 9, 15, 22; 29:19; 30:2, 9; 32:7; 33:6; 35:18; 39:22; 40:6, 11, 15, 17; 41:18; 42:13; 43:2, 14; 44:2, 9, 14, 22; 45:21, 23; 47:24; 48:15; 50:3, 5, 10, 18, 25; 52:4; 85:17; 88:19, 23, 25; 89:2, 15, 19; 105:15, 16, 17, 21, 21; 107:21; 114:1; 119:17, 21; 136:8; 140:4; 141:1; 149:14, 14; 172:6  
**phenomenon** 108:12; 129:14  
**Phoenix** 5:8  
**phrased** 147:6  
**physician** 162:17; 165:17; 170:4  
**physician's** 95:8  
**physicians** 130:20  
**pick** 167:5  
**PK** 90:13  
**place** 51:9; 119:5; 127:25; 130:21; 143:15  
**places** 100:12  
**plan** 23:18; 113:13  
**planned** 30:19; 90:5  
**plasma** 27:8  
**platelet** 18:15; 30:24; 33:9, 13, 16, 18; 47:1, 3, 5, 8, 10, 11, 13, 16, 17, 21, 22; 48:3; 57:25; 58:3, 4, 8; 72:9; 91:8, 9, 12, 13, 14, 15, 17, 18, 21, 24; 92:7, 9, 12, 14, 15, 16, 20; 93:15, 25; 94:3, 10; 95:6, 13, 17, 23, 25; 98:23; 101:6, 15; 107:5, 13, 23; 108:5; 115:1; 125:23; 24; 126:20, 21; 127:2, 10; 128:5, 6, 8, 13, 15; 132:13; 133:20; 134:5; 143:11; 149:10  
**platelets** 24:25; 73:7; 93:12, 17, 18; 101:8; 107:10; 113:8; 114:12; 126:6; 127:23  
**pleaded** 100:25

**Please** 43:17; 62:17; 63:16; 65:10; 80:4; 146:7, 18; 150:14; 173:18; 174:1  
**pleased** 8:3; 22:21  
**pleasure** 54:7  
**pluripotent** 9:8; 20:14, 21  
**plus** 11:14; 16:14; 21:8; 105:23; 145:11; 158:8, 12  
**pneumonia** 49:6  
**podium** 12:25; 74:11  
**point** 9:14; 19:17; 45:14; 62:12; 70:20; 73:7; 75:20; 79:23; 80:17; 81:5; 83:6; 92:17; 93:6; 98:16; 101:3; 102:4; 106:2; 109:24; 120:7; 122:4; 125:16; 127:12; 138:3; 145:19, 24; 151:16; 153:3; 158:4; 160:24; 164:24; 165:1; 166:16  
**pointed** 17:6; 88:24; 110:14, 23; 124:15; 137:6  
**pointing** 151:8  
**poison** 121:9  
**poor** 54:16; 60:10; 61:11; 62:22; 119:1; 135:11; 167:25  
**poorly** 39:10; 81:25; 133:8  
**population** 9:22; 12:24; 51:19; 109:1; 110:18; 121:25; 123:1, 1; 142:24; 163:24; 166:22; 168:7  
**populations** 118:23  
**portion** 9:2; 27:4; 102:2  
**posed** 131:4  
**position** 8:8; 147:7  
**positive** 12:10; 23:23; 65:17, 17; 76:17, 22; 103:12  
**positively** 30:6  
**positives** 76:18  
**positivity** 64:1; 65:5, 7; 67:7; 76:19  
**possess** 103:22  
**possible** 90:4; 116:4; 124:1; 129:25  
**possibly** 114:4; 115:3  
**post** 97:2; 134:20; 135:23  
**post-gemtuzumab** 61:2, 16  
**post-remission** 31:12; 47:6; 80:24; 105:8; 110:5; 113:7  
**post-therapy** 97:5  
**post-transplant** 98:24; 129:15; 132:14; 136:12, 14; 137:1, 17  
**postremission** 32:23; 34:19  
**postulated** 107:25  
**potent** 8:23; 21:21; 22:24; 28:3; 90:14  
**potential** 6:4; 10:17;

71:22; 118:2  
**potentially** 116:9; 159:25; 166:14  
**powerful** 18:2; 21:22  
**practical** 14:4  
**practice** 67:23; 92:8; 99:12; 169:17  
**practicing** 155:5; 162:4; 165:17; 170:4  
**practitioner** 5:8  
**pre** 138:11  
**pre-existing** 59:19  
**pre-transplant** 137:2, 9, 21  
**preambles** 144:21  
**precedent** 148:13  
**preceding** 29:9  
**precise** 83:7; 84:24; 128:4  
**preclinical** 115:24  
**preclude** 5:23; 14:1; 94:13; 98:5  
**precursors** 68:24; 108:3  
**predetermined** 31:19  
**predict** 18:3; 98:17; 144:25  
**predictable** 20:8  
**predicting** 18:2; 102:16  
**predictor** 18:2  
**predictors** 17:24; 110:15  
**predisposes** 48:12  
**predominantly** 32:13; 49:18  
**preferred** 138:11  
**prejudice** 14:1  
**premedicated** 30:16  
**preparation** 94:18  
**preparative** 84:6, 8, 24; 119:3; 137:22  
**prepared** 7:17; 64:15  
**prerogative** 101:2  
**presence** 20:12; 29:17  
**present** 6:4; 8:7; 11:19; 12:5; 13:1; 20:14; 22:21; 37:9; 39:19; 44:16; 109:24; 128:2; 129:17; 162:9  
**presentation** 7:18, 22; 8:7; 29:10; 43:16, 17; 44:14; 45:14; 51:19; 85:20; 95:11; 101:23; 102:20, 21; 141:22; 160:25  
**presented** 10:11; 11:11; 43:15; 45:14; 53:19; 55:1; 58:23; 65:4; 68:11; 89:15; 109:10; 122:1; 145:9, 10, 12; 161:15; 164:25; 165:6, 7  
**presenting** 76:16  
**President** 12:2  
**prespecified** 107:16  
**presumably** 89:25; 111:24; 129:15; 159:13

presume 153:21  
presumption 90:17;  
1:16  
treatment 60:25  
pretty 88:14; 93:1;  
123:20; 137:14  
prevent 30:15; 48:14  
previous 7:7; 85:1;  
101:25; 118:9  
previously 18:6; 28:15;  
32:25; 93:10; 110:14;  
118:7  
primarily 71:1  
primary 29:23; 72:10, 12,  
13; 104:24; 106:24;  
107:11; 165:22  
prior 17:14; 19:24; 21:10;  
29:7, 10, 12, 14; 32:22;  
84:20; 95:21, 22; 96:2, 4;  
116:13; 128:16  
priority 10:16  
probability 35:20; 42:25;  
43:21  
probably 84:13; 92:10;  
113:9; 121:24; 125:7;  
130:10; 133:14; 137:7, 14;  
138:4; 149:21; 162:20;  
163:18  
problem 93:9; 101:8;  
110:3; 123:14; 136:20;  
2:1; 164:5  
problems 106:12; 135:4,  
9; 164:9  
procedure 14:10, 17  
proceed 55:22; 122:15,  
16  
proceeding 14:4, 16  
PROCEEDINGS 4:1;  
174:6, 8  
process 123:19  
produce 29:24; 168:3  
product 124:21; 147:17;  
149:25; 150:20  
products 6:25; 7:7; 24:12  
Professor 31:24  
profile 10:1; 12:16;  
21:23; 24:21; 26:24;  
27:16; 44:13, 17; 52:24;  
53:2, 9; 54:1; 55:3; 57:1;  
58:9; 101:16, 18; 131:13,  
17; 151:9, 16; 152:7, 8;  
156:6, 7; 161:18; 162:7;  
163:7; 168:2  
progenitor 24:23; 46:8;  
47:3; 58:6  
prognosis 54:17; 106:5;  
111:25; 112:6; 119:1  
prognostic 20:12; 34:16;  
56:10, 20; 60:10; 61:11;  
2:22; 102:8; 112:12;  
13:4; 135:12  
program 23:10; 160:1  
progresses 56:1  
progression 30:20  
progressive 54:10

prohibited 121:2  
projector 128:4  
prolongation 15:17;  
47:1, 21  
prolonged 46:21; 89:4  
prophylactic 24:16  
proportion 21:12  
propose 99:14; 152:20;  
173:6  
proposed 8:4; 12:9;  
58:24; 103:11  
proposing 166:6, 8  
prospective 38:22; 40:3,  
9, 12; 161:11  
protein 50:4  
protocol 64:1; 70:24, 25;  
71:1, 19; 91:23; 120:14, 21  
protocols 72:17; 125:13;  
135:8, 8  
prove 100:13; 112:23  
proved 141:14  
proven 130:11  
proves 162:21, 24  
provide 13:21; 37:24;  
44:17; 79:10; 81:22;  
100:3; 110:25  
provided 18:18; 42:18;  
52:11  
providing 14:5  
PRZEPIORKA 4:19, 19;  
80:3, 4; 81:6, 17; 96:7;  
97:10, 12, 20, 22; 132:1,  
16, 17; 137:5; 142:7;  
143:3; 161:7, 8, 25;  
168:16, 17  
Public 7:11, 13  
publications 38:10  
published 16:13; 38:8;  
56:4; 66:5; 72:1; 73:25;  
114:21; 155:18  
pulmonary 116:15  
purposes 63:15; 66:13;  
94:5  
put 74:7; 96:6; 131:20;  
138:25; 139:3; 161:9;  
163:5; 170:16

## Q

qualified 124:7  
quality 135:8  
quantitative 76:25; 77:8  
quarter 116:1  
quite 16:21; 17:9; 39:7,  
17; 93:19; 101:9; 121:19;  
131:4; 144:15; 145:18;  
160:19; 161:11; 166:23  
quote 101:10

## R

radiation 66:14; 139:12  
radio 66:6

radiotherapy 66:13  
raise 96:11; 146:7, 18;  
150:12, 15; 173:18; 174:1  
raises 97:7  
randomized 112:10, 22;  
115:7; 118:16; 119:24, 25;  
136:3; 139:19, 22; 140:2,  
10, 12, 18; 141:4, 16;  
172:7  
randomizing 155:6  
range 15:24; 16:2; 17:8;  
32:6; 48:20, 21; 49:8; 52:7;  
76:18; 83:24; 111:5;  
122:12; 155:13  
ranged 23:24; 51:21  
ranges 16:3; 70:20  
ranging 90:9  
rapid 77:16; 78:1, 1;  
115:11  
rapidly 20:19; 21:2; 54:10  
rarely 49:25; 113:21  
rate 16:10, 15; 18:24;  
19:2, 10, 11; 28:10; 34:2,  
8, 10, 25; 35:9, 13; 36:16,  
17, 25; 37:3, 16; 39:18;  
40:20; 41:25; 42:6; 43:20;  
44:1; 48:10, 19; 49:3, 5, 7;  
52:3, 5, 11, 19, 20; 53:4, 9,  
11, 12, 14, 17; 56:21; 57:2,  
3; 72:20, 21; 73:5, 8, 9, 23;  
76:15; 83:1, 1, 18, 20;  
84:9, 19; 86:6, 12; 89:16;  
93:15; 108:20, 21, 22;  
109:4; 111:11; 121:4,  
124:20, 22; 127:5, 6;  
131:23; 133:20, 22; 134:5;  
141:12; 142:9; 143:5;  
144:13; 147:19, 21;  
148:20; 150:2; 155:4, 12;  
158:7, 11; 162:7; 171:1  
rate-if 111:9  
rate-related 77:18  
rates 15:24; 16:1; 17:2, 8;  
19:21; 34:5, 21; 35:3; 37:2;  
39:6; 42:4; 43:7; 48:22, 23;  
49:22; 52:17; 53:3; 54:14;  
56:5, 5, 23; 62:19; 68:2, 9,  
13; 70:7, 9, 13; 73:25;  
108:25; 109:6; 110:17;  
111:1, 17, 21; 112:3, 7;  
113:1; 117:21; 122:8;  
125:17, 19, 24, 25; 126:5;  
131:21; 145:10; 147:13;  
156:8; 158:2, 3; 159:18, 20  
rather 111:4; 122:12;  
133:8; 143:4; 161:10, 13  
ratio 102:15  
rationale 172:22  
raw 127:16  
RBC 58:8; 95:17  
re-express 63:8  
re-treatment 15:5  
reach 92:4  
reached 10:21; 36:4;  
37:7, 8; 46:15  
reaction 50:17  
read 5:18; 144:22, 23;

147:24; 164:19  
reading 74:3, 16  
ready 100:5  
real 67:23; 88:9; 121:12;  
131:15; 142:3; 154:22;  
172:23  
realizes 17:20  
realizing 74:6; 123:1  
really 83:21; 84:22; 86:5;  
88:9; 92:16; 96:12, 23;  
98:7; 99:21; 100:10;  
105:11; 109:25; 111:12;  
112:7; 115:12; 118:12;  
129:19; 130:4, 6, 9; 131:8;  
132:18; 133:12; 134:12,  
21; 137:17; 140:22; 143:5;  
155:15; 160:3; 161:22;  
168:9; 171:10, 17, 20;  
172:4, 13  
realm 84:2; 135:25  
reason 14:3, 15; 85:14;  
107:25; 146:25; 147:1;  
156:5; 161:4  
reasonable 125:18;  
136:21; 159:12  
reasonably 144:25  
reasons 37:20; 60:12  
reassuring 108:13  
recall 127:18, 21; 128:1  
receive 18:10; 30:19;  
41:11, 14; 54:21; 74:13;  
81:17, 21; 86:19; 87:23;  
92:7; 118:6; 121:4; 142:21  
received 10:16; 30:10,  
17; 32:21, 23, 25; 34:19;  
36:20, 22; 41:12, 19;  
44:15; 47:12; 50:16; 60:7;  
63:13, 19; 64:2; 71:7;  
80:13, 23; 81:23; 83:19;  
86:25; 87:10; 89:4; 92:20;  
105:20, 22; 110:11; 118:7,  
21  
receiving 13:10; 31:1;  
42:6; 71:13; 80:7, 10;  
82:11; 128:16  
recent 41:6; 79:24  
recently 16:13; 64:6;  
114:21  
receptor 106:11  
Recess 101:21  
recognized 51:10; 89:1  
recombinant 8:22  
recommend 119:16, 18;  
140:7; 142:15; 152:14;  
157:6  
recommendations  
152:18  
recommended 12:10,  
13; 77:17, 19, 24; 157:19  
reconcile 168:23  
reconvene 101:20  
record 5:23; 7:4; 81:20;  
100:23  
recorded 102:2; 115:13  
recording 111:5  
recover 93:17; 94:10

recovered 47:15; 114:2  
recovery 18:14, 15;  
24:25; 25:13; 31:19; 33:9;  
41:25; 46:12, 14, 20; 47:1,  
4, 5, 8, 10, 21; 57:25; 58:2,  
4; 64:4, 8; 73:7; 91:8;  
93:15, 25; 94:21; 95:5, 24;  
101:15; 107:24; 115:2, 10;  
125:24; 126:20, 22; 127:2,  
10; 133:21; 134:5  
recur 63:1  
recurrent 13:14; 14:20;  
15:11, 13; 22:7, 10; 63:1  
red 28:4; 33:16; 48:2;  
95:13; 107:4, 12; 114:16  
reduced 12:18; 49:12;  
53:15; 55:11, 12; 59:3, 3;  
115:13, 17; 117:18  
reduction 66:19; 67:1  
Rees 127:9  
refer 33:22  
reference 65:1; 144:8  
references 111:3;  
114:22; 134:3  
referred 157:25  
refers 43:18  
reflect 84:18  
reflected 159:3  
reflective 10:16  
reflects 84:7  
refractoriness 101:6  
refractory 23:11, 21;  
38:8; 144:10, 14  
regard 5:22  
regarding 69:11; 95:11;  
112:16; 120:9; 151:5  
regardless 30:24; 39:17;  
45:3, 10; 56:12; 85:10;  
133:23; 167:7  
regimen 18:8; 50:22;  
54:22; 84:9, 15; 106:17;  
117:21; 119:3; 136:19;  
137:22; 139:12; 147:13;  
151:7; 164:8  
regimens 15:6, 20;  
16:18, 20; 19:20; 20:1;  
22:9; 23:6; 35:14, 23;  
38:18, 21, 25; 40:1; 41:15;  
42:23; 46:23; 52:13;  
57:21; 70:23; 71:10, 16;  
72:7; 73:24; 83:22; 84:6,  
18, 21, 22, 24; 105:4;  
111:2, 4, 24; 114:21;  
115:3, 6, 10, 14, 18, 21;  
117:9, 14, 25; 118:13;  
122:11; 137:15; 147:14;  
150:21; 151:8, 13, 21  
regrowth 21:17  
regulated 6:2  
regulations 104:20;  
152:14; 157:5  
Regulatory 8:1; 10:10;  
103:18; 119:14; 122:22;  
123:11  
reinduce 13:25; 14:3, 15  
reinduction 15:15, 21;

17:9; 18:21, 23; 19:2, 19;  
166:11  
**reinforced** 21:5  
**relapse** 8:6; 12:10; 13:12,  
17; 14:22; 18:3; 19:18;  
23:2; 28:11, 23; 32:22;  
33:2; 36:4; 37:20; 38:1, 5,  
15; 40:3; 41:9, 10, 13;  
42:22; 44:11; 46:20; 48:1,  
20; 49:10; 50:22; 51:14;  
52:9, 12; 54:15; 61:7; 63:5,  
7; 67:25; 72:7, 22; 73:21,  
21; 75:21; 84:9; 85:19;  
87:8; 97:24; 99:12;  
103:12; 109:10; 130:7;  
135:21; 141:21; 143:4;  
173:1  
**Relapse-free** 36:2, 3, 7,  
9; 37:6, 14; 40:17, 19, 21;  
43:22; 44:4; 56:21; 58:12;  
79:14; 80:6, 10; 96:7, 14;  
97:1; 109:13, 16; 113:2;  
129:24; 132:24; 133:2, 4;  
134:17; 145:14, 16  
**relapsed** 8:9, 11; 11:7, 9;  
12:22; 23:7, 11, 21; 25:20;  
33:4; 34:24; 38:8; 41:1;  
45:12; 50:16; 51:11, 21,  
23; 53:22; 54:16, 21, 23;  
55:17; 58:19, 25; 59:11;  
60:9; 63:11, 24; 64:6;  
83:12; 84:5; 86:16; 87:25;  
102:24; 103:20, 24; 110:8,  
15; 111:2; 112:3; 114:22;  
117:3; 135:19; 136:3;  
139:9; 147:13; 150:20;  
152:12; 154:4; 157:4, 23;  
159:14; 161:24; 164:17,  
23; 167:22; 168:23;  
173:10, 18  
**relapsing** 109:14  
**relate** 77:5; 79:18;  
123:16; 131:9  
**related** 24:11; 50:12;  
55:8; 57:17; 69:15; 71:6;  
90:21, 24; 97:18; 122:22;  
137:14  
**relates** 60:1; 61:3; 63:4;  
64:17; 65:2; 68:8; 69:7;  
71:17; 72:8; 74:11; 78:14,  
20; 79:3; 81:12; 86:18;  
88:22; 90:19; 91:20;  
92:23; 95:15; 96:13; 98:9;  
99:1; 101:14  
**relating** 45:5; 69:20  
**relation** 95:18  
**relationship** 10:12; 45:3;  
55:4; 76:14; 77:10, 11  
**relationships** 89:24  
**relative** 80:17; 112:17  
**relatively** 46:21; 100:5;  
112:4; 119:11; 122:1;  
137:25  
**release** 64:19; 91:2  
**released** 9:10  
**relevant** 38:6; 44:18;  
70:4; 73:2; 95:3; 170:24  
**rely** 118:17

**relying** 14:11  
**remain** 105:1  
**remained** 64:16  
**remaining** 108:12;  
113:10  
**remains** 27:24; 64:8;  
94:21  
**Remarkably** 57:5  
**Remarks** 4:2; 8:15; 43:12  
**Remember** 75:4, 20;  
76:8; 81:4; 86:21; 107:11;  
111:15; 119:10; 120:20;  
153:20; 166:21  
**remembering** 19:13  
**remind** 141:10; 166:3  
**remission** 10:6; 12:16;  
13:8, 21; 14:25; 15:9, 24;  
16:11; 17:25; 18:20; 19:3,  
20, 22; 25:13; 26:8; 28:10;  
29:3; 31:18, 21; 32:3, 15,  
16, 18, 24; 33:7, 8, 8, 22,  
24; 34:2, 5, 7, 8, 9, 14, 16,  
18, 20, 21, 22, 25; 35:1, 2,  
5, 9, 11, 12, 13; 36:8, 12,  
19, 21; 37:16; 38:16; 39:6,  
9, 18; 40:8; 41:9, 21, 23,  
25; 42:4, 4, 11, 12; 43:5,  
20; 44:1, 9; 46:15; 50:15;  
52:16, 17; 54:14; 55:6;  
56:8, 13, 20, 21; 57:24, 24;  
59:3; 61:13, 20; 62:4, 7,  
19; 63:22, 23; 64:3, 5, 7, 9;  
66:19; 67:6; 69:12, 23;  
70:7, 9; 73:5, 9; 74:20, 20;  
75:1, 15, 20; 77:6; 78:12,  
15; 81:8, 8, 14; 82:20;  
84:9, 11, 12; 86:12; 87:1,  
5, 14, 19; 92:5; 93:7, 15;  
94:8, 13; 95:9; 98:16, 17;  
99:3, 19, 20, 21; 101:12;  
102:17; 105:2; 106:3;  
107:7; 108:20; 110:17;  
111:22, 23; 112:5; 117:2;  
120:18; 138:25; 139:2, 4,  
7, 10, 14; 141:21; 148:18;  
149:2, 17, 17; 158:1, 2  
**remission-inducing**  
41:11, 14; 42:6  
**remissions** 15:5; 16:3;  
18:22; 63:14; 67:2, 15;  
68:23; 75:14; 86:10, 15;  
87:21; 104:12; 107:9, 10,  
19; 145:2  
**removal** 21:16  
**renal** 16:25; 106:4  
**rep** 5:8  
**repeat** 69:14; 101:13  
**repeated** 106:8  
**repetitively** 143:20, 24  
**report** 44:18; 51:12;  
61:24; 66:8; 67:24; 126:11  
**reported** 6:1, 4; 15:24;  
16:7, 14; 19:20; 34:11;  
38:15; 39:6; 40:1, 13, 18,  
19, 22; 42:4; 44:22; 45:16,  
25; 48:19; 49:9; 50:24;  
51:13; 52:8; 56:23; 57:19;  
73:4; 82:5, 17; 83:5, 11;

105:10; 111:1, 17, 18;  
112:2, 3, 7, 8; 114:21;  
115:2, 5; 117:18, 21;  
118:5; 147:15, 19; 150:2  
**reporting** 122:12  
**reports** 16:10; 17:20;  
115:9, 18; 117:13, 25;  
129:17  
**represent** 15:10; 18:24;  
19:4; 86:2; 97:17  
**representative** 4:12;  
16:6; 55:17; 121:24  
**represented** 39:11;  
68:16; 97:18  
**representing** 57:7  
**represents** 26:4; 72:4, 5;  
123:18  
**request** 6:12  
**requested** 101:23;  
106:12  
**require** 14:12; 18:13;  
30:13; 48:2; 57:7; 58:7;  
95:12; 98:23; 104:20;  
119:20, 23; 126:21;  
172:12  
**required** 29:18; 41:23;  
51:4; 65:7; 92:15; 95:16;  
107:12; 131:10; 133:20;  
134:5; 141:3  
**requirements** 18:15  
**requirements** 29:6;  
31:21; 58:11  
**requires** 39:1; 113:5  
**Research** 5:5; 6:3; 8:2;  
11:19, 22; 12:3; 14:21;  
17:7; 22:14; 40:25; 54:7,  
22  
**resident** 132:2  
**residual** 30:23; 67:6  
**resistance** 98:10, 13  
**resistant** 164:10  
**resolve** 169:18  
**resolved** 82:18  
**respect** 7:5; 88:21  
**respectively** 17:3  
**respond** 22:3; 59:24;  
60:15, 16  
**responded** 56:11  
**responders** 30:2; 36:25;  
74:7; 81:23; 93:19; 94:5;  
110:9; 126:9, 11; 148:7, 8  
**responding** 62:23;  
70:16; 86:24  
**response** 7:16; 16:1, 10,  
15, 16; 17:8, 15; 18:12;  
19:10, 11, 25; 26:12; 31:3;  
50:4, 7, 21; 56:5; 63:10;  
68:2, 9, 13; 70:13; 74:8;  
76:15; 85:11; 86:6; 89:12,  
16; 93:20; 94:25; 104:7, 9,  
16, 17; 105:2; 106:25, 25;  
108:21, 22, 25; 109:4, 6;  
110:15, 17; 111:1, 8, 11,  
17, 21; 112:3, 6; 113:1, 10;  
122:8; 124:20, 22; 125:17,  
18, 24, 25; 126:5, 16;

127:7; 130:25; 131:21;  
134:4; 141:12; 142:8;  
143:5; 144:5, 6, 13;  
145:10; 147:13, 18, 21;  
150:2; 153:5; 155:4, 12;  
158:16; 159:18, 20; 162:7;  
171:1, 2; 172:17, 18  
**responses** 22:6; 24:6;  
26:24; 50:11, 19; 70:17;  
76:14; 86:7; 104:14;  
106:20; 113:6; 132:12;  
145:4, 7, 22; 168:9; 171:5,  
24; 172:21  
**rest** 173:13  
**restricted** 17:10; 60:21;  
119:19  
**restrictive** 142:16  
**resubmission** 119:21,  
24  
**result** 9:12; 21:23; 34:7;  
37:23; 56:2; 58:5; 86:1;  
89:25; 165:19  
**resulted** 89:15  
**results** 12:17; 14:19;  
15:25; 16:8; 17:10; 18:18;  
22:17, 25; 23:4, 18; 25:10,  
18; 31:22; 33:6; 34:11;  
35:14, 23; 36:6; 37:1;  
39:25; 40:24; 48:24, 25;  
49:10; 55:19; 62:14; 86:2;  
102:10; 108:18, 23; 109:3,  
8; 112:1, 8; 118:13;  
122:12; 141:2; 154:13, 21;  
155:24; 160:12  
**retreatment** 63:24  
**retrospective** 38:22;  
40:14; 126:23; 161:10  
**return** 85:1  
**reversible** 57:17; 59:7;  
113:18; 116:6; 118:4  
**review** 8:4; 11:24; 12:3;  
17:6; 37:24; 38:2, 3, 7, 10,  
13, 21; 39:13, 16; 44:7;  
51:17; 53:25; 56:15; 72:2;  
73:4; 102:23; 104:24;  
105:15; 106:9; 109:16;  
120:2, 5; 126:23; 151:9  
**reviewed** 107:15;  
123:22; 124:3; 128:25  
**reviewer's** 116:11  
**reviews** 161:10  
**reword** 152:20  
**Rich** 93:9; 169:4  
**Richard** 4:21, 25; 5:14;  
6:8, 15; 100:24; 146:25  
**Right** 71:24; 74:16;  
78:20; 87:15; 96:16;  
99:17; 122:19; 139:4, 15;  
146:3, 14; 148:3, 4;  
150:17; 153:12; 161:3  
**right-hand** 35:8  
**risk** 61:11; 62:20, 22;  
113:14, 14; 115:1, 4;  
116:25; 117:18; 118:1, 19;  
163:22; 164:9  
**risk/benefit** 12:4  
**rituximab** 141:11, 12, 16

**Rochester** 32:1  
**role** 55:20; 136:3; 156:17  
**Room** 6:13; 165:21  
**rose** 128:16  
**rough** 140:6  
**round** 95:1; 142:12  
**routine** 51:10; 61:17;  
62:2, 8, 13  
**row** 158:6  
**rubric** 170:5  
**rule** 170:16  
**rules** 123:16  
**run** 74:18

**S**

**safe** 8:9; 12:8; 53:22;  
56:12; 59:8; 119:2  
**safely** 59:5  
**safety** 10:1; 11:25; 12:16  
21:23; 22:17; 24:6, 9;  
26:24; 28:12; 37:25;  
44:14, 16; 52:24; 53:2, 6;  
55:2; 57:1; 58:9; 101:16,  
18; 104:5; 105:9, 11, 22;  
106:24; 113:12, 12;  
114:20; 117:13; 118:18;  
119:8; 122:18; 131:13, 17  
151:5, 13, 15, 16, 20;  
152:7, 11; 157:3, 22;  
161:15, 16, 16, 17; 164:1  
21, 25; 165:11; 168:19;  
173:8, 16  
**salvage** 47:7; 81:9, 10;  
105:4, 12; 111:2; 114:21;  
115:6, 10, 14; 117:8, 14,  
25; 118:13, 15; 121:17;  
150:21; 151:13, 21  
**same** 27:22; 29:22;  
33:17; 35:9; 45:25; 52:24  
66:22; 73:7; 88:15; 107:9  
112:12; 117:21; 132:23,  
25; 133:18, 24; 140:18;  
164:2; 165:7; 169:9  
**sample** 110:3  
**samples** 98:12  
**SANTANA** 5:4, 4; 6:9;  
67:21, 22; 68:17; 100:25;  
101:1; 151:15; 164:24;  
165:5  
**satisfactorily** 147:18;  
150:1  
**satisfactory** 110:23  
**satisfy** 12:22; 73:13, 18  
**saturation** 24:7; 25:22,  
25; 26:2, 4, 5, 10, 11, 15,  
17, 20, 25; 88:11, 13, 20;  
89:8, 9; 106:11  
**saw** 66:18; 89:11; 136:5  
143:21  
**saying** 123:21; 127:6, 8  
139:6; 140:4; 147:4;  
165:2; 168:9; 171:11  
**scale** 154:8; 172:17  
**scatter** 154:22

**schedule** 23:19; 27:3, 11; 55:8  
**SCHILSKY** 4:3, 25, 25; 7:6, 8, 15, 21; 7:10, 17; 9:13; 60:17; 64:22; 65:19; 67:21; 69:5; 74:1; 77:13; 78:3; 80:3; 82:3; 85:12; 87:3, 11; 88:4, 23; 89:18; 90:11; 91:5; 92:6, 11, 21; 95:18; 97:10; 98:18; 99:9; 100:23; 101:19, 22; 102:19; 120:10, 25; 121:6; 122:20; 125:8; 128:18; 129:5; 130:14; 131:1; 132:16; 133:9, 25; 134:14; 135:1, 14; 136:10, 23; 138:2, 9, 15, 22; 139:6, 17; 140:20; 141:18, 23; 142:14; 143:14, 25; 144:18; 146:2, 9, 12, 16, 21; 147:1, 8, 12; 148:10, 22; 149:24; 150:6, 9, 11, 17, 25; 151:3, 18, 24; 152:2, 5, 9, 23; 153:2, 13; 156:3, 18, 25; 157:9, 12, 15; 159:13, 23; 161:1, 7, 23; 162:3; 163:3, 15; 164:13; 165:2, 10; 166:3, 7, 15; 167:8, 19; 168:7, 14, 22; 169:3, 8; 170:11; 171:8; 173:4, 15, 21, 24; 174:4  
**School** 32:1  
**Scott** 4:9; 6:9; 149:6  
**Scott's** 128:19  
**se** 131:6; 169:23  
**search** 38:5, 8  
**searched** 38:11  
**Seattle** 62:4  
**second** 13:21; 14:15, 25; 15:4; 28:12; 30:22, 23; 39:7; 41:25; 46:22; 50:17; 64:2, 3, 4; 67:17; 72:2; 75:18; 76:11; 80:15; 81:9, 10, 15, 15, 20; 84:11; 95:12; 99:20; 100:102:4; 128:3; 141:21; 147:16; 164:10  
**secondary** 29:8; 59:18, 23; 60:3, 10; 72:14  
**Secondly** 100:9, 20  
**Secretary** 5:3  
**seeing** 86:11; 121:19; 130:6; 147:25  
**seeking** 103:19  
**seem** 88:9; 100:6  
**seemed** 137:25  
**seems** 18:3; 84:10, 15; 128:19; 131:5, 8, 23; 134:11; 138:18; 140:3; 168:1; 169:24; 172:19  
**seldom** 120:24  
**Selected** 24:4; 38:9, 13, 17, 20; 70:24; 88:8; 160:14; 161:11  
**selection** 10:22; 17:14; 25:23; 74:6; 88:6, 10, 23; 121:23; 122:2, 5, 9

**selective** 17:20; 161:13  
**sense** 76:6, 20; 125:4, 23; 126:6; 127:23; 142:3; 149:18; 153:6; 160:22; 167:15, 18, 19; 170:9  
**sensing** 165:15  
**separately** 45:7  
**sepsis** 49:6  
**septic** 162:14  
**sequence** 32:4  
**series** 126:1; 133:21; 134:9, 19  
**serious** 11:20; 44:23; 53:10; 54:10; 99:6  
**seriously** 12:23  
**seriousness** 10:17  
**serum** 90:20  
**served** 100:10  
**Service** 4:23  
**session** 101:25  
**set** 155:19  
**setting** 12:5; 55:9; 59:6; 67:5, 11; 92:9; 136:7; 139:19; 148:13; 155:24  
**settings** 99:12  
**settle** 14:9  
**seven** 16:16; 51:2; 98:21; 117:15; 146:9, 21; 157:12, 16  
**several** 14:8; 24:15; 28:6; 29:5; 53:10; 72:4; 75:19; 79:8; 83:9; 88:24; 97:1; 104:24; 111:2, 8; 114:20; 118:5; 119:14; 136:11  
**severe** 10:6, 7; 12:17, 17; 13:25; 16:24; 23:1; 24:19; 25:4, 6; 45:18, 18; 46:5, 10; 48:5, 10, 11, 16, 17, 19, 23; 49:3, 4, 5, 8, 11, 12; 53:3, 11, 12, 14; 54:20; 57:3, 20; 115:12; 117:17  
**shape** 97:8  
**Sherman** 12:2, 5; 53:25; 54:3, 6; 59:13; 60:1, 20; 61:3, 17, 22; 62:16; 63:4; 64:17; 65:2, 24; 67:17; 68:7; 69:1, 14, 24; 71:3; 74:10; 86:18; 87:7, 15, 24; 88:22; 90:5, 19; 91:20; 92:8, 18; 95:15, 21; 96:4, 13, 17; 97:16, 21; 98:9; 99:1; 101:13; 102:1, 4; 121:2  
**shipped** 64:15  
**short** 15:16; 19:22; 77:22; 129:9; 171:3  
**shorter** 34:7; 35:1; 106:3; 111:23  
**shortly** 24:14; 27:19; 31:21; 34:9; 35:22; 43:18; 83:13; 90:6  
**shortness** 50:13  
**show** 23:5; 33:19; 34:9; 35:3, 21; 36:6; 56:4; 58:7; 62:16, 19; 63:3, 5, 15; 70:8; 72:24; 74:22, 24;

75:17; 76:3; 78:22; 79:16; 80:12, 14, 18, 19, 20; 82:12; 85:6; 86:10; 96:21; 122:19; 128:4; 129:22; 146:8, 11, 20; 150:5, 8, 16, 24; 151:2, 23; 152:1, 4; 157:8, 11, 14; 173:20, 23; 174:3  
**showed** 60:24; 80:6; 88:8; 89:21; 92:25; 109:4; 121:22; 144:12; 167:1; 170:10  
**showing** 76:4; 145:13; 147:13  
**Shown** 14:19; 16:6; 18:18; 19:8; 26:19; 27:22; 28:2; 33:3; 34:24; 37:12; 47:20; 52:5; 53:1; 61:8; 90:13; 103:21; 131:9; 150:20; 151:6; 154:6; 155:18; 172:16  
**shows** 26:2, 16; 27:17; 35:17; 46:13; 60:20; 65:12, 14; 70:11; 77:4; 97:3  
**sibling** 14:7; 94:2  
**sick** 162:15  
**SICKELS** 7:24; 8:1; 43:11  
**side** 22:2, 8; 23:1; 53:10; 55:8; 59:6; 66:15; 130:20; 166:19  
**significance** 20:13  
**significant** 10:21; 17:19; 24:24; 35:3; 81:2; 89:11; 97:7; 105:9; 110:15; 116:4; 118:3; 130:2; 145:19  
**significantly** 36:18; 37:4; 109:5; 117:7; 154:14  
**similar** 11:8; 24:11; 32:7; 34:5, 15, 17; 36:8, 16; 37:2; 39:25; 42:7; 43:20; 48:5; 52:18; 53:2; 57:21; 58:9; 93:1; 109:4, 18; 115:1; 117:18; 141:11; 143:6  
**similarly** 136:14  
**SIMON** 4:21, 21; 85:12, 13; 87:20; 110:23; 122:3; 133:10, 11; 140:1, 11; 148:10, 11; 154:15; 155:9, 19; 160:5; 170:25; 171:17; 172:2, 9, 12, 19  
**single** 8:8; 10:23; 12:15; 15:19; 22:5; 23:5; 30:10; 34:10; 38:17; 53:7; 54:22; 55:5, 15, 20; 59:2; 66:1; 85:17; 106:7; 110:22; 117:4; 119:12; 133:13; 149:15; 155:17  
**single-agent** 39:2  
**sit** 93:17  
**site** 9:11; 24:7; 25:22; 26:15, 20, 25; 32:3; 64:15; 106:20  
**sites** 25:25; 26:5, 10; 61:23; 65:3, 13  
**situation** 13:18; 133:17;

144:15; 164:3  
**situations** 144:9  
**six** 16:11; 25:6; 31:8; 32:18; 40:3; 50:16; 63:23; 79:15; 124:10  
**Sixteen** 34:3  
**sixth** 115:25  
**size** 110:3; 140:13  
**Sledge** 6:10; 74:1, 2, 16; 75:13; 76:11; 122:20, 21; 124:9; 141:23, 24; 142:17; 147:3  
**Sledge's** 78:4; 86:9  
**Slide** 7:25; 8:13, 17; 10:4, 9, 11, 14; 11:1, 2, 16; 12:7; 13:4, 16; 14:18; 15:1, 18; 17:16; 18:17; 19:7, 16; 20:5, 20; 22:20; 23:8, 17; 24:3, 8; 25:9, 21; 26:2, 13; 27:1, 13; 28:7, 14, 21; 29:4, 15; 30:8; 31:6, 13, 14; 32:5, 20; 33:5, 25; 34:13, 23; 35:7, 15, 17; 36:1, 10, 23; 37:11, 18; 38:19; 39:4, 23; 40:23; 41:16; 42:16; 43:10, 19, 24; 44:12, 21; 45:20; 46:6; 47:20; 48:8; 49:1, 14; 50:2, 23; 52:1, 23; 53:5; 54:4, 9, 24; 55:13, 25; 56:14, 25; 57:22; 58:17; 60:25; 62:17, 18; 63:16, 17; 65:10, 11; 70:9, 10, 18; 72:24; 73:1; 74:22, 23; 76:2; 79:16, 17; 80:19, 22; 82:13, 14; 85:2, 3; 86:10, 21, 22; 89:21; 95:12; 96:6, 17, 18; 102:6, 7, 9, 14, 15; 103:3, 10, 16; 104:10, 23; 105:13, 19, 25; 106:6, 23; 107:6, 14; 108:16; 109:2, 9, 15; 110:2, 13, 19; 111:6, 20; 112:20; 113:11, 16, 22; 114:3, 18; 115:8, 15, 22; 116:7, 16; 117:11, 23; 118:11; 119:9; 120:1; 128:10; 129:22, 23; 167:1  
**slides** 32:4; 74:3; 90:13; 92:25; 102:25; 120:4, 8; 131:9; 147:24  
**slightly** 13:7; 145:16  
**Sloan-Kettering** 4:18, 24; 66:21  
**sloughs** 162:12  
**slower** 47:7  
**small** 16:1; 17:11; 23:14; 35:2; 36:16; 80:17; 81:3; 84:22; 96:9, 12, 20, 23; 97:9; 109:22; 110:3, 10, 22; 119:11; 132:5; 135:22; 143:12; 145:18; 149:3  
**smaller** 73:16  
**so-called** 118:2  
**solid** 81:19  
**somebody** 124:6; 143:20; 170:22  
**somehow** 59:24; 68:23; 160:10

**somehow**—l 59:21  
**someone** 91:12; 138:23  
**Somers** 5:2, 18  
**sometimes** 47:5; 116:10; 121:11  
**somewhat** 15:3; 46:13; 73:16; 79:18; 88:15; 93:22; 106:3; 108:25; 130:20  
**somewhere** 125:1  
**sooner** 109:14  
**sorry** 78:10; 79:2; 125:22  
**sorry—the** 81:15  
**sort** 15:12; 86:5; 87:20; 123:10, 24; 134:8, 20, 21; 137:1; 154:22  
**sorts** 83:22  
**sounded** 142:20  
**sounds** 85:25; 142:25  
**source** 94:19  
**South** 169:22  
**speak** 162:23; 167:21  
**Speaking** 24:9; 134:1; 143:3; 163:15  
**specific** 9:12; 31:20; 39:19; 45:6; 75:17; 86:8; 111:4; 122:11; 159:1, 2; 167:7  
**specifically** 8:11; 9:3; 12:21; 53:9; 61:12; 103:24; 124:9; 131:4; 135:2; 163:25  
**specified** 120:14  
**spectrum** 100:22  
**spend** 85:19  
**spent** 50:25  
**spleen** 9:17  
**Sponsor** 7:22; 101:23; 103:18, 25; 104:4, 20; 105:4; 108:6; 109:10; 120:23, 25; 121:12; 122:17; 127:20; 128:1; 133:2; 141:3; 153:23; 158:19; 163:19; 166:17  
**sponsor's** 7:18  
**sponsored** 127:21  
**St** 5:5; 127:9  
**stability** 64:13, 18  
**stable** 64:14, 16, 19  
**Stacy** 4:15  
**stage** 172:11  
**staining** 30:6  
**standard** 15:23; 37:19; 54:23; 85:18, 24; 90:7; 98:6; 106:17; 117:16; 123:11, 14, 17; 124:4; 135:13; 137:4, 9, 15; 148:16; 156:2  
**standardization** 127:21  
**standards** 155:19, 24  
**standpoint** 95:8, 8; 123:11  
**stands** 124:20, 23  
**start** 29:8; 31:4; 125:6;

131:20, 21  
**started** 7:19; 45:3  
**starting** 132:23  
**starts** 157:18  
**state** 135:4  
**state-of-the-art** 13:10  
**stated** 126:7; 135:3  
**Statement** 5:19, 20;  
 95:16; 97:25; 144:23;  
 156:14, 25  
**statements** 6:11  
**States** 28:17; 29:21; 70:6  
**statistic** 87:15  
**statistical** 114:9  
**statistically** 97:6; 113:3;  
 114:8; 130:2; 145:19  
**statistician** 120:3;  
 139:20  
**status** 10:16; 31:10; 32:3;  
 73:22  
**stayed** 128:7  
**stays** 53:15  
**stem** 9:8; 13:20; 20:15,  
 21, 25; 21:16, 17; 29:11;  
 36:13, 25; 60:22; 75:8;  
 79:20; 94:19; 108:2, 9;  
 116:13; 129:16; 139:2;  
 145:6  
**still** 35:25; 67:23; 76:5,  
 22; 91:5; 97:13, 17, 19;  
 100:14; 104:6; 105:1, 18;  
 107:12; 108:11; 109:17;  
 113:9; 134:16; 162:16;  
 169:5  
**stopping** 139:24  
**straight** 136:8; 138:6, 21  
**Strikingly** 51:4  
**strongly** 76:21  
**struck** 67:24; 162:10  
**structure** 8:15  
**struggle** 133:12  
**studied** 19:24; 21:7;  
 23:24; 27:14; 62:3; 66:23;  
 67:13  
**studies** 11:5, 6, 24, 25;  
 15:7; 16:1, 2, 7, 18; 17:3,  
 9, 13; 18:13; 22:16; 23:4,  
 12; 25:8, 24; 27:3; 28:3, 9,  
 9, 16, 22, 25; 29:19; 30:9;  
 32:7, 9; 34:6, 24; 35:18;  
 37:21; 38:16, 20, 22, 22,  
 23; 39:2, 10, 12; 40:3, 7, 9,  
 11, 12, 14, 16, 18, 22;  
 41:18; 42:2, 9, 13; 43:14;  
 44:2, 10, 15, 23; 45:23;  
 46:13; 47:24; 48:15, 19,  
 22; 49:23; 50:3, 18, 25;  
 52:18; 53:17; 55:15, 17,  
 19; 56:4, 7, 23; 60:2;  
 62:13, 14; 63:9; 66:2, 6, 8,  
 22; 70:1; 71:12, 14; 72:1,  
 17, 22; 73:23; 75:22; 77:7;  
 84:19; 89:15, 17; 90:5, 8,  
 9, 16; 98:13; 104:21;  
 105:14, 16, 17, 21; 106:1;  
 108:6; 111:2, 10; 114:21;

119:17, 21, 22, 25; 121:17;  
 122:15, 16; 127:11; 134:4,  
 21; 135:19, 25; 144:5, 12;  
 145:11; 154:8, 12; 155:17;  
 160:8; 161:9, 11  
**study** 10:24; 11:4, 6, 7, 7,  
 8, 9; 16:7, 10, 13; 17:21,  
 22; 18:13, 13; 19:1; 23:10,  
 13, 14, 18; 24:9; 25:13;  
 28:16, 18, 19; 29:1, 12, 14;  
 30:3; 32:17, 19, 21; 33:6;  
 34:6, 8; 40:19; 41:25; 42:1;  
 45:21; 46:22; 51:14; 52:7;  
 61:4, 6; 62:3; 65:8; 66:12;  
 67:4; 70:5, 12, 19, 22;  
 71:11; 72:10, 15; 73:3, 4,  
 10; 76:24; 77:9, 12; 79:6;  
 89:20; 94:6; 105:15, 21,  
 23; 106:2, 7, 22; 107:11;  
 108:24; 110:4; 113:5;  
 116:12; 127:9, 9; 133:13;  
 138:17; 142:25; 154:19;  
 166:21  
**subclinical** 101:10  
**subgroup** 157:23; 159:2,  
 7; 166:5, 6, 7, 9, 12  
**subgroups** 112:18, 24;  
 113:4, 10; 166:13; 167:5  
**subjected** 119:12  
**submission** 17:8  
**submit** 65:13; 168:8  
**submitted** 5:25; 10:15;  
 105:14  
**submitting** 6:12  
**subpart** 144:24  
**subsequent** 14:2; 63:9;  
 94:14; 104:14; 145:5;  
 152:24  
**subsequently** 63:23;  
 92:15; 154:1  
**subset** 41:2; 110:22;  
 154:16  
**subsets** 34:21; 35:10;  
 141:6; 148:2  
**substantial** 16:19; 67:15;  
 85:19; 96:10; 107:22;  
 121:23; 134:16  
**substantially** 125:19;  
 127:5; 131:23  
**successful** 15:15; 18:20;  
 110:6; 162:22  
**successfully** 84:3  
**suffer** 118:24  
**sufficient** 26:12; 145:20;  
 146:5; 147:6, 9; 151:12,  
 19; 152:11; 157:3, 21;  
 164:15, 20; 165:8, 9, 11;  
 168:18; 170:21; 173:8, 16  
**sufficiently** 114:8;  
 170:19  
**suggest** 37:9; 46:25;  
 51:22; 60:15; 88:19;  
 112:16; 144:19; 153:13;  
 164:13  
**suggested** 24:19; 25:18  
**suggesting** 21:16;  
 26:11; 145:15

**suggests** 58:15  
**suited** 9:15  
**summarize** 154:2  
**summarizing** 89:18  
**summary** 19:17; 43:25;  
 53:6; 117:12; 145:9  
**superior** 15:22; 26:15;  
 131:15  
**support** 8:8; 58:23;  
 109:11; 151:12, 20;  
 152:13; 157:4, 24  
**supported** 25:10, 22  
**supportive** 160:17  
**suppose** 93:3  
**supposed** 170:13, 14  
**suppression** 108:7  
**sure** 38:11; 61:23; 71:21;  
 79:9; 80:12; 95:19; 99:17;  
 106:11; 127:24; 129:19;  
 136:25; 138:10; 148:4;  
 149:20; 155:5; 158:13;  
 159:15; 160:19; 163:8;  
 171:14, 17  
**surely** 125:5; 153:22  
**surface** 9:3, 5; 20:7, 9,  
 18; 29:17; 103:6, 14  
**surrogate** 27:5; 104:7,  
 17, 19; 144:24; 145:8;  
 148:3  
**survival** 15:15; 31:10;  
 35:17, 17, 19, 22; 36:2, 3,  
 7, 9, 14; 37:2, 3, 6, 8, 14;  
 39:17, 24; 40:2, 5, 8, 13,  
 17, 20, 21; 41:17; 42:5, 8,  
 13, 19, 25; 43:7, 21, 21,  
 22; 44:4, 5, 8; 54:11;  
 56:21, 22, 22; 58:12, 12;  
 75:2; 76:3; 77:6; 78:9, 16,  
 22; 79:14, 19, 22; 80:6, 10,  
 13; 85:8, 10; 86:13, 19;  
 87:1; 88:1; 96:8, 14, 25;  
 97:1, 2, 2; 98:16; 99:6;  
 102:11, 12, 13, 17; 105:7;  
 109:11, 13, 16; 110:4, 7,  
 12; 113:2; 129:24; 132:14,  
 14, 17, 24; 133:2, 4;  
 134:17; 135:17, 20, 24;  
 136:12, 14, 22; 137:1, 14,  
 16, 18; 145:14, 16; 172:25  
**survive** 37:10; 86:16;  
 87:5; 164:8  
**survived** 80:2  
**surviving** 35:21  
**survivors** 14:22, 24;  
 74:9; 78:6, 18, 18, 21;  
 79:3, 7, 9, 13; 132:19;  
 138:19  
**susceptible** 108:1  
**suspect** 64:11; 121:25;  
 126:12; 143:21  
**suspicious** 141:24  
**SWOG** 16:13  
**symptomatic** 114:1  
**symptoms** 15:17; 50:12;  
 113:13, 17  
**syndrome** 29:9; 57:18;

59:20; 60:11; 77:15, 22;  
 83:5  
**syndromes** 60:4  
**system** 9:24; 25:24;  
 169:24

---

**T**

---

**table** 4:6; 44:24; 67:25;  
 125:25; 128:23; 145:13;  
 147:12; 151:6, 6; 158:2, 3,  
 5, 6; 167:22; 169:18  
**tables** 157:25  
**talked** 76:17  
**talking** 69:22; 81:3; 84:1;  
 134:23; 144:2; 149:3, 11,  
 23; 171:20  
**target** 21:3; 58:5; 66:12;  
 142:10  
**targeted** 8:22; 9:20;  
 28:13; 29:16; 53:7, 21;  
 154:21; 155:10; 159:7  
**targeting** 21:21; 22:23  
**targets** 9:25; 46:7; 58:21;  
 76:15; 100:18; 103:13  
**TBI** 84:21  
**TBI-containing** 84:18  
**team** 120:3  
**technique** 122:10, 14  
**telling** 142:23; 159:25;  
 167:16  
**tells** 155:25  
**TEMPLE** 5:16, 16; 87:13,  
 18; 123:13; 124:14;  
 138:22, 23; 139:13, 16;  
 144:1, 8; 146:14; 153:4,  
 11, 20; 156:18, 19; 159:15;  
 167:8, 9; 168:5, 8, 25;  
 170:11, 12; 171:4; 173:13  
**Temple's** 141:19; 168:16  
**TEMPLETON-SOMERS**  
 5:2, 21  
**temporizing** 119:4  
**Ten** 150:17; 151:3, 3  
**tend** 167:24  
**tended** 92:3  
**tendency** 124:4  
**tends** 164:10  
**Tennessee** 5:6  
**term** 14:22; 15:17; 33:21,  
 23; 107:7; 108:9; 171:13  
**termed** 107:8  
**terms** 12:16; 37:13;  
 39:24; 59:22; 68:17; 69:3;  
 73:21; 74:8; 76:13, 20;  
 78:15; 80:1; 81:1; 85:21;  
 88:15; 96:5; 99:5, 6;  
 104:25; 105:9; 129:20;  
 134:7; 135:2; 145:22  
**test** 36:6; 61:17; 80:25;  
 96:21  
**tested** 15:20  
**testing** 115:24  
**tests** 49:17; 57:12;

101:17; 118:2  
**theory** 167:13  
**therapeutic** 10:17;  
 103:22, 23; 140:15  
**therapies** 8:11; 11:20;  
 12:21; 34:12; 48:25;  
 51:25; 52:4, 22; 53:11, 18;  
 54:19; 55:10; 58:19;  
 104:15; 131:12, 15, 16, 22,  
 24; 144:10, 11; 145:6;  
 166:11; 170:24  
**therapy** 12:9; 15:15, 16,  
 21; 17:15, 23; 18:5, 21;  
 19:18, 19, 24; 20:3; 21:22;  
 23:3; 28:11, 13; 29:8, 16;  
 31:12; 32:22, 23; 34:19;  
 36:22; 37:17, 19; 39:1, 3;  
 41:13, 13, 14, 20; 42:6;  
 44:11; 45:3, 16, 17; 46:24;  
 47:6, 7; 48:9; 52:2; 53:7,  
 22; 56:12; 61:2; 68:23;  
 74:4, 14, 18, 25; 75:4, 12,  
 13; 76:13; 79:4, 5, 8; 80:7,  
 11, 14, 24; 81:7, 13, 15,  
 18, 21, 23; 82:1; 84:20;  
 85:11, 11, 18, 24, 24; 86:1,  
 3, 3, 20, 25; 87:8, 10, 12,  
 13, 19, 25; 98:6; 105:8;  
 106:13; 110:5; 112:23;  
 121:17; 123:14, 18, 19, 21;  
 125:1; 128:17; 130:19;  
 132:7; 134:18; 137:2, 4;  
 138:5, 12, 13, 25; 142:19,  
 21, 22, 25; 143:2, 4, 5, 17;  
 156:2; 159:7, 9; 160:15,  
 18; 164:2; 166:13; 167:15;  
 168:12; 172:8; 173:2  
**Therefore** 6:22; 9:19;  
 25:7; 26:22; 42:10; 45:13;  
 46:9; 48:24; 50:20; 51:17;  
 73:21, 24; 108:22; 134:8;  
 141:2; 145:7  
**think-and** 96:6  
**thinking** 154:16; 159:21;  
 161:12  
**third** 30:21, 24; 39:16;  
 64:6; 89:4; 119:23; 166:21  
**third-line** 87:21  
**Thirdly** 148:14  
**though** 42:10; 86:8;  
 91:25; 93:19; 137:17;  
 139:13; 140:22; 152:6  
**thought** 77:9; 86:11;  
 91:15; 110:16; 111:3;  
 116:4; 129:6; 149:6;  
 163:17  
**three** 8:21; 11:4, 6, 14,  
 25; 14:12; 16:5, 12, 17;  
 23:4, 12; 25:7; 28:15, 25;  
 29:3; 31:8; 32:6, 16, 18;  
 35:18; 37:8; 39:5; 41:6;  
 54:12; 55:15; 69:18; 82:5;  
 102:25; 105:16; 116:19;  
 141:17; 149:21, 22, 22;  
 150:25; 151:3, 7; 152:2  
**three-month** 43:13, 15,  
 23; 52:25  
**thrombocytopenia**  
 46:11; 101:6; 129:15

throughout 10:13  
thrust 154:12  
tus 15:22; 55:3; 75:6  
194:24  
times 28:5; 30:4; 85:23  
tipped 148:3  
tissues 20:16; 58:22  
today 8:7; 10:5; 11:13;  
12:15; 21:20; 22:21; 54:8;  
55:1; 63:12; 122:2;  
140:12; 145:10; 159:6;  
161:2  
today's 11:17  
together 37:16; 51:22;  
131:20; 135:18; 136:6;  
153:7; 167:18; 169:1  
told 121:10; 156:19;  
167:11  
tolerate 55:24; 100:21;  
111:16; 118:25; 160:14;  
167:24  
tolerated 66:17  
tool 22:9  
top 26:20  
topic 100:17  
total 11:11, 15; 12:13;  
23:9; 27:14, 21; 36:8;  
43:14; 75:2; 76:3; 87:1;  
105:24; 149:13  
totalling 105:16  
ward 132:6; 154:11  
xic 20:3; 108:1; 143:7;  
160:1; 164:2; 166:25  
toxicities 13:25; 16:19;  
54:20; 81:10; 84:2; 101:9;  
108:9; 137:20; 162:16  
toxicity 17:1; 20:2; 44:24;  
45:11, 19; 55:12; 57:10;  
59:3; 68:22; 77:18; 89:22,  
24, 25; 90:2, 16, 18, 21,  
24; 100:22; 113:15, 15;  
115:16; 129:16; 138:7, 7;  
139:25; 140:9, 17; 143:23;  
151:9; 154:10, 11; 156:6,  
7, 16; 160:1; 162:6, 6, 15;  
163:7; 168:2  
toxin 90:14; 103:5, 7  
toxins 28:3  
TPN 162:14  
trace 66:11  
tracked 27:16  
tract 48:11; 162:12  
trade 162:6; 163:11  
traded 162:16  
tradeoff 162:17  
traditional 93:20; 136:18  
transaminase 49:16, 22;  
116:1  
transaminases 49:19,  
transfer 14:9  
transfused 114:12  
transfusion 31:20;  
47:11, 13; 58:3, 11; 91:9,  
12, 13, 16, 17, 18, 21, 24;

92:9, 12, 14, 16, 19; 95:22;  
107:4, 5, 13; 114:16;  
128:15; 143:11  
transfusions 33:17;  
48:3, 3; 58:8; 91:14; 92:7,  
15, 20; 95:7, 13, 17; 96:1;  
98:24; 114:14; 128:8  
transient 24:13; 45:22;  
46:1, 4; 49:25; 66:17;  
116:5, 12  
transiently 114:1  
translocation 62:10  
transplant 13:22, 23;  
14:2, 4, 6, 8, 9, 14; 15:3;  
36:20; 37:1, 4; 66:14;  
74:14; 75:7, 9, 10; 79:7,  
13, 22; 80:2; 82:7, 12, 16;  
83:2, 3, 12, 13, 18, 19;  
84:2; 94:2, 7, 18; 98:21,  
23, 25; 99:23; 100:5, 7, 19;  
110:6, 7, 11; 116:22, 24;  
117:3; 118:6, 8, 10, 21, 22;  
119:3; 132:8; 133:5;  
135:23, 24; 136:16, 21, 22,  
24; 137:12, 13, 15, 20, 22,  
25; 138:5, 6, 12, 14, 16,  
21; 139:1, 4, 8, 11, 14;  
143:18; 165:25; 173:3  
transplant-l 136:13  
transplantation 13:20;  
14:16; 29:11; 36:13, 17,  
17; 37:1, 3, 6, 15; 55:22;  
56:22; 58:13; 79:20; 97:3;  
99:2, 8; 104:15; 116:13;  
143:19; 145:7  
transplanted 14:22, 25;  
110:10; 116:20; 117:1  
transplants 14:20; 78:7,  
25; 79:4; 93:16; 138:4, 19  
treachery 124:16;  
125:11  
treat 11:20; 57:21; 91:13;  
123:4; 142:4; 166:9; 172:3  
treated 10:6; 12:15, 18;  
16:20; 17:23; 39:24;  
42:20, 22; 43:2, 6, 8;  
52:12, 18, 21; 55:5, 11;  
57:4, 23; 59:2; 61:10, 25;  
63:18; 64:7, 9; 67:8; 69:21;  
70:24, 25; 71:1, 8; 72:6;  
93:23; 111:23; 114:24;  
115:20, 21; 117:8; 126:25;  
127:2; 129:21; 130:7, 17,  
18; 135:7; 141:12; 143:20;  
148:18; 156:12; 160:11  
treating 8:11; 10:18;  
12:21; 95:8; 123:5, 6;  
142:2; 163:7  
treatment 8:5, 9; 9:15;  
12:9, 13; 13:17; 15:2, 14;  
17:17; 20:13; 21:7, 10, 13;  
22:10; 23:1, 7; 31:4, 17;  
35:14; 38:4; 41:11; 44:20,  
25; 45:10; 46:19; 47:25;  
48:4; 49:9; 51:1, 3, 11, 13,  
23; 53:18; 54:21; 55:21;  
57:8; 58:19, 24; 59:10, 23,  
25; 61:16; 63:2, 19; 74:21;  
75:22; 87:21, 22, 23; 98:5;

8; 103:11; 108:17; 116:18,  
19, 20; 119:5; 130:22;  
138:14; 143:24; 161:24;  
171:19  
treatment-can 129:22  
treatment-associated  
17:2  
Treatment-emergent  
45:2  
treatments 30:13; 71:7;  
75:24; 76:9; 104:1, 6;  
105:12; 113:7; 154:24  
trend 114:16; 132:6  
trial 17:22; 19:9; 23:22;  
41:1; 50:10; 64:25; 88:25;  
89:2; 98:11; 107:21;  
112:2, 7, 10, 14; 114:1;  
115:7; 118:14; 122:8;  
136:3; 139:19, 21, 24;  
140:10, 19; 141:16; 172:7  
trials 8:18; 17:11; 18:7;  
23:19; 24:5; 26:23; 41:6;  
43:3; 50:6; 52:4; 59:20;  
60:6, 13; 85:17; 88:19, 23;  
93:4, 6; 107:1, 15, 21;  
108:24; 110:22; 111:19;  
112:1, 19, 22; 115:24;  
118:16; 119:11, 12, 24;  
121:22, 24, 25; 126:4, 8,  
10, 10, 13, 17, 22; 139:22;  
140:7; 147:25; 156:2  
triangles 28:4  
tried 125:10; 140:25  
trigger 92:9; 95:24  
trilineage 41:24  
troubled 169:5  
true 68:6, 23; 156:14;  
161:22  
truly 54:7; 92:16, 19  
trust 148:12  
try 79:10; 90:3  
trying 13:25; 66:12; 86:3;  
92:11; 100:4; 121:18;  
123:19; 148:24, 25; 149:7,  
18; 170:19; 171:4; 172:21  
tumor 77:15, 21; 113:20  
turn 10:10; 12:25; 14:21,  
24; 28:8; 30:9; 34:1; 35:16;  
128:3  
Twelve 38:21; 173:21  
Twenty-eight 31:1; 49:3  
two 4:3; 13:15; 15:25;  
16:6; 17:3; 18:23; 25:1, 8;  
28:12; 29:20; 30:17, 19;  
33:6; 39:2, 21; 40:9; 42:1;  
44:7; 50:10, 21; 56:16, 19;  
63:6; 64:10; 65:6; 67:22;  
68:7, 20, 25; 69:18; 72:24;  
73:11; 77:17, 19; 78:13;  
80:4; 82:10; 86:6; 87:4;  
88:4; 89:3; 95:10; 96:22;  
97:3, 13; 98:18; 100:12,  
17, 18; 101:24; 109:17;  
110:1; 112:12; 113:24;  
116:12, 23; 120:22;  
122:16; 126:22; 130:8;  
131:20; 133:7, 7, 23;

134:3; 136:5; 139:20;  
141:17; 147:25; 148:18;  
149:7; 150:6, 14, 17;  
152:5; 155:14; 157:15, 16,  
25; 164:7; 166:13; 173:25;  
174:5  
two-dose 55:7  
two-hour 12:11; 24:1;  
30:11; 55:7; 77:24; 106:7  
two-month 86:12  
type 141:3; 169:19  
types 53:10  
typographical 158:5

**U**

U.S 8:11; 11:7; 12:21;  
28:19; 70:1  
U.S.C 6:7, 17  
ultimately 9:12; 121:4;  
130:21  
unable 55:24; 81:9; 170:7  
uncomfortable 130:5,  
12  
uncommon 114:8  
unconjugated 66:3;  
115:23  
under 13:13; 95:11;  
144:24; 152:13; 157:5;  
170:4  
undergo 36:13  
understood 135:5  
undetermined 68:1  
undoubtedly 125:2  
unexpected 34:8; 46:10;  
83:3  
unfavorable 56:10;  
164:11  
Unfortunately 15:10, 14;  
54:15; 108:15; 133:1  
unhappy 123:22  
uniform 108:24  
unique 8:15  
United 28:17; 29:21; 70:6  
univariate 77:11  
University 5:1, 10; 31:25;  
41:5; 73:17; 148:6  
unless 69:19; 159:24  
unlikely 76:8  
unmaintained 86:12, 15  
unmet 11:20; 12:23; 59:9  
unmodified 67:4, 8, 14  
unquote 101:10  
unrelated 14:12, 19;  
123:15; 143:19  
unselective 161:14  
unstained 30:5, 7  
unsuccessfully 84:4  
untransfused 107:3  
untreated 38:15; 54:11  
unusual 84:1  
up 24:2; 27:4; 31:12;  
54:20; 69:6, 20; 70:18;

71:4; 80:5, 9; 86:9; 88:12;  
89:6; 93:6; 96:6; 125:10;  
127:20; 130:3; 132:21, 21;  
141:17; 142:18; 143:11,  
18; 150:12; 161:25;  
163:16; 165:5; 166:1;  
169:13; 170:1; 171:6;  
172:21  
update 11:15; 43:13, 15,  
23; 52:25  
Updated 109:3  
updates 105:22  
upfront 94:16, 20  
upon 7:8; 13:18; 112:25;  
153:14; 155:12  
upper 35:8  
urge 81:4  
use 12:9; 23:14; 24:17;  
25:8; 67:23; 83:11; 94:15,  
18; 99:17; 103:24; 119:3;  
120:16, 20, 24, 24; 126:19;  
130:21; 140:3, 14; 141:25;  
142:23; 155:20; 170:14;  
171:13  
used 21:24; 23:6, 18;  
45:4; 57:21; 64:12, 16;  
76:12; 93:11; 100:4;  
106:18; 107:1; 119:2;  
120:15; 127:13; 144:5;  
162:25; 170:2  
useful 22:9; 156:21;  
173:11  
usefulness 156:22  
uses 149:9  
using 21:8; 27:11; 66:10,  
14, 22; 71:20; 72:18;  
83:23; 117:16; 137:15;  
143:10; 156:11; 159:18;  
161:23; 164:3, 25  
usual 83:8  
usually 13:15; 23:1;  
59:22; 107:20; 124:25;  
126:8; 135:20; 170:21;  
172:12  
utility 12:4  
utilize 26:23; 33:21;  
39:21; 137:7  
utilized 29:6, 22; 38:17,  
21

**V**

valid 168:14  
validated 29:21  
value 92:4; 170:15  
values 33:3; 107:3, 16;  
111:5; 126:9  
variability 17:14; 19:23,  
24; 39:8; 106:10  
variable 39:7; 127:18  
variation 70:16  
variations 35:2; 38:24  
varied 19:21; 40:4;  
110:17; 154:24  
variety 111:24; 113:7;

173:5  
various 39:9; 41:14;  
68:13; 70:7; 71:9; 88:12  
vary 18:12  
varying 25:18; 52:15  
vast 13:11; 15:11; 16:21,  
22; 18:9  
vehicle 9:21  
veno 116:25  
veno-occlusive 57:13;  
82:6, 11, 16; 116:8, 14, 21;  
117:5, 6; 118:5, 9, 20  
versus 68:6; 80:5, 9;  
96:8; 97:23; 111:1, 21;  
125:17, 25; 132:18; 155:7;  
158:2, 3; 172:7  
Vice 12:2  
Victor 5:4; 6:9  
Vienna 73:17  
view 99:15; 128:25;  
133:11; 171:2  
viewed 104:17; 145:8  
Virtually 14:21; 30:7;  
75:15  
vitro 21:7; 108:7  
VOD 82:18; 83:1, 1, 7, 7,  
14, 15, 18, 23; 84:17, 19;  
101:7, 10, 15; 116:12;  
137:13, 24  
VOD's 101:11  
vomiting 45:9; 57:20;  
115:16  
Vote 131:2; 145:25;  
146:3, 10; 148:11; 150:4,  
7, 23; 151:1, 4, 22, 25;  
153:1, 3, 13, 14; 157:7, 10;  
162:1; 164:13; 165:3, 4;  
167:11; 168:23; 171:9;  
173:6, 18, 22; 174:1  
voted 146:23; 147:4;  
163:3; 166:4; 168:5;  
173:11  
voting 146:18; 150:14

**W**

waited 94:3  
waiver 6:11  
waivers 6:7  
Wales 41:5  
wants 100:15; 166:8  
warrant 118:19  
way 60:14; 81:19; 82:22;  
98:6; 107:9; 135:9;  
141:11; 143:8; 165:7;  
168:15  
ways 63:7; 72:25; 85:21;  
133:15  
weakly 76:22; 102:13  
weapon 162:8  
week 39:2; 47:13; 91:16,  
23; 92:13  
weeks 14:8; 25:5, 6  
weigh 143:13

weight 20:10  
Welcome 4:3  
well-defined 55:2  
well-described 71:18  
well-documented 144:9  
well-intentioned 165:17  
weren't 71:10; 72:1; 75:6;  
134:23; 135:7  
West 169:22  
whack 169:24  
what's 171:12  
whereas 14:23; 18:9;  
19:10; 36:17; 37:3  
Whereupon 174:8  
white 26:6; 29:7; 32:13  
whole 121:25; 123:22;  
154:18; 162:12  
whose 7:7; 17:4  
wide 17:9; 113:7  
widely 19:21; 110:17  
will-that 167:22  
wish 7:8; 125:7  
wishes 7:12  
within 6:17; 9:24; 13:15;  
75:18; 83:24; 89:9;  
135:25; 155:18; 170:18  
without 37:21; 58:21;  
89:22; 92:13; 100:7;  
120:4; 127:1; 128:7;  
171:19; 172:22  
women 140:23  
wonder 128:18, 23  
wondering 87:20; 101:4;  
161:10  
word 172:25  
worded 166:6; 168:18  
words 126:15  
work 112:13; 153:22;  
163:18; 170:23  
working 120:4  
works 167:15  
worse 100:13; 106:5;  
111:24; 130:1; 132:6;  
133:1; 137:3; 156:7; 168:3  
worth 19:13; 166:2; 171:7  
worthwhile 131:6  
wrestling 142:1  
writing 160:10  
written 6:12; 72:15;  
91:22; 153:14  
wrong 162:20  
Wyeth-Ayerst 7:21; 8:2;  
11:13, 23; 12:3; 17:7;  
22:14; 54:7; 126:24

**X**

x 26:17

**Y**

y 26:16

year 13:5; 19:3; 35:21,  
24; 42:5, 8, 25; 43:21;  
78:13; 93:18; 97:14;  
123:9; 137:17  
years 11:9; 13:7, 15;  
16:5, 12, 17; 17:5; 18:23;  
29:1; 32:10; 35:4, 11;  
39:14, 15; 42:24; 43:1;  
52:12, 14; 54:18; 56:8;  
64:10; 71:9; 72:4; 75:5, 9;  
78:13; 106:19; 124:12;  
139:20; 141:17; 159:14;  
163:1; 164:16, 22; 168:22;  
173:9  
yellow 26:9; 27:17;  
106:2; 108:19; 111:25;  
114:25  
York 4:18  
young 15:8; 130:6; 137:7  
younger 13:19; 42:19;  
43:6; 44:3; 55:21; 56:6;  
99:18; 111:7, 12; 123:1;  
169:7  
youngest 32:10

**Z**

Zoloda 123:8

**Lawyer's Notes**

---