

1 influence the labeling, is with the absorption of the
2 drug orally, have very sick patients been looked at?

3 I mean the question for the clinician in
4 the hospital always is: is your intubated patient
5 that's really, really sick able to absorb an oral
6 drug? And usually we just say no and give them
7 intravenous, but do you have data on that?

8 I know data represented on the food, but
9 I don't remember if things like tube feedings were
10 looked at or antacids, calcium, the usual things that
11 have influenced other drugs, and how strong are the
12 data in those subsets?

13 And then the other question relates to
14 that subset of individuals that seems to have low
15 blood levels, and I'm curious. Do you have any
16 information on what's going on with those people? Are
17 they -- presumably they're different metabolically.
18 Is there concern about patients?

19 How low can the levels be in somebody, and
20 is there going to be a subset of patients that needs
21 to be identified with MRSA bacteremia, for example,
22 that would either need to have blood levels

1 determined? How confident are you that everybody
2 that's sick enough is really going to have high enough
3 levels?

4 Because according to the FDA analysis
5 there are individuals that have quite low levels,
6 relatively low levels.

7 DR. TARPLEY: Dr. Jungbluth will --

8 DR. MURRAY: And any reason to think that
9 these, if they are hypermetabolizers, are they the
10 subset that either fail or that get into toxicity
11 problems, LFTs or whatever?

12 DR. TARPLEY: Let us organize a response
13 because there were at least three questions there.

14 DR. MURRAY: Yes.

15 DR. TARPLEY: Thank you.

16 DR. JUNGBLUTH: Okay. I think part of
17 your first question was the oral absorption in sick
18 patients, and we don't have an exact bioavailability
19 study conducted in somebody like this, but we have a
20 large patient population. We've done pharmacokinetics
21 in our Phase II studies, and there's over 600 patients
22 included in that, and they would have received IV and

1 oral.

2 So we think that we have some pretty good
3 data there that would suggest absorption is adequate,
4 and the kinetics in these patients are similar to the
5 profiles we have seen in the healthy volunteer data.

6 And did you have a second part to the
7 absorption question?

8 DR. MURRAY: Any other specifics, two
9 feedings, antacids, acid, anything --

10 DR. JUNGBLUTH: No, nothing specific like
11 that.

12 DR. HAFKIN: To try and further answer
13 that question, we could go through our analysis of
14 response by APACHE score. It's very similar to Dr.
15 Ross' analysis. We also have the analysis of patients
16 on ventilators, and if you look at those lists of the
17 patients that got the drug under controlled Phase III
18 trial, there is not a fall off of efficacy.

19 So although the clinical database is not
20 huge because we only have 2,000 patients in our
21 database, at least for that very sick subset of
22 patients, we have good outcomes.

1 CHAIRMAN RELLER: Dr. Leggett.

2 DR. LEGGETT: Yeah, I think there was a
3 third part to Dr. Murray's question, and then I had a
4 follow-up.

5 DR. TARPLEY: I'm sorry. Could you repeat
6 the third part, please?

7 DR. MURRAY: The subset or the individuals
8 that -- there seems to be a wide splay in the blood
9 levels, and there are some individuals that really
10 fall at a low range of blood levels. Do you know
11 anything more about those? Do you have reason for
12 concern that in very sick patients blood levels -- in
13 some patients blood levels should be obtained because
14 there may be an identifiable subset that has low
15 levels that needs more drug?

16 DR. TARPLEY: Yes. The data that we
17 showed today were at a fixed dose of 400 or 600
18 milligrams twice a day, and you saw that we were able
19 to demonstrate very good efficacy. So we do not feel
20 that it's necessary to monitor blood levels. You've
21 seen the data in the sickest patients, as well, and
22 the efficacy is quite, quite good.

1 CHAIRMAN RELLER: Dr. Wittes had a
2 question.

3 DR. WITTES: Yeah, I want to circle back
4 to 54 and 54(a), to the accounting. This is a two-
5 part question.

6 The first part of it is sort of a confirm
7 and the second is a question. I count 331 patients
8 randomized in all, of whom 145 were in 54(a), 82 in
9 54, and the remainder still on the study. I assume
10 the 145 in 54(a) were the first 145 randomized. I
11 just want to confirm that.

12 And the second is the 82 in 54, are they
13 the next 82 randomized? How did -- when the FDA asked
14 for them, how did they specify which ones were to be
15 looked at?

16 And let me tell you the drift of the
17 question. The question is that as we're comparing the
18 200 to 600, is there a potential for bias in the
19 information that we're seeing.

20 DR. TARPLEY: Dr. Oliphant will start the
21 response, and we'll see if we can satisfy your
22 question

1 DR. OLIPHANT: Slide up, please.

2 First of all, I should say that Dr. Ross'
3 slide which demonstrated the history of these studies
4 was quite accurate, and I think that told part of the
5 story. This slide here may tell the rest hopefully.
6 This is the key activities time line for Study 54(a).

7 On June 20th, Study 54(a) was closed to
8 enrollment, and then July 22nd was our cutoff date for
9 the case report forms that we were able to retrieve
10 for completed patients, and that resulted in 79
11 patients in the 600 milligram group and 66 patients in
12 the 200 milligram group.

13 DR. WITTES: Can I clarify something? Is
14 this -- are those the first 79 and first 66
15 randomized?

16 DR. OLIPHANT: Not necessarily, no.

17 DR. WITTES: Okay.

18 DR. OLIPHANT: And then on August 3rd,
19 1999, we had a teleconference with the FDA to discuss
20 the possibility of submitting the results of these 145
21 patients.

22 Subsequently, on August 19th, the database

1 was closed for Study 54(a). Treatment codes were
2 unblinded, and then on August 30th, we did generate
3 and present unblinded results.

4 Now, may I have the slide for Study 54?
5 Slide up, please.

6 Okay. Now, Study 54 then, which was in
7 effect a continuation, but was considered a separate
8 study, as you see, it began enrollment officially on
9 June 20th, which was the date of cutoff for Study
10 54(a).

11 Now, there were as you see in the
12 footnotes some patients included in Study 54 who were
13 enrolled prior to June 20th because their case report
14 form data were not available at the time that we
15 presented results for Study 54(a).

16 And December 27th, Study 54 was closed to
17 enrollment. At that time we had the 186 patients, and
18 in late December actually it was that we presented the
19 data for those 82 patients to the FDA.

20 DR. WITTES: And then does that mean,
21 again, that those 82 are not necessarily the next
22 consecutive group? Is that right?

1 DR. OLIPHANT: I believe that those 82 are
2 the next consecutive group, yes.

3 DR. WITTES: I guess I'm confused. If
4 those are -- so that you determined by the 82 by date
5 of randomization, but the 54(a) by date of case report
6 form collection? Is that right?

7 DR. OLIPHANT: Well, if we can go back to
8 the previous slide, the 54(a) time line, slide on,
9 please. No, that's still 54. One, twenty-seven, I
10 think. One, twenty-two? Yeah, slide on.

11 Okay. On June 20th, Study 54(a) was
12 closed to enrollment. So patients who were enrolled
13 prior to June 20th and as the second bullet point
14 indicates, patients for whom we were able to retrieve
15 case report form data from the field were included in
16 our presentation of results for 54(a). So that's 145
17 patients.

18 DR. WITTES: Okay. Again, you retrieve
19 when the entire -- that patient is finished, right?

20 DR. OLIPHANT: Correct.

21 DR. WITTES: So if somebody were recruited
22 sort of late, like June 15th, and were still being

1 treated in July, I mean, sort of what I'm trying to
2 get at, it seems to me there's a potential for a
3 length bias in the last group of patients captured by
4 the case report forms, and I think we need a handle of
5 this in terms of a handle for the magnitude of what
6 this could be in terms of looking at the comparison
7 between the two doses.

8 Because I mean, we were trying to look at
9 superiority in that, and therefore, if there's a
10 potential for a bias in ascertainment, that would be
11 difficult.

12 And then the other question is if you
13 couldn't get the sequential ones for 54(a), why could
14 you get them for 54?

15 DR. HAFKIN: Can I make a clinical
16 observation on the CRF availability?

17 The patients with the longest follow-up
18 are the patients with urinary tract infection, and
19 because we demand six weeks of follow-up data. So
20 there was, in essence, a bias against, in fact still
21 going on in a sense, against urinary tract infection
22 experience because the patient gets the same standard

1 length of therapy, but their follow-up goes on for
2 weeks afterwards, whereas if you had the germ in the
3 blood stream or interabdominal abscess, your
4 acceptable follow-up period would be two weeks to
5 three weeks.

6 The majority of patients that were pushed
7 from 54(a) into 54 were urinary tract infections, and
8 the majority of the patients that we have out in the
9 field that you haven't seen from 54, the little
10 interim analysis study, those will also be, in general
11 -- there's a greater chance that they will be patients
12 with urinary tract infection.

13 It is true that we wanted an experience
14 with urinary tract infection, but at the present time,
15 we're not actually asking the committee to judge where
16 the drug is efficacious against urinary tract
17 infection.

18 DR. OLIPHANT: May I just add one more
19 point, Dr. Wittes? I believe I did misunderstand the
20 second part of your question. Those 82 patients in 54
21 are not necessarily sequential, as well. We were
22 asked by the agency late last year for additional

1 information on patients we had available in that
2 study. So we had to cut off the database at that
3 time. So those represent the 82 patients for whom we
4 were able to get information at the time.

5 DR. WITTES: Thank you.

6 CHAIRMAN RELLER: Dr. Chesney.

7 DR. CHESNEY: This is totally switching
8 gears, and I hope I'm remembering this correctly. In
9 terms of adverse events, in the animal models there
10 were some testicular changes. Am I remembering that
11 correctly? And we're not worried about that in
12 humans?

13 DR. TARPLEY: No, we are not. Dr. Petry
14 can respond to that question from our Toxicology
15 Group.

16 DR. PETRY: Actually we had some fertility
17 changes in the male reproductive tox. studies, but we
18 do not have testicular lesions. Rather what we have
19 is a lesion in the epididymis, which as I'm sure
20 you're aware is the site of sperm maturation. That
21 lesion requires a relatively long onset.

22 Actually I believe I've got a slide

1 perhaps that would speak to that. Could I see T-195,
2 please? Slide on, please.

3 We, in fact, required seven to nine weeks
4 of administration, and it was completely reversible
5 following another seven to nine week period of
6 recovery. The time course of both the onset and the
7 resolution of the effect correlated very well with
8 this epididymal ductal epithelial hyperplasia.

9 Again, as I indicated, this is a site of
10 sperm maturation. There was, in fact, no testicular
11 lesion observed in these studies. We looked to see
12 whether there might be some sort of a plasma
13 testosterone phenomenon going on here because the
14 epididymis is a testosterone dependent tissue, but we
15 did not see that.

16 Additionally, we had no lesions in the
17 canine reproductive tract. So on balance, we don't
18 feel that there's going to be a risk for male
19 reproductive issues associated with the compound.

20 DR. CHESNEY: You don't have any human
21 studies though? I mean, we're extrapolating from the
22 rat, and that's probably fine. I just want to be

1 reassured.

2 DR. PETRY: I have no human data to offer.

3 You're correct.

4 CHAIRMAN RELLER: Dr. Soper.

5 DR. SOPER: As a follow-up to that, I
6 notice that you relate mild fetal toxicity in rats at
7 levels that are consistent with what would be used in
8 humans, and that this was post implantation loss, and
9 two out of the three women that were pregnant and were
10 exposed to this agent suffered a miscarriage.

11 You relate the similarities of some of
12 these findings to drugs like tetracyclines and
13 quinalones, which we don't really use during
14 pregnancy. Is this an agent that we need to avoid
15 during pregnancy?

16 And what category drug will this be,
17 pregnancy category?

18 DR. HAFKIN: I think I'll ask my
19 colleagues from tox. to come, but it's our feeling
20 that our drug should be Category C.

21 In terms of the rate of miscarriage,
22 you're quite right. We had two miscarriages in very

1 early pregnancy in our clinical trials, but that's
2 consistent with spontaneous miscarriage in healthy
3 young women.

4 We have literature. We basically -- there
5 have been a lot of reports of 50 percent, and that's
6 basically what we're carrying forward.

7 DR. SOPER: The miscarriage rate is about
8 20 percent of clinical pregnancies, which is what you
9 could relate to this observation. So --

10 DR. HAFKIN: Well, let me make the point.
11 Everybody was pregnancy tested negative at baseline.
12 So these were not pregnancies diagnosable at baseline.

13 DR. PETRY: Could I actually see Slide T-
14 206, please? Slide on, please.

15 This does speak to what we've seen in our
16 peri and postnatal development studies. There is a
17 mild and it's a reversible decrease in the offspring
18 body weight that classifies as fetal toxicity as these
19 animals continue that resolves. There is an effect on
20 pre-implantation or peri-implantation loss. That's
21 not necessarily -- we've looked at this now quite in
22 depth, and we don't see that when we rebreed those

1 males at 141 days.

2 It is a very mild effect in the fertility
3 there. In contrast or by comparison, in a number of
4 labs it would be considered within the historical
5 range of controls, but because we've got a new class
6 of agent here, we look at these very carefully.

7 Additionally there are some slight
8 reductions in the pregnancy rates coming out of these
9 animals. About 12 percent of animals didn't sire a
10 litter in either of the breedings.

11 CHAIRMAN RELLER: Dr. Drayton.

12 DR. DRAYTON: I may be incorrect, but I
13 think I recall reading that there were also
14 developmental problems in the offspring, and I
15 wondered whether they were physical developmental
16 problems. Is that of concern in pediatric population?

17 DR. PETRY: We don't think that it is, no.
18 The developmental delays that we see are sort of,
19 again, a mild decrease in initial body weight, which
20 resolves over time. There was a slight delay in pena
21 detachment, which I don't see as a clinically
22 significant issue.

1 CHAIRMAN RELLER: Dr. Lowy.

2 DR. LOWY: Regarding adverse effects,
3 diarrhea was one of the more common findings in the
4 clinical cases. Is there any information on the
5 incidence of pseudomembranous colitis in the
6 population of individuals who developed diarrhea?

7 DR. HAFKIN: Yes, we've done analysis. If
8 I could ask you to look at S-169, yes, if you'd show
9 that slide.

10 There was very little clostridium
11 difficile diarrhea that was actually diagnosed in the
12 study. Certainly there's no signal of increased risk
13 with linezolid therapy.

14 CHAIRMAN RELLER: For a drug that doesn't
15 get into the GI tract, why do you think this might be?
16 Is it a motility issue? What's going on?

17 DR. HAFKIN: We really don't know. We'd
18 have to speculate.

19 CHAIRMAN RELLER: Dr. Leggett.

20 DR. LEGGETT: Continuing with the VRE
21 question, given an underpowered study looking at 200
22 and 600 for most of the things that were looked at, I

1 have a hard time understanding if 600 equals 200
2 equals placebo or placebo is worse than 200 is worse
3 than 600.

4 In looking at ways of trying to get around
5 that, I guess Dr. Chikami in the morning talked about
6 historical data, and I was wondering if anybody knew
7 of any sort of maybe comparable, if not exactly
8 equivalent patient populations with other drugs
9 against VRE.

10 And the second comment, trying to get back
11 to Dr. Murray's question, in terms of responses, is it
12 possible that the people with the bad diarrhea did not
13 absorb drug as well? Can you correlate folks with
14 increased incidence of diarrhea with those who failed?

15 And do you have any drug levels in other
16 than volunteers? Is there any way to look at kinetic
17 data combining 600 and 200 and trying to correlate
18 that with ACU above MIC or time above MIC so that the
19 folks with 200 who passed had better drug absorption,
20 better drug levels than the folks with 600 who had
21 terrible levels or the folks with 200 who had terrible
22 levels?

1 So of a lot of questions, but all trying
2 to get at another way to maybe convince us that this
3 drug is efficacious.

4 DR. HAFKIN: I hear the three questions,
5 and let me try and answer the first, and then we'll
6 try and approach the second.

7 The estimates for mortality in VRE
8 infection, enterococcal infection has been estimated
9 by many people, and in fact, Barbara Murray just
10 recently published in the New England Journal a review
11 suggesting mortality of I believe it was 30 percent,
12 but it may have been 40 percent in her review of the
13 literature.

14 Other authors have suggested that
15 mortality rates of 40 percent would commonly be seen,
16 and indeed, there is additional data in compassionate
17 use trials, such as ours which suggests that success
18 rates of drugs that have active efficacy or active
19 activity against VRE may actually be in the 60 percent
20 success rate.

21 So in terms of historical controls for all
22 comer kind of populations, another drug recently given

1 indication for VRE infection, I believe, had success
2 rates in similar protocols of 60, 65 percent. I'm not
3 an expert with that agent, but mortality rates have
4 been estimated to be 30 to 40 percent in multiple
5 historical papers. So I think that's one way.

6 Now, in terms of trying to help you
7 understand the work we've done in terms of
8 relationship between dosing and outcome, I'm going to
9 ask my colleague from Pharmacokinetics, Ed Antal, to
10 speak to that question.

11 DR. LEGGETT: Can I just jump in? I'm not
12 quite sure I understand. If you said the traditional
13 historical mortality rate, is that with treatment or
14 without treatment of 30 percent?

15 DR. HAFKIN: Well, it depends on what kind
16 of -- it is without treatment. Those are the
17 estimates for without treatment.

18 DR. LEGGETT: Okay. Because what I see is
19 a success rate of 67 percent, which sounds like 70
20 percent, which leaves 30 percent mortality, and that's
21 with treatment, which is the same as without
22 treatment.

1 That's the trouble I'm having.

2 DR. HAFKIN: Sure, and it depends on all
3 comers and population ranks. Agreed.

4 DR. ANTAL: If I understood your question
5 correctly about the relationship between, say,
6 response or side effects in concentration or kinetics,
7 we've looked at the Phase II databases, the database
8 that we had accessible that had the most population
9 pharmacokinetic sense. We use that as our basis.

10 We did see a relationship between AUC over
11 MIC versus pharmacokinetic parameters. It was by no
12 means 100 percent predictable. The relationship is
13 there. There higher doses do have the higher
14 tendency.

15 We looked to see if there was a rationale
16 that one could say, for example, you know, a certain
17 cutoff point that you wanted to be above or things of
18 that nature. We didn't see it because I think that a
19 lot of the failures that you're looking at are multi-
20 factorial reasons. It's just not a question of a
21 certain level of drug, but it's a lot of other things,
22 too. So that's where we stand with our analysis right

1 now.

2 So is there a specific other question you
3 have?

4 DR. LEGGETT: No, I was just thinking one
5 thing. If you could get that subpopulation, maybe a
6 small group of folks where you actually had VRE grow
7 in the blood, you might be able to try to get a handle
8 on it with a very small, hopefully a much smaller
9 number of patient.s

10 DR. ANTAL: Sure.

11 DR. HAFKIN: Sure.

12 DR. ANTAL: Your other question about the
13 safety, gastrointestinal, we saw no relationship
14 between a low blood level and a higher incidence of
15 gastric upset.

16 DR. HAFKIN: If I can jump in in one more
17 way, there is a difference between success and
18 mortality, and even in the low dose, we don't have
19 mortality rates of 30 percent, and in fact, Dr. Ross'
20 mortality analysis is particularly potent in that
21 regard.

22 I don't remember David what slide you had

1 when you looked at the VRE study from the point of
2 view of mortality.

3 DR. LEGGETT: It's page 14 of 18 of the
4 FDA packet that we got put out, Table 3-10.

5 DR. ROSS: If you'd like, we can bring
6 that slide up.

7 DR. CHIKAMI: Dr. Reller, may I make a
8 comment?

9 CHAIRMAN RELLER: Please.

10 DR. CHIKAMI: In regards to the issue of
11 trying to get a handle on historical information in
12 this area, and I think this has come up in a previous
13 meeting, and I am sure Dr. Murray can speak to this,
14 but as we try to look at, one, mortality in this
15 patient population, whether or not patients got
16 treated, and if you look in the literature, most often
17 patients got some sort of treatment, and it's very
18 difficult to then sort of look at responses by
19 whatever therapy was available.

20 But if one looks across studies,
21 mortalities in the literature varies widely anywhere
22 from 30 percent up to 70 percent. So the estimates

1 are quite imprecise as one might expect, given the
2 large variability in the patients that are being
3 reported in these studies.

4 To speak to the other issue in regard to
5 other experience in, for example, compassionate use
6 programs that have been used to treat VRE, there
7 again one runs into the problem of how comparable the
8 patient populations are.

9 In that other experience, in fact, the
10 breakdown by site of infection was a little different,
11 and moreover, given that that was a compassionate use
12 program, not randomized controlled trials, the
13 patients tended to be a little sicker.

14 Having said all of that, if one looked at,
15 again, in two of those protocols, the overall
16 mortality rates were around 50 percent in those
17 trials, and the overall success rates varied anywhere
18 from 46 to 56 percent. Again, very difficult to try
19 and use those as historical controls, given the
20 differences in the patient populations.

21 DR. HAFKIN: You know, I was wondering
22 whether we should look at Dr. Ross' analysis of

1 mortality.

2 DR. ROSS: So for the MITT-VRE population,
3 in the high dose arm, 16 out of 65 patients died, a
4 mortality rate of 24.6 percent. In the low dose arm,
5 18 out of 52, 34.6 percent. In the bacteremic patient
6 population -- so these are patients with VRE
7 bacteremia at baseline -- in the high dose arm, four
8 out of 18, that is, 22.2 percent and nine out of 16 in
9 the low dose arm.

10 DR. HAFKIN: And if I could make one
11 point, Dr. Ross' slides were prettier, but our
12 analyses imply the same pattern of increased success
13 or differentiation between the high dose versus the
14 low dose, depending on severity of illness.

15 So the higher the MPM-2 score, which is
16 the score that's based on vital sign and underlining
17 morbidity, the higher the chance of mortality, the
18 more effective the high dose becomes in relationship
19 to the low dose.

20 CHAIRMAN RELLER: Dr. Murray.

21 DR. MURRAY: Yeah, I was just going to
22 point out that the figure, the 30 percent that's

1 usually -- that figure that's quoted is from Edmond
2 and Wincell for an attributable mortality to VRE
3 bacteremia, which they defined, if I recall right, as
4 either two positive blood cultures or one plus
5 evidence of systemic infection with high fevers or low
6 blood pressure. So that 30 percent figure is often
7 quoted as an attributable mortality, although not all
8 studies have shown that, but the definition of
9 bacteremia has often varied from even one or two or
10 with or without signs of sepsis.

11 So as Gary pointed out, it's extremely
12 difficult to, without matching case with case, to use
13 historical controls.

14 CHAIRMAN RELLER: Drs. O'Fallon and
15 Wittes, do you have any comments you might make
16 addressing the numbers of patients with enterococcal
17 bacteremia and differences in outcome with what we
18 have here for 600 versus 200?

19 DR. WITTES: The quick answer is no. I
20 mean, I've actually opened up the table of numbers to
21 do exactly that. It's very hard to answer without
22 doing some calculations, but the numbers are small.

1 There's lots of different categories that are going
2 on, and I'm still troubled by -- I'm still focused on
3 the fact that we don't have a consecutive sequence,
4 and I don't know how serious that is.

5 So I'm punting to Judy.

6 DR. O'FALLON: Well, I, too, was having
7 trouble because of the enrichment study and trying to
8 figure that out, how those numbers fit into the rest
9 of them because, you see, the categories for me
10 anyway, we've been asked five questions. When we look
11 at these numbers in the one with 104 MRSAs and so on,
12 they're grouped by disease. I mean all of the
13 diseases are stuck together.

14 So it's hard for me to try to separate
15 them out into the categories that we're being asked to
16 do, and without more time, I can't tell.

17 I went through and looked at the original
18 studies, and they were asking do you have enough
19 people, and the answer is no, no, no.

20 But then to get to the enrichment study,
21 but then they're all bugged together. All of the
22 pneumonias are together and all the SSSIs are

1 together, and I can't break them out. So I don't know
2 exactly what's going on here. I couldn't -- I don't
3 know what the numbers are.

4 DR. CHIKAMI: Dr. Reller, may I make a
5 comment?

6 I understand the comment that's made, but
7 I think it relates to one of the issues that I raised
8 early on at the beginning of the morning. That is, as
9 we, the agency, and at the two public meetings have
10 discussed with the advisory committee and members from
11 academia and the pharmaceutical industry, one of the
12 issues that is being faced is in doing a controlled
13 trial for a specific site of infection, for example,
14 pneumonia or skin, as they're traditionally done, one
15 faces the issue that one could do a study which would
16 demonstrate safety and effectiveness at that site of
17 infection.

18 If one then wants to also get in the
19 course of that study sufficient experience with a
20 resistant organism, for example, MRSA or PRSP, it
21 becomes very difficult, one, because of the
22 epidemiology of some of these resistant organisms.

1 The other issue is an unknowable because,
2 for example, even when studies are done in community
3 acquired pneumonia, for example, in South Africa where
4 there are very high rates of penicillin resistant
5 Strep. pneumo., one finds in doing the studies that in
6 the controlled trials you wind up with very low rates
7 of pen. resistant Strep. pneumo. I can't explain
8 that. I don't know why that is.

9 So that there is a problem in terms of for
10 some of these agents being able to do controlled
11 trials and get sufficient numbers of organisms to
12 support effectiveness or for us to conclude that there
13 is effectiveness.

14 Having said that, various proposals have
15 been put on the table about how to develop that
16 experience, and one of them is what was done in this
17 development package, that is, to run a clinical trial
18 specifically to capture those patients who have
19 infections with resistant organisms.

20 In the context of a more traditional
21 development program where you have large, adequate
22 clinical trials that would support determining

1 effectiveness at a specific site of infection and then
2 using that pathogen driven study to supplement that,
3 now we understand, and this was some of the discussion
4 that we have those meetings, there is an issue of how
5 does one then appropriately pool that information or
6 consider that information in making the determination
7 that the overall development package demonstrates the
8 effectiveness of the product for the site of infection
9 and subsequently for the resistant organism.

10 What we've said is that in the course of
11 those traditional studies, we would like to see a
12 substantial amount of information of activity for the
13 susceptible organism, for example, if it's pen.
14 resistant Strep. pneumo. We would like to see in
15 those studies a substantial amount of evidence for
16 penicillin susceptible Strep. pneumo.

17 As Dr. Hafkin pointed out, there is the
18 additional information both from in vitro studies and
19 animal studies that suggest that the drug is as active
20 against the resistant strains as it is against the
21 resistant strains. Then one is left with a judgment
22 about how much additional clinical information do you

1 need to draw the conclusion that it's effective for
2 the treatment of those resistant organisms.

3 So that's sort of the broad brush strokes
4 of where we are in trying to assess an overall
5 clinical development package, and for these anti-
6 infectives it's never just a single study or a single
7 site of infection. We have multiple studies that look
8 at the activity of the product at different sites of
9 infections which may have a different spectrum of
10 etiologic agents and may have different
11 pharmacokinetic constraints in terms of getting the
12 drug to that site of infection.

13 DR. O'FALLON: I wasn't arguing with the
14 strategy. What I was saying was that it's difficult
15 for me to use the data because the data from the
16 enrichment study was not broken out according to the
17 diseases that we were doing. They were lumped
18 together, and the hospital based and the HAPs, the
19 CAPs, the CAPs whether they were hospitalized or not,
20 that's all jumped together. We don't have any way of
21 knowing how it all goes.

22 You know, you're asking us to say that

1 those are all alike as far as the -- as far as this
2 effectiveness is concerned for these specific bugs,
3 and I guess we weren't as clear as we would have
4 liked. When we, the FDA, did these analyses, we first
5 took the view that, yes, we will look at the studies
6 as they're designed, that is, a randomized controlled
7 trial for a specific pathogen at different sites of
8 infection and perform the analysis in that manner
9 because that's the way the study was designed,
10 understanding that eventually we would look at those
11 subgroups of interest, that is, at the specific sites
12 of infection, and try to make some assessment of the
13 effectiveness for, for example, MRSA in the
14 complicated skin structure infection.

15 And so that speaks to why the presentation
16 was structured in the way it was, to look at the
17 overall result to see if, in fact, understanding that
18 each of these sites of infection may have a different
19 prognosis or potential for outcome, but as a first
20 cut, look at the overall result to see if the two
21 agents are reasonably comparable, and then to look at
22 the specific subgroups which were pre-specified, i.e.,

1 the site of infection at the time of entry into the
2 study, to see if there's a consistency across those
3 groups, that we can also attempt to estimate what the
4 effectiveness was.

5 DR. O'FALLON: But the numbers are so
6 small that I can't tell you whether they're
7 consistent. I don't see that there's enough evidence
8 there to help me. So that's my problem.

9 DR. MURPHY: I guess one of the issues
10 here we're going to just lay out is that we have found
11 not just with this company, not just with this drug
12 that despite what we hear are significant numbers of
13 resistant organisms out there, that if you expect to
14 get a study in each one of these indications with
15 enough resistant organisms, you're never going to
16 reach that.

17 Fortunately, at this point in a way that's
18 the bad news/good news at this point. And we are
19 asking for you to then, as Gary said -- we know the
20 numbers are small, and so we had the choice of either
21 saying we are going to wait until we have enough in
22 each category which we think would -- we're balancing

1 the risk of the time that will take to the time it
2 would take to try to put pieces of information
3 together.

4 And what you're telling us, you just still
5 don't think the numbers are enough in the additional
6 analysis, the enrichment pool.

7 DR. O'FALLON: I don't want to -- well, I
8 don't feel like I'm an expert enough in this area to
9 say that they are all alike, but you were just asking
10 us one by one whether the efficacy is the same disease
11 by disease.

12 And then we have 104 MRSA's in this class,
13 and they're just thrown together and can't break them
14 out.

15 Now, I'm going on the assumption that if
16 you are asking for efficacy in five different diseases
17 with the expectation that they could be different,
18 then the effectiveness against the special bugs could
19 be different in the different diseases, and I would
20 say it would be very helpful for us to know what was
21 the particular mix that we had in there, that is, how
22 many HAPs were there, how many CAPs, how many SSIs.

1 CHAIRMAN RELLER: Dr. Murray.

2 DR. MURRAY: Yeah, and I just wanted to
3 sort of muse philosophically for a moment. Again,
4 part of this, I believe, is to establish whether a
5 specific labeling can be added for a subgroup of a
6 resistant type organism. We may not have any debate
7 over whether the organism class or organism species --
8 whether the drug shows efficacy, but whether the
9 efficacy is against a particular subclass of that
10 organism.

11 And if one were content perhaps to label
12 as Staph. aureus as opposed to the subgroup of
13 methicillin resistant Staph. aureus, that the numbers
14 would be fine for one and perhaps --

15 DR. O'FALLON: Nothing for another.

16 DR. MURRAY: Or that may not be the best
17 example. So some of this has to do with how fine the
18 subcategory needs to go, and I just wanted to go back
19 to the VRE issue.

20 It is a tough one. As Dr. Leggett said,
21 if we're not sure whether the 200 milligram dose is
22 the placebo dose or if that's better than placebo, and

1 the trend is all in the right direction for the higher
2 dose showing efficacy, and we would expect the 200 to
3 have some efficacy, so I congratulate the company for
4 having had the courage to do the study. They may have
5 chosen a dose slightly too high at the 200 to be able
6 to show a statistical difference. If they had chosen
7 a lower dose, it might have been unethical because it
8 might have been ineffective, but it's going to be
9 tough to -- it's tough for me to know what to do with
10 the results.

11 The trend is right, and is there any hope
12 for larger numbers in the future or combining numbers
13 and applying statistics, which hasn't been done yet?
14 Can somebody address the concerns about losing the
15 alpha?

16 As I recall, the second set of numbers
17 hasn't been added in and analyzed statistically, and
18 can we get any help? Are we going to get any?

19 CHAIRMAN RELLER: Dr. Wittes.

20 DR. WITTES: Well, I really think the
21 first step is to make sure that the sequential ones
22 are there in order. I don't imagine that that's going

1 to change things very much, but I think in the absence
2 of knowing it, we've got to see that.

3 DR. MURRAY: Just for clarification for me
4 now, can I ask? Now, this was a double blinded study.
5 So both 200 and 600 -- I mean they were blinded and
6 there was no placebo arm. So what is the
7 ascertainment? I wasn't sure. I could understand in
8 general why there might be a concern about
9 ascertainment risk had there been a placebo arm
10 perhaps, but in a double blind study are you still
11 concerned about that?

12 DR. WITTES: Oh, absolutely, because I
13 mean, I don't know the magnitude. I don't know
14 whether we're talking about two patients or 20
15 patients. I just won't know.

16 But the concern is that you randomize, and
17 what allows you to make the comparison is you're
18 comparing like with like, and if you have one group
19 that is the earlier randomized than the later, even if
20 it's slight, or more importantly, I mean what I heard
21 and I didn't even know this when I asked the question,
22 but that it's the URIs that are -- the UTIs, sorry --

1 the UTIs that are the longer -- the ones that are in
2 longer.

3 And if you look at the data, that's where
4 the big difference is. Again, if you look at these
5 very small numbers and you look at the lines, the line
6 that has the biggest difference is that line.

7 Now, is it possible that the group in one
8 of the arms -- that the group that is being plucked
9 out of 54(a) and plucked into 54 is that different in
10 some way because it took longer to cure them, because
11 they were so much more complicated that the case
12 report forms were harder to capture and so forth?

13 So it has nothing to do with the double
14 blinding, and it has nothing to do with randomization.
15 It has to do with essentially not following the
16 randomization in a funny way, in an ascertainment way.

17 And then for 54, once more we don't know
18 -- and again, I'm sure you have the numbers in there.
19 It would be good to see them -- how many of the 54s
20 are not yet analyzed because the data aren't in yet.

21 Once the data are all in, then it's easy.
22 then we would know.

1 In terms of the alpha, I guess I'm less
2 worried about that. Sorry about that.

3 DR. BRITTAIN: This is Erica Brittain.

4 There were, I think, 25 patients in 54 who
5 were really 54(a) patients, but when I looked at them,
6 put them into 54(a), it didn't really change the P
7 value.

8 DR. WITTES: No, no, but that's right
9 because there's something --

10 DR. BRITTAIN: Yeah. I just saw where they
11 -- what their first dose day, June 20th, and when I
12 threw those 25 back into 54(a), the result didn't
13 really change

14 DR. WITTES: But we don't know who in 54
15 are in the future that we haven't seen, right?

16 DR. BRITTAIN: Definitely.

17 DR. WITTES: But you know, right?

18 (Laughter.)

19 CHAIRMAN RELLER: Dr. Hafkin, we'll wrap
20 this tread up, and then Dr. Norden has another topic
21 that he wants us to address.

22 Dr. Hafkin.

1 DR. HAFKIN: I can't explain some of the
2 issue. The point I wanted to make was the point Dr.
3 Britain made, which was we also got those additional
4 25 patients to put into our 54(a) analysis to answer
5 that question, but let me go back even farther.

6 We're not, in a sense, happy either with
7 the fact that we studied this disease for more than a
8 year in more than 100 American referral centers, and
9 this is what you've got. I mean this is an
10 extraordinary -- this represents an extraordinary
11 effort.

12 It is imperfect, but it is exciting to us
13 because we believe that the drug works not only on the
14 basis of these observations, which we think are very
15 encouraging and certainly convincing to us, but also
16 that it works for similar germs in the same sites.

17 So it's our feeling that this is not the
18 only study that we're providing to you. We've shown
19 you, we believe, that the drug is equivalent to really
20 very good drugs for Staph. aureus and for Strep.
21 pneumonia. We've bracketed, if you will, the
22 sensitivity of organisms. The Staph. aureus germ is

1 much more resistant to the effect of antibiotics in a
2 general sense than the Strep. pneumo., and the
3 enterococcus is right in the middle.

4 So that on the basis of the laboratory
5 studies we've done, on the basis of the clinical
6 observations, we think we have a really good case to
7 be made when that information is added to the
8 information that comes. Although it's not perfect, it
9 is the largest randomized blinded study of vancomycin
10 resistant enterococcus done in the free world to date.

11 Maybe someone has got one that I don't
12 know about, but it was an extraordinary effort by my
13 team to put this data together.

14 Thank you.

15 CHAIRMAN RELLER: Dr. Norden.

16 DR. NORDEN: I just wanted to follow up on
17 one other point that Dr. O'Fallon was making.

18 Dr. O'Fallon, it seems to me -- this is
19 the point that Dr. O'Fallon was making -- it seems to
20 me that we are given the information that you were
21 asking about in terms of Study 31, for example. The
22 MRSA, if I heard you correctly, we do under -- we do

1 know what diseases these patients had so that you can
2 break them out separately.

3 What happens, of course, is the numbers
4 just become smaller and smaller as you do it, but it's
5 here. I mean, it's in Dr. Ross' analysis.

6 I'm sorry. It's page 34 of Dr. Ross'
7 presentation.

8 DR. SOPER: Carl, correct me if I'm wrong,
9 but that table is -- the data in that table is in
10 addition -- the numbers are in addition to the Studies
11 55, 39(a), 33, 51 and 48(a). In other words, there's
12 more patients added to that table than were studied in
13 the comparative trials.

14 So when you go back and you actually find
15 out how many patients were studied in a comparative
16 community acquired pneumonia trial, there was one case
17 of MRSA in the comparative community acquired
18 pneumonia trial, five cases of PRSP, and the hospital
19 acquired pneumonia, there's 22 cases of MRSA. So that
20 might be able to make some decisions about that, but
21 only two cases of PRSP.

22 In the uncomplicated skin and skin

1 structure infection, there's only one case of MRSA.

2 DR. NORDEN: You're absolutely right. No,
3 I agree. I just was say that in the study, the
4 enrichment study, if you will, if that's the phrase
5 that's being used, that the data is here. I mean we
6 have it, and I think the way the questions are phrased
7 to us, I mean, the answer has to be, no, there aren't
8 enough cases in community acquired pneumonia. No,
9 there aren't enough cases, but if you pool them all
10 together, which is one possible way to do it, the
11 answer might be yes.

12 DR. SOPER: Actually as an adjunct to
13 that, what you might want to do is add a sixth
14 question, and that is that number five is infections
15 due to vancomycin resistant enterococci. You might
16 add number six, infections due to MRSA.

17 DR. MURRAY: Well, and then we get into
18 the quibbles. If something were good for MRSA and
19 hospital acquired pneumonia, would it not be okay for
20 MRSA in community acquired pneumonia, but I think that
21 gets into a final labeling.

22 We can answer certain questions. Are

1 there enough patients in a community acquired MRSA to
2 say it's efficacious in that exact setting. The
3 answer would be no, but that's different. To say that
4 it would be approved or we would recommend it for
5 nosocomial pneumonia due to MRSA and not community
6 acquired pneumonia due to MRSA wouldn't be logical.

7 DR. CHIKAMI: May I clarify the intent of
8 the questions? I think that we traditionally ask
9 questions about each indication because, again, that's
10 the way these drugs are developed. With the specific
11 resistant organisms, PRSP, MRSA, we understand as
12 you've all been discussing that we've had to rethink
13 or relook at how, in fact, we can get that additional
14 experience.

15 The intention of the questions was not to
16 say within community acquired pneumonia did the
17 sponsor collect sufficient evidence to support
18 approval within that study. Our intention all along
19 was to ask the committee to answer the first part of
20 that question, okay, the general indication, and then
21 looking at all of the information that's been
22 presented by the sponsor by our analyses, which may

1 include in addition to that information collected
2 within the specific indication driven studies, to help
3 us try and make that judgment within this data set,
4 within the overall development program, is there
5 sufficient information to support effectiveness for
6 the treatment of that resistant organism; sort of,
7 again, speaking back to what I said at the outset of
8 the morning.

9 These are difficult infections to study,
10 and we need to be willing or I think we should be
11 willing to look across all of the information to try
12 and make a judgment. Again, understanding that there
13 are issues related to pooling information, but I think
14 given the situation where in some instances we have
15 infections which are untreatable or may soon be
16 untreatable, we need to think about, again, that risk-
17 benefit ratio that we do as a regulatory agency in
18 trying to certainly insure that drugs are effective
19 for their intended use and they're safe for their
20 intended use, but to also try and get potentially
21 important products to the market as quickly as is
22 prudently possible.

1 CHAIRMAN RELLER: Dr. O'Fallon and then
2 Dr. Ross.

3 DR. O'FALLON: Okay. I wanted to point
4 out that the numbers that you guys mentioned are the
5 ones that I was working with, and what I'd like to
6 point out, two little pieces of information that are
7 causing me trouble.

8 In the second group, hospital acquired
9 pneumonia and you look at that's the one that has the
10 22 with it, if you take a look at it, there the
11 confidence interval is not -- there the efficacy is
12 not there. The evidence is not good for that. So
13 that's just one cautionary issue. They've been put
14 together with the rest of the pneumonias.

15 And in the skin infections, the vast bulk
16 of the ones in the VRE are -- the 66 -- are skin and
17 structure infections, and half of -- now, there
18 there's a slight preponderance of favor for the -- you
19 know, when you batch them together, the complicated
20 and the uncomplicated, there's a slight benefit to the
21 new drug, but the problem here is that we don't know
22 what the mixture of the complicated and the

1 uncomplicated is.

2 So those are some of the issues.

3 CHAIRMAN RELLER: Dr. Ross.

4 DR. ROSS: So in terms of one of the
5 issues is of those patients who carry a label of
6 pneumonia in Study 31, which of those are community
7 acquired and which are hospital acquired, and I think
8 that that's an important point because those are
9 obviously two different entities.

10 And one point I would make is that the
11 epidemiology of MRSA is such that that's much more
12 likely to be a hospital acquired pathogen. I mean,
13 obviously as we've learned over the last year or so,
14 unfortunately that can be a cause of outbreaks of
15 community acquired infection, but it's much more
16 likely to be a hospital acquired pathogen.

17 DR. MURPHY: Judith or Dr. O'Fallon, would
18 you go to the next page, to the MIT analysis on 48(a),
19 where we've got the --

20 DR. O'FALLON: What page is that?

21 DR. MURPHY: It's page 18 of the FDA. And
22 this is the MITT analysis. It's R-18 handout.

1 Just trying to get the issue of severity
2 and MRSA. Does that help you any where we've got the
3 ventilated assisted pneumonia, and then you've got
4 those with MRSA and the MITT? Okay.

5 CHAIRMAN RELLER: Okay. One final
6 question for Dr. Hafkin, just to make sure that we're
7 clear on the numbers. Of the patients with pneumonia,
8 mostly community acquired with Streptococcus
9 pneumoniae, the 32 out of 169 patients had positive
10 blood cultures. So this was a substantial number and
11 somewhat better than what most people had observed.

12 In that group of 32 though, there was but
13 one penicillin resistant pneumococcus; is that
14 correct?

15 DR. HAFKIN: The only protocol that we
16 have -- no, not additional. Those patients with
17 resistant Strep. pneumo. bacteremia were found in the
18 pediatric trial.

19 CHAIRMAN RELLER: So that from the adults,
20 we have no data on bacteremic pneumococcal pneumonia
21 with less than fully susceptible organisms to
22 penicillin?

1 DR. HAFKIN: We had two with intermediate,
2 yes. For all practical purposes, yes, yes.

3 CHAIRMAN RELLER: Right. I know there is
4 not necessarily an answer, but I'd like to ask the
5 question anyway, and that is do you have any
6 explanation? Is it the distribution of where the
7 studies are done?

8 Why is it so difficult when in many
9 hospitals in the United States 20, 30, 40, 50, 60 in
10 our place percent of strains of *S. pneumoniae* are less
11 than fully susceptible to penicillin; of why one would
12 have basically zero out of 32, but out of the others
13 there were approximately 20 percent less than fully
14 susceptible, ten percent at high level resistance, 15
15 percent intermediate?

16 So that of the same groups of patients,
17 the ones who had an organism in their blood and the
18 ones who had an isolate recoverable differences in how
19 the MICs were distributed to penicillin, what's going
20 on do you think?

21 DR. HAFKIN: Well, of course, I think
22 about things like that. Why don't you have protocols

1 that provide patients with resistance? And I think
2 it's unfortunately an artifact of the protocol
3 process.

4 What happens is we strive so hard to have
5 evaluable patients, and so we put so many barriers
6 between the physicians, identifying patients with
7 pneumonia and making sure that patient is likely to be
8 evaluable. So we say, "Well, if the patient has been
9 on therapy for less than three days and is not a
10 failure, they don't fit. If they've had more than 12
11 hours or 24 hours of antibiotics, they don't fit."

12 So many of the patients, if you step back
13 and you think about the epidemiology of multiply drug
14 resistant organisms in general, they're patients with
15 comorbidities who have had multiple courses of
16 antibiotics, and in fact, they are probably
17 empirically treated because they are sick. They're at
18 risk for having terrible outcomes, and so they come to
19 the doctor. They're already on the antibiotic.
20 Whether they get better or not is another story.

21 If the physicians -- if we had a perfect
22 system to capture the failures, then, indeed, we could

1 have trials. But the problem is, as you know how
2 clinical trials are done in your institution, we have
3 one or two investigators who have an interest, and
4 it's just there are too many barriers to finding those
5 patients that are highly likely to be evaluable and
6 getting them into our protocol.

7 So I think it's a protocol process itself.

8 CHAIRMAN RELLER: There's another reason
9 for asking the question. I think some have observed
10 that patients who have unequivocally documented
11 infection with *Streptococcus pneumoniae* tend to have
12 more susceptible strains as opposed to patients who
13 are colonized or who have respiratory isolates, that
14 is, respiratory isolates versus isolates from the
15 blood.

16 And it raises the question of the
17 certainty with which one has the entity in hand, and
18 some of these may be respiratory isolates in patients
19 who unequivocally have pneumonia, but maybe not
20 necessarily that that was the organism that was the
21 etiology even though a pneumococcus was recovered, and
22 that's another possible explanation.

1 One last comment, and from the committee
2 we'll take additional ones, and then we need to get to
3 the voting. Yes?

4 DR. ANDERSON: Your question was very
5 interesting. So I appreciate a chance to answer it.

6 We, in fact, specifically tried to
7 identify patients in Protocol 49 who had had the very
8 experience that Dr. Hafkin pointed out as limiting,
9 and the results were that five of 13, maybe it was 14
10 isolates, were nonsusceptible or resistant. So there
11 you get your 35 to 40 percent.

12 Because we were looking for patients who
13 had had previous experience, because we had patients
14 that had refractory exposure to antibiotics, we found
15 them, and that's the rate you'll find in the
16 literature.

17 CHAIRMAN RELLER: Thank you.

18 Now, we have a strategic issue for the
19 committee. We're actually a little bit ahead of
20 schedule, but we also have pressing deadlines of
21 airline flights so that we wouldn't have everybody
22 with us at the end.

1 For the committee members, do we press
2 ahead with the questions or do we take a very brief
3 ten minute break and then press ahead with the
4 questions? What's your wish?

5 All of those who wish to press on, raise
6 their hand.

7 (Show of hands.)

8 CHAIRMAN RELLER: Those who would rather
9 take a break?

10 Okay. Anyone who needs to take a break,
11 do so quickly on the committee members, but come back
12 before the voting.

13 We will now address the questions at hand.
14 I will read the question, and then we will entertain
15 any relevant discussion that any member of the
16 committee wants to make, and then we'll take a vote,
17 sequentially one by one.

18 Community acquired pneumonia. Do the data
19 support the efficacy and safety of linezolid in the
20 treatment of adult patients with community acquired
21 pneumonia?

22 Any comments before voting on this?

1 Dr. Christie.

2 DR. CHRISTIE-SAMUELS: I may have
3 misunderstood, but I have one little thing that I want
4 to have cleared up. The thing is that if we approve
5 this drug for adults, it will be used in children,
6 too, and the pediatric data is not yet in.

7 I'm not sure if I heard earlier on that
8 somebody said that the clinical course in pneumococcal
9 pneumonia is similar to that in adults, and I wondered
10 if maybe there's somebody on your team who could tell
11 us, maybe Dr. Kaplan, if that is, indeed, true.

12 DR. KAPLAN: I'm Shelly Kaplan from
13 Baylor College of Medicine in Houston. I'm a
14 consultant for Pharmacia and Upjohn.

15 I would say that pneumococcal pneumonia in
16 children, especially if it's bacteremic, is a very
17 serious infection, and I would not expect the child to
18 get better easily without antibiotics. I can tell you
19 that in the pediatric pneumonia trial with linezolid
20 that in the six children who had bacteremic
21 pneumococcal pneumonia, including two children with
22 empyema, that the agent looked very, very effective,

1 if that answers your question.

2 CHAIRMAN RELLER: Dr. Murray.

3 DR. MURRAY: If you look at the charts
4 from I think the Mandell textbook, the mortality in
5 bacteremic pneumococcal disease for those less than
6 two, after that age it goes down and it goes back up
7 again. I think the less than two is equal to about
8 the over 65 or 70 in terms of mortality, whereas the
9 older child and the younger adult are quite equivalent
10 also.

11 DR. CHRISTIE-SAMUELS: That's what I
12 thought.

13 CHAIRMAN RELLER: In considering all of
14 these questions, before the voting I think that, you
15 know, an important consideration in trying to give
16 precise, specific recommendations to the agency on the
17 sense of the committee on these issues, that for the
18 resistant organisms there are a couple of ways to
19 approach it, and considering the data both by
20 indication, as well as the pooled information, that a
21 recommendation for a recognition of safety and
22 efficacy for susceptible strains doesn't necessarily

1 preclude the treatment of resistant strains, given
2 that some of these organisms -- there aren't other in
3 vitro susceptible strains at the outset.

4 So that there are lots of ways of being
5 able to handle the questions, and I think it's
6 important as we vote to take them one by one and our
7 best judgment on the specific question asked, and at
8 the conclusion if there be additional studies or
9 things that the committee thinks would be very
10 important in the continued development and deployment
11 of this agent, since we're talking about it today, but
12 it would apply to other things that we address in the
13 future, is that we can make those recommendations as
14 well, but we want to answer these questions as they
15 are written.

16 The voting. We will start on the right-
17 hand side for those who are voting, and I think from
18 the outset we delineated who were voting members. In
19 addition to the current members of the committee, Drs.
20 Norden and Leggett will be voting this afternoon.

21 Dr. Soper.

22 DR. SOPER: Yes.

1 DR. CHESNEY: We are answering 1(a); is
2 that correct?

3 CHAIRMAN RELLER: We are answering 1(a)
4 and only 1(a).

5 DR. CHESNEY: Yes.

6 CHAIRMAN RELLER: Yes.

7 DR. NORDEN: Yes.

8 DR. DANNER: Yes.

9 DR. RODVOLD: Yes.

10 DR. CHRISTIE-SAMUELS: Yes.

11 DR. LOWY: Yes.

12 DR. MURRAY: Yes.

13 DR. O'FALLON: Yes.

14 CHAIRMAN RELLER: Section 1(b). Given
15 that we unanimously believe that this agent has been
16 shown to be effective and safe for the treatment of
17 adults with community acquired pneumonia, do the data
18 support the inclusion of a specific wording to the
19 effect that there are sufficient data to support
20 safety and efficacy in the treatment of methicillin
21 resistant Staph. aureus as an etiology of community
22 acquired pneumonia in adults?

1 And we'll take these individually. So
2 we're talking now 1(b)(I).

3 Dr. Soper.

4 DR. SOPER: No.

5 DR. CHESNEY: No.

6 CHAIRMAN RELLER: No.

7 DR. NORDEN: Yes.

8 DR. DANNER: Yes.

9 DR. RODVOLD: Yes.

10 DR. CHRISTIE-SAMUELS: Yes, based on AGP
11 data.

12 DR. LOWY: No.

13 DR. MURRAY: Yes, based on the aggregate
14 data in other sites and in hospital acquired
15 pneumonia.

16 DR. O'FALLON: No.

17 CHAIRMAN RELLER: We have a split decision
18 of five-five.

19 (Laughter.)

20 CHAIRMAN RELLER: One (b)(2).

21 DR. SOPER: No.

22 DR. CHESNEY: No.

1 CHAIRMAN RELLER: No.

2 DR. NORDEN: Yes.

3 DR. DANNER: Yes.

4 DR. RODVOLD: Yes.

5 DR. CHRISTIE-SAMUELS: No.

6 DR. LOWY: No.

7 DR. MURRAY: No.

8 DR. O'FALLON: I vote no, but this one had
9 more data than the other one did.

10 (Laughter.)

11 DR. MURRAY: But less data for resistant
12 organisms.

13 CHAIRMAN RELLER: All right. Having
14 completed that question, since the vote here is three
15 yeses and seven noes, would anyone like to amplify for
16 the agency, you know, post voting discussion? Because
17 Dr. O'Fallon has pointed out that the numbers -- and
18 I think it has to do with the inclusion of ancillary
19 data from the specific pathogen studies that were
20 incorporated into the decision.

21 Dr. Murray, comment?

22 DR. MURRAY: For the penicillin resistant

1 pneumococcus, I thought there was, despite the
2 sponsor's efforts, just not enough cases overall of
3 penicillin resistant disease, although biologically
4 there is no earthly reason to think that a penicillin
5 resistant pneumococcus is going to respond any
6 different from a penicillin susceptible pneumococcus.
7 We've had that discussion before with the
8 floroquinolones.

9 So biologically I think there is no reason
10 to expect a difference. However, we have had a -- we
11 have, in essence, set a standard and asked for very
12 rigorous data for that labeling and gotten it. My
13 contrary vote on the methicillin resistant Staph.
14 aureus relates to the what I viewed as efficacy and
15 hospital acquired pneumonia with the organism and have
16 even less reason then to think that the community
17 acquired pneumonia with an MRSA would be any
18 different.

19 CHAIRMAN RELLER: Dr. Chesney.

20 DR. CHESNEY: After lunch, Dr. Hafkin gave
21 us numbers of 23 of 27 penicillin nonsusceptible
22 pneumococci responded, which is a good number, but if

1 this is approved, then that's what people will use
2 first, and I think those numbers are just too small,
3 and that's why I voted against it, even if we take
4 everything that's available.

5 CHAIRMAN RELLER: Dr. Norden.

6 DR. NORDEN: I voted yes because I think
7 in the aggregate -- I'm not sure I know what the right
8 number is, but I remember, and we talked about this at
9 lunchtime, we did approve levoquin for PRSP, PRSP,
10 yeah, something like that, and I don't think the
11 numbers were much greater, and I have no reason to
12 doubt that this data is good.

13 So it seems to me that I agree with
14 Barbara. It should work, and therefore, I'm not clear
15 why we would vote not to recommend it for pneumococcal
16 pneumonia.

17 CHAIRMAN RELLER: Dr. Leggett.

18 DR. LEGGETT: I was not here for the
19 levofloxiquin vote, but I can say that since it's now
20 being used for everything, we are seeing a markedly
21 increased rate of floroquinalone resistance, and the
22 timing is pretty much the same.

1 CHAIRMAN RELLER: Dr. Rodvold, do you have
2 any comment you want to make?

3 DR. RODVOLD: The other comment is that
4 this question is a little bit different, I think, than
5 in some of the levoquin discussions, that we're
6 dealing with just the penicillin resistant pathogen,
7 and we didn't get into so much of the bacteremia
8 issue, which I think we dealt a little bit more with
9 levoquin, and I think we got more information.

10 I think from a post marketing point, okay,
11 or from what the agency maybe should require after
12 whatever you approve is that it would be very helpful
13 to have experience in CAP with bacteremia, with
14 penicillin resistant isolates for everyone,
15 particularly as they're going to proceed on to try to
16 get a pediatric indication because I think that will
17 be even more important up there.

18 So I voted yes a little bit just based off
19 of a pathogen issue, but I'm not -- if you had said,
20 "And bacteremic," you'd have changed my vote instantly
21 back out to a no.

22 So I am staying only pathogen, without

1 going into -- going past the lung, so to speak, and I
2 think it would be very helpful for everyone in
3 multiple of these other indications that they seek
4 those type of patients later on.

5 CHAIRMAN RELLER: Actually, Dr. Rodvold
6 touched on one of my concerns, and in the discussion
7 earlier, it was noted that many of these patients had
8 multiple organisms isolated, and with all due -- I
9 mean, doing clinical research is an exceedingly
10 difficult task, and getting a precise etiology is also
11 tough, and it's getting tougher, given the
12 microbiological support that is available to some
13 investigators, you know, at the front lines.

14 But I, frankly, am a bit concerned about
15 Staphylococcus aureus, be it methicillin resistant or
16 susceptible. I think the numbers of patients with
17 pneumonia who have the organism isolated versus the
18 number who have pneumonia caused by the organism may
19 be considerably different, especially in hospital
20 acquired pneumonia.

21 We need to move on to question number two.

22 Two (a), do the data support the efficacy

1 and safety of linezolid in the treatment of adult
2 patients with hospital acquired pneumonia?

3 Dr. Sober.

4 DR. SOPER: Yes.

5 DR. CHESNEY: Yes.

6 CHAIRMAN RELLER: Yes.

7 DR. NORDEN: Yes.

8 DR. DANNER: Yes.

9 DR. RODVOLD: Yes.

10 DR. CHRISTIE-SAMUELS: Yes.

11 DR. LOWY: Yes.

12 DR. MURRAY: Yes.

13 DR. O'FALLON: No, and the reason I'm
14 saying that is because the confidence intervals on
15 this are getting down into the dangerous area. That's
16 why I'm saying no.

17 CHAIRMAN RELLER: Thank you, Dr. O'Fallon.

18 I think we have nine-one supporting safety
19 and efficacy for HAP.

20 Two (b), are there sufficient data to
21 support the claim for efficacy with hospital acquired
22 pneumonia owing specifically to MRSA?

1 Dr. Sober?

2 DR. SOPER: Yes.

3 DR. CHESNEY: Yes.

4 CHAIRMAN RELLER: Yes.

5 DR. NORDEN: Yes.

6 DR. DANNER: Yes.

7 DR. RODVOLD: Yes.

8 DR. CHRISTIE-SAMUELS: Yes.

9 DR. LOWY: Yes.

10 DR. MURRAY: Yes.

11 DR. O'FALLON: Mixed message. I'll go
12 with yes.

13 (Laughter.)

14 CHAIRMAN RELLER: Two (b)(2), penicillin
15 resistant Streptococcus pneumoniae.

16 Soper?

17 DR. SOPER: No.

18 DR. CHESNEY: No.

19 CHAIRMAN RELLER: No.

20 DR. NORDEN: Yes.

21 DR. DANNER: Yes.

22 DR. RODVOLD: No.

1 DR. CHRISTIE-SAMUELS: No.

2 DR. LOWY: No.

3 DR. MURRAY: No.

4 DR. O'FALLON: No.

5 CHAIRMAN RELLER: Eight to two not
6 thinking that that supports that specific claim.

7 Dr. Chikami, do you want to make a
8 comment?

9 DR. CHIKAMI: I guess as you discuss this
10 issue also with the community acquired pneumonia, if
11 the committee had any other comments or reasons for
12 their, in particular, for their no votes, with
13 additional information. For example, Dr. Rodvold
14 suggested, for example, more experience in patients
15 with bacteremia. Is that sort of a similar concern in
16 this case?

17 CHAIRMAN RELLER: I think I could probably
18 speak for the committee. I mean, the reality is that
19 we have 32 patients who unequivocally have pneumonia
20 caused by Streptococcus pneumoniae, and most of them
21 are as appropriate in the community. We know that
22 people can acquire pneumococcal pneumonia in the

1 hospital. It can be transmitted in the hospital, but
2 the numbers are simply not there with resistant
3 strains.

4 I don't think anyone has concerns that a
5 linezolid susceptible organism would respond
6 appropriately to treatment, but that's a different
7 issue from having the data in hand for a specific
8 indication for that organism. It doesn't in any way
9 preclude using it for strains that are less than fully
10 susceptible to any other antimicrobial if they're
11 susceptible in that institution to linezolid.

12 Question three, uncomplicated skin and
13 skin structure infections. Are the data there to
14 support safety and efficacy of linezolid for this
15 indication in adults?

16 Dr. Soper.

17 DR. SOPER: Yes.

18 DR. CHESNEY: Yes.

19 CHAIRMAN RELLER: Yes.

20 DR. NORDEN: Yes.

21 DR. DANNER: Yes.

22 DR. RODVOLD: Yes.

1 DR. CHRISTIE-SAMUELS: Yes.

2 DR. LOWY: Yes.

3 DR. MURPHY: Yes.

4 DR. O'FALLON: Yes.

5 CHAIRMAN RELLER: Are the data there to
6 support a specific subsidiary recommendation for skin
7 and skin structure infections with methicillin
8 resistant Staph. aureus?

9 DR. SOPER: No.

10 DR. CHESNEY: No.

11 CHAIRMAN RELLER: No.

12 DR. NORDEN: No.

13 DR. DANNER: Yes.

14 DR. RODVOLD: No.

15 DR. CHRISTIE-SAMUELS: No.

16 DR. LOWY: No.

17 DR. MURRAY: Yes.

18 DR. O'FALLON: No.

19 CHAIRMAN RELLER: Eight to two thinking
20 that there are not sufficient data at present.

21 Question 4(a) -- comments, sure.

22 DR. MURRAY: My commentary on the positive

1 side is based on the overall gestalt and with the
2 other supporting data across pathogen site. If it's
3 going to work in community in nosocomial pneumonia, I
4 think that with supporting data it will work in
5 complicated skin and soft tissue infections.

6 So I let the across the site organism
7 drive me even with the small numbers for this
8 particular site pathogen.

9 DR. SOPER: And what I suggest that we do
10 to try to remedy this at the end of the day is add
11 question number six about MRSA.

12 DR. RODVOLD: I brought up a point earlier
13 and the company answered it, is that in the
14 uncomplicated trial, they actually used 400 milligram
15 dosages. So if you are going to give an MRSA
16 indication, they themselves said that 600 was what
17 they felt comfortable with. So to me if you do come
18 around to an indication, I think you've got to deal
19 with a dosage recommendation here.

20 And your MICs had a tendency and a means
21 and some of the global data raised a little bit. So
22 I think you may have a labeling dosage issue as well

1 to sort out or it needs to be at least discussed.

2 DR. CHIKAMI: May I ask other members of
3 the committee if, in fact, that was an issue that they
4 took into consideration?

5 Because, as Dr. Rodvold pointed out, if --
6 that can certainly be handled in labeling, given the
7 fact that the preponderance of the evidence for --
8 excuse me -- preponderance for data for MRSA comes
9 from Study 31, which a 600 milligram BID dose was
10 used, so, in fact, that, in fact, could be linked to
11 that particular -- treatment of that particular
12 organism in any of the skin indications.

13 So I just sort of wanted to get the
14 committee's read on that.

15 CHAIRMAN RELLER: Comments for Dr.
16 Chikami?

17 DR. NORDEN: Yeah, I agree with Dr.
18 Rodvold on that.

19 CHAIRMAN RELLER: It influenced my
20 consideration that, you know if there is any singling
21 out of this organism, that it would be important to
22 have the higher dose until we had sufficient data that

1 the other dose was comparable effective for this
2 particular pathogen.

3 The other concern that I had was you're
4 exactly right that most of the data that would support
5 this, since there was only one patient with MRSA in
6 the uncomplicated category from the trials is the
7 supplemental data was important and useful, but I'm
8 not absolutely clear.

9 We saw the depiction of the categories of
10 those patients. Many of them were surgical wound
11 infections, and what role, removing the sutures,
12 draining the stitch abscess, all of those things had,
13 and admittedly those patients were very sick, but I
14 got the sense that they were very sick not necessarily
15 because of the Staphylococcal infection, but because
16 they were very sick patients who had a staphylococcal
17 infection. So I think it's -- you know, I'm not
18 exactly sure of the comparability, not that it isn't
19 important information, but that it's to me a bit
20 different from an enrollment specifically for a skin
21 and skin structure infection.

22 DR. LOWY: And that was the major reason

1 for my no, because I'm not sure that the complicated
2 skin and cell tissue people were really that
3 complicated. It sounds like you could just open up
4 the wound, and you didn't really need antibiotics, and
5 we are then going to launch this into diabetic feet,
6 osteo that's not recognized, a whole can of worms that
7 has not even been studied, and the MICs are near the
8 floor level, which is with a bacteriostatic drug makes
9 me very worried.

10 CHAIRMAN RELLER: Dr. Chesney.

11 DR. CHESNEY: I just wanted to endorse Dr.
12 Soper's comment that we vote -- make number six be
13 basically study number 30 -- 31.

14 CHAIRMAN RELLER: We'll get there after
15 five.

16 Dr. Soreth.

17 DR. SORETH: Two comments. With regard to
18 complicated skin and skin structure infections with
19 some notation as to how many patients require surgical
20 adjunctive intervention at baseline, that's actually
21 part of the division's guidance document for the
22 definition of complicated skin and skin structure

1 infections.

2 So we ask that sponsors study patients in
3 complicated skin and skin structure infections for
4 whom it is assumed and shown that a certain proportion
5 of them require surgical intervention. That's what
6 helps us to deduce that those patients are, indeed,
7 complicated in addition to having co-morbid
8 conditions, perhaps immunodeficiency, and so forth.

9 A second comment unrelated to that, but
10 related to this proposed sixth question has to do with
11 the way the division grants indications, and this
12 application is no different in that respect. We
13 typically grant indications for a site specific
14 infection, pneumonia or hospital acquired pneumonia,
15 interabdominal infections, urinary tract, and so
16 forth.

17 VRE represents an exception, and I think
18 to date the only exception. We have not granted a
19 methicillin resistant staph. infection indication at
20 all body sites. The sponsor has not requested it, and
21 in developing and co-developing this program of drug
22 development, we thought that the approach of having

1 this catch-all Protocol 31 that would develop and
2 enrich four methicillin resistant staph. infections
3 would then, in fact, support, give numbers to the site
4 specific indications, knowing from hard experience for
5 however many reasons there are that we don't get large
6 numbers of such resistant organisms within that site
7 specific indication.

8 So that's why we have not drafted that
9 sixth question, because we don't grant the indication
10 that way.

11 CHAIRMAN RELLER: I understand.

12 Question 4(a), do the data support
13 efficacy and safety of linezolid in the treatment of
14 adult patients with complicated skin and skin
15 structure infections?

16 DR. SOPER: Yes.

17 DR. CHESNEY: No.

18 CHAIRMAN RELLER: Yes.

19 DR. NORDEN: Yes.

20 DR. DANNER: Yes.

21 DR. RODVOLD: Yes.

22 DR. CHRISTIE-SAMUELS: Yes.

1 DR. LOWY: Yes.

2 DR. MURRAY: Yes.

3 DR. O'FALLON: Yes.

4 CHAIRMAN RELLER: Are there sufficient
5 data to support efficacy and safety of linezolid for
6 the treatment of complicated SSSI caused by
7 methicillin resistant Staph. aureus?

8 DR. SOPER: No.

9 DR. CHESNEY: No.

10 CHAIRMAN RELLER: No.

11 DR. NORDEN: Yes.

12 DR. DANNER: Yes.

13 DR. RODVOLD: Yes.

14 DR. CHRISTIE-SAMUELS: No.

15 DR. LOWY: No.

16 DR. MURRAY: Yes.

17 DR. O'FALLON: This is crazy. You have in
18 this -- in this study there are 66 in this area that
19 have, you know, information on this, and it's a 70 --
20 what is it nine versus 73 percent in favor? So
21 there's more evidence here, but I don't know whether
22 it's complicated or uncomplicated. It's just the skin

1 structures are just stuck together. So I would say
2 there's evidence of some efficacy. Yes.

3 (Laughter.)

4 CHAIRMAN RELLER: Yes.

5 DR. STEVENS: Dr. Reller, it seems like
6 there's some confusion about what a complicated soft
7 tissue infection is.

8 My name is Dennis Stevens. I have had a
9 major interest in soft tissue infections for a number
10 of years. I participated in a number of the linezolid
11 clinical trials, including the complicated and
12 uncomplicated soft tissue infections.

13 These patients were very sick patients.
14 They were not folks that just had a simple surgical
15 wound infection that may be manipulating the wound a
16 little bit out, taking a suture out, would go away.

17 I could give you an example of the type of
18 patient that we admitted into the complicated skin
19 infection. A 65 year old diabetic patient with a huge
20 staph. and Group A strep. abscess on his back that had
21 bacteremia, had a huge debridement, area about this
22 big, and had a necrotizing superficial infection, the

1 skin and the fat, but not the fascia because that was
2 an exclusion criteria.

3 I think these were very ill patients.
4 They weren't minor at all, and I think that's kind of
5 been a misconception around the room.

6 CHAIRMAN RELLER: Thank you, Dr. Stevens.

7 Any other post vote commentary on question
8 four?

9 Question number five, do the data support
10 the efficacy and safety of linezolid in the treatment
11 of adult patients with infections caused by vancomycin
12 resistant enterococci?

13 DR. SOPER: Yes.

14 DR. CHESNEY: Yes.

15 CHAIRMAN RELLER: Yes.

16 DR. NORDEN: Yes.

17 DR. DANNER: Yes.

18 DR. RODVOLD: Yes.

19 DR. CHRISTIE-SAMUELS: Yes.

20 DR. LOWY: Yes, with a caveat that we
21 continue to look for data to firm up whether it really
22 works.

1 DR. MURRAY: I ditto those comments
2 exactly. Yes.

3 DR. O'FALLON: I'm just going to reinforce
4 Dr. Wittes' concern. These data could be biased, and
5 we don't have any way of knowing, and so the results
6 we have look like it's effective, but we aren't sure
7 what kind of data we have here.

8 CHAIRMAN RELLER: Is that a no, Dr.
9 O'Fallon?

10 DR. O'FALLON: Make it a no.

11 (Laughter.)

12 CHAIRMAN RELLER: For the record.

13 Now, 5(b) is a very important question
14 because it gives us the opportunity to tell the agency
15 what we would ideally like to see in this whole arena
16 of treating these emerging, increasing infections, but
17 that take place in patients who are so critically ill
18 that sorting out efficacy becomes exceedingly
19 difficult.

20 Recommended additional studies? Dr.
21 Soper, Dr. Chesney?

22 We're contemplating.

1 Dr. Norden.

2 DR. NORDEN: No, I don't have anything to
3 add.

4 DR. DANNER: I'm not sure this is exactly
5 part of five, but I would like to see more information
6 on the metabolism of this drug. The fact that it's
7 broken down by oxidants yet to be elucidated, I'm not
8 sure I know what that means, and it makes me concerned
9 that there might be interactions we don't know about
10 with drugs that have oxidant or anti-oxidant activity,
11 and whether there might be interactions with things
12 like inhaled nitric oxide; that, you know, can things
13 like that potentially attack these rings and break
14 down the drug so that if you have somebody in the ICU
15 on inhaled nitric oxide and this drug, they're
16 essentially not really getting the drug.

17 I don't know if you know the answer to
18 that, but I think it's knowing more about how it's
19 metabolized and potentially interacts with things that
20 affect oxidant pathways, might help us, you know, know
21 how to use the drug in those unusual settings.

22 DR. RODVOLD: Well, the other things that

1 you're asking about, one of those things Dr. Murray
2 brought up before about absorption in the ICU. I
3 mean, VRE people are going to be -- a lot of them are
4 going to be in the intensive care unit, and it would
5 be good to know whether or not you can dump this down
6 an NG tube, you know, enteral feedings, et cetera, et
7 cetera, all these other issues about viability and
8 sorting out are these the patients with the low
9 concentrations on the end or not.

10 And so there's almost a little bit of need
11 of more population pharmacokinetics and/or some
12 interaction studies, and I was a little surprised
13 there was no drug interaction study that's ever been
14 done with antacids, either out-patient or in-patient.
15 At least that's the impression I got. So I mean,
16 that's in all these indications.

17 The other issue though is that this is
18 VRE, and it doesn't split out whether or not this is
19 faecalis versus faecium, and they had to really grasp
20 the faecalis data and pull it out as we asked for
21 that, and it makes me a little bit -- you know, the
22 numbers are pretty low on the faecalis side here.

1 And so I think at minimum you need some
2 more post marketing faecalis data in this, and
3 secondly, it would be helpful for the prescriber to
4 have some of that put in the labeling either in the
5 back of the studies or something so that they actually
6 know how many isolates of faecalis have been treating
7 and what the comfortability factor is on that because
8 otherwise you're blanketing this across VRE.

9 CHAIRMAN RELLER: Dr. Chesney.

10 DR. CHESNEY: I think maybe it would be
11 helpful to break out the bacteremia data because we
12 kind of had to find it and it was in 31 where we found
13 the staph. bacteremia data, and I think that's always
14 the most convincing for something new.

15 So I think somehow to present that up
16 front or make that as a separate entity.

17 CHAIRMAN RELLER: Other recommendations
18 from the committee members? Dr. Murray?

19 Oh, one is not compelled to have
20 recommendations, but there be any, we want to hear
21 them. Dr. Christie.

22 DR. CHRISTIE-SAMUELS: Thank you.

1 I just felt we should collect as much data
2 as possible using compassionate use protocols,
3 gathering data through historical controls, although
4 this is very difficult.

5 In the pediatric population, I think in
6 newborns we can collect a lot of information, and in
7 the transplant population and in those who are
8 bacteremic.

9 And I don't know if it's possible to look
10 at a comparator trials with synercid and other agents
11 as they become available.

12 CHAIRMAN RELLER: Dr. Lowy?

13 DR. LOWY: I guess I still worry about the
14 hospital acquired pneumonias and the MRSA's and whether
15 there was a way of getting cleaner data on MRSA
16 pneumonias.

17 DR. LEGGETT: I guess back to the point I
18 made earlier in the day. This is a drug that is going
19 to be used in the hospitals for nosocomial infections,
20 and yet I am not sure I was convinced about the
21 severity of the cases that were presented dealing with
22 a static drug when we may be dealing with line

1 infections and endocarditis that is not recognized,
2 and I would like to see more data and more serious
3 patients, discitis (phonetic), osteomyelitis, that
4 kind of stuff.

5 DR. MURRAY: Just to point out that our
6 question asked for VR enterococci, whereas on page 6
7 in the sponsor's document they were separated out E.
8 faecalis, E. faecium, and I don't think in my opinion
9 that the data were sufficient for VRE faecalis. So I
10 don't know if you wanted any comment on whether we
11 would split them out or not.

12 And the other thing I would encourage the
13 sponsor to do again relates to the wide diversity of
14 levels that can be obtained because there may be some
15 patients out there whereas in a population they're
16 responding, but as an individual might fail because
17 they are one of those that ends up with a lower blood
18 level. So I would encourage some sort of follow-up
19 study on that because it may benefit that one patient
20 out of -- I don't know -- 20 or 50 that would perhaps
21 benefit from a higher drug level.

22 And then to go back to points we made

1 about earlier about metabolism, I assume there will be
2 follow-up studies on, again, looking more at patients
3 who are building up metabolites for their potential
4 clinical adverse events.

5 CHAIRMAN RELLER: Dr. O'Fallon, any
6 comments?

7 DR. O'FALLON: I do like the idea of
8 having the so-called enrichment studies. I think
9 that's a very good idea. I just think that for any
10 future studies it would be helpful to break out that
11 data by the indications that we're being asked to
12 evaluate. That would have saved a lot of problems on
13 my part anyway.

14 In terms of did anyone ever look at the
15 efficacy related to -- are they looking at -- I was
16 interested in the fact that some of them were spiked
17 and some of them were the long, sloping curve, area
18 under the curve. Has anyone looked at the efficacy,
19 you know, by max. level as versus the area under the
20 curve?

21 That was just thrown out there, but I
22 wondered if it made a difference.

1 CHAIRMAN RELLER: When the clinical
2 studies were initiated for linezolid, there was not a
3 comparator available, and hence the clinical trial
4 with 200 versus 600 milligrams to show a difference.

5 I would very much like to see as part of
6 the plans for this compound in what the agency does in
7 its final assessment is to see future data with
8 comparative trials with the options that are now
9 available.

10 The numbers of patients with vancomycin
11 resistant enterococci, albeit predominantly but not
12 exclusively faecium, are increasing, and they're
13 substantial, and it looks like even with the trials
14 that were done, the numbers are almost as many as with
15 less than fully susceptible pneumococci.

16 So this is, I think, an important issue to
17 really get comparative clinical trials prospectively,
18 you know, underway so that down the line we'll know.

19 And given the difference that looked like
20 it was there with 600 versus 200, even the possibility
21 of having a comparator and 200 and 600 to show that
22 200 might be like the comparator, but the 600 is

1 better than either or them if the numbers were larger,
2 that's a study that I'd very much like to see.

3 The difficulty with a straight comparison
4 of this agent versus another is always the nagging
5 suspicion that what would it be if you didn't do
6 anything, but if you can demonstrate 600 versus 200
7 and the 200 looks more like a comparator, that would
8 be very telling information for the future, I think,
9 especially with patients with positive blood cultures,
10 and with the caveats that Dr. Leggett pointed out.

11 Now, we probably have a little more
12 discussion that people would like to have. Whether
13 it's in the form of a specific question or simply the
14 kinds of additional information or studies that the
15 committee members would like to see if there were for
16 this or any other agent to have specific indications
17 for methicillin resistant staphylococci.

18 Dr. Soper? Chesney?

19 I'd like to see some additional clinical
20 trials. I mean I think everybody on this committee,
21 all the clinicians on the committee have a sense that
22 vancomycin is a tolerable drug for methicillin

1 staphylococci because we heretofore didn't have
2 anything else really to use, but that it's not
3 necessarily a very good drug for methicillin resistant
4 or staphylococci, period, if you have another
5 alternative and would prefer, as many have pointed
6 out, to use, for example, naphcillin for susceptible
7 strain.

8 So that comparative clinical trials with
9 the options for serious Staphylococcal infections,
10 both staph. susceptible and the increasing proportion
11 that's more than 50 percent in many hospitals, to
12 really put this and other agents to the test
13 prospectively, all at the same time in randomized
14 trial, for example, three currently available agents
15 and do a blinded prospective trial would be
16 exceedingly good to know.

17 Dr. Norden.

18 DR. NORDEN: Yeah, I would like to see
19 this drug tested in osteomyelitis. I think it's in
20 many ways an interesting agent. It's been given for
21 28 days already, and with the only caveat being the
22 platelet count, which you'd have to watch, but it's

1 available orally.

2 For MRSA vancomycin is not a great drug
3 osteomyelitis at all. We don't know anything about
4 synercid for osteomyelitis. So I think this would be
5 an inappropriate indication for the company to
6 consider trials in.

7 CHAIRMAN RELLER: Any other comments? Dr.
8 O'Fallon.

9 DR. O'FALLON: In looking at this data, we
10 don't know exactly. Have you ever considered
11 measuring the time to failure, say, of these drugs as
12 opposed to just -- what would you call it? -- cure,
13 yes/no?

14 Well, that's fine, but have they ever
15 looked at time to cure or time to failure in these as
16 a measurement of the efficacy of these drugs comparing
17 drugs?

18 DR. CHIKAMI: In the usual studies that we
19 see, that we have reviewed for drug approval, we've
20 not seen those sorts of design, but I think you raise
21 a good point, particularly for and speaking generally
22 for those infections in which the real impact of

1 antimicrobial therapy is to shorten the course of
2 disease.

3 Then, in fact, a relevant measure may be
4 time to resolution or cure. Now, of course, that adds
5 a technical complexity to the trials. One needs to
6 then define appropriately what that event is, what
7 cure is, and then design the trial so that you capture
8 the information in a relevant way so that, in fact,
9 you can make reasonable comparisons in regard to the
10 time to event sort of analyses.

11 But, I mean, I think it's a reasonable
12 suggestion.

13 I think in those diseases where there's a
14 substantial mortality, for example, one could still
15 make those measurements, but I think ultimately the
16 relevant impact we want to have on those sorts of
17 diseases is the overall impact on mortality, for
18 example, in sepsis trials where the primary thing
19 we're looking at there is the 28 day, all cause
20 mortality.

21 Well, for example, serious and life
22 threatening infections, such as meningitis, where we

1 not only look at survival and resolution of the
2 infection, but also the incidence of serious
3 neurologic sequelae at six months, for example.

4 CHAIRMAN RELLER: Dr. Drusano.

5 DR. DRUSANO: Just as a commentary to Dr.
6 O'Fallon, I'm currently involved in advising a
7 particular sponsor looking at bacteremia, getting
8 blood cultures every day and looking at the time to
9 clearance of the blood culture and then looking at
10 covariates like measure of drug exposure relative to
11 the MIC, AUC-MIC, peak to MIC, time above MIC as
12 covariates in a Cox proportional hazards analysis.

13 We've also done this and published this in
14 the journal AIDS. There was an analysis that was
15 requested by the FDA for the time to progression of
16 CMV retinitis with the drug phoscarnate.

17 So this is a very powerful way of being
18 able to get more information about how well a drug
19 actually does its job, and it's much more sensitive
20 than using Lodgets (phonetic) or just any other kind
21 of yes/no kind of analysis.

22 CHAIRMAN RELLER: We've just heard from

1 Dr. George Drusano who's a professor of pharmacology
2 and infectious disease clinician in Albany Medical
3 College.

4 Are there other comments or questions?

5 Dr. Chikami, have we done our job and is
6 there any other thing that you want to ask of us?

7 DR. CHIKAMI: No.

8 (Laughter.)

9 DR. MURPHY: Barth, but we do want to say
10 thank you.

11 (Laughter.)

12 DR. MURPHY: And that we do think we are
13 moving this field forward as we develop these
14 products. It has been a very difficult row to hoe
15 here with not having standards as comparators and
16 having to do standards of cares, dose response type of
17 studies, and we think that we are continuing to move.

18 Some of the suggestions were very helpful,
19 and we do thank you for your input.

20 CHAIRMAN RELLER: In closing, I'd like to
21 thank all of the presenters, especially
22 Pharmacia/Upjohn for their collegial addressing all of

1 the questions posed and then some into those from the
2 FDA in their presentations to try to do justice to the
3 importance of the topic at hand.

4 Thank you and have a safe journey home.

5 (Whereupon, at 4:01 p.m., the meeting was
6 concluded.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

C E R T I F I C A T E

This is to certify that the foregoing transcript in
the matter of: ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE
68TH MEETING

Before: FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

Date: MARCH 24, 2000

Place: GAITHERSBURG, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Davis