

1 data, or it would just take too long and be too costly,  
2 again maybe suggesting that one type of study shouldn't be  
3 used to answer all types of questions.

4           There was also the thought that perhaps too  
5 much additional data was being requested by the guidelines,  
6 I guess in a sense echoing this idea that surveillance of  
7 the many implies not very much information, whereas  
8 intensive follow-up really is meant to be restricted to the  
9 few.

10           They also alluded to the fact that there were  
11 many difficulties in generating enrollment to look at main  
12 associations, much less to look at subgroups; that there  
13 was an extreme difficulty in identifying appropriate  
14 control groups, especially for drugs taken for chronic  
15 conditions.

16           And from a more legal point of view, there were  
17 confusions about regulatory reporting requirements. At  
18 least at a place like CDC, a lot of whether something is  
19 surveillance or something is an epi study is really a legal  
20 question in a sense because if you're a surveillance  
21 system, you don't need IRB clearance and the same if you're  
22 an epi study. So, those legal questions pop up in a number  
23 of different frameworks.

24           I think we're going to hear a talk next about  
25 the wonders of centralized registries. I'm not going to

1 tilt one way or the other here. But there was an  
2 interesting quote in one of the papers. "To date,  
3 pregnancy drug registries have not detected any previously  
4 unknown teratogens." Obviously, a complete failure. Let's  
5 do away with them? No, I don't think that's quite the  
6 answer.

7 I think a better way to look at this is to say  
8 that the background work in setting up a registry in many  
9 cases probably has provided all kinds of useful  
10 information. It has provided estimates of usage of drug  
11 during pregnancy. It has provided experience in methods of  
12 locating and enrolling women being in registries. Certain  
13 drugs I think have an easier time of this. If you have a  
14 drug which is being used in a more identifiable group, for  
15 example, women who are HIV positive, women who have  
16 diabetes, you may have built-in mechanisms to try to find  
17 those people, but I think as you've gone about this, you've  
18 become much cleverer about the way that you find out who  
19 are the women that are taking these drugs.

20 You get experience by running the registry.  
21 You learn to recognize what are appropriate data collection  
22 sources. If you are going to try to get at a variety of  
23 types of outcomes, you become more savvy about can birth  
24 certificates tell you what you want to know. Can cancer  
25 registries tell you what you want to know? Can birth

1 defects registries tell you what you want to know, or do  
2 you need to go directly yourself to the medical record or  
3 to the person and find these things out?

4           You also begin to learn what data elements are  
5 collectible in the sense that people will answer you or  
6 that they, for example, will be available in a medical  
7 record or that people will tell you in an interview.  
8 What's my job? None of your business.

9           Then the experience from actually using the  
10 registry data. If nothing else in the sense of the  
11 prospective women that you have put together, you certainly  
12 have some descriptive data on the level of risk.

13           Also, as you go about trying to see whether  
14 there is something beyond using general population data, I  
15 think you also learn a lot about whether good controls are  
16 available. I think, for example, in the area of HIV  
17 positive women, it's very difficult to identify a good  
18 control group and possibly impossible.

19           I think one thing you may find out in this  
20 area, though, is that you may have to use other drug groups  
21 as your control group. You may not like it, but what you  
22 may end up doing in some sense is comparing drugs rather  
23 than comparing a drug to no exposure.

24           I think lastly I want to emphasize I think one  
25 thing that is almost always overlooked is the importance of

1 negative findings. Oh, we didn't find any cases. Boy,  
2 what a bummer. Nobody died. It's good. I mean, it's good  
3 when horrible things don't happen to good people.

4           You do this registry, you do this surveillance  
5 system, you do this epi study, and you see that in a series  
6 of N pregnancies, there were no observed cases of outcome  
7 Y. If N is 10, that's not very impressive, but if N is 100  
8 or 500 or 1,000, you actually can make some fairly strong  
9 statements about the absolute level of risk in this case.

10           Sort of a general rule of thumb, when you  
11 observe 0 cases out of N events, an upper 95 percent  
12 confidence bound for that absolute risk is 3 out of N. It  
13 doesn't mean it's impossible, but it means if you view of  
14 1,000 pregnancies with no events, you tend to doubt  
15 background risks of greater than 3 out of 1,000, for  
16 example.

17           So, in conclusion, I hope that I have been  
18 somewhat convincing in telling you that to evaluate  
19 specific exposure-outcome associations in a timely and  
20 useful manner requires, in most cases, a great deal of  
21 background information which in most cases will be the hard  
22 work of someone else's results.

23           You need infrastructure and a lot of the  
24 problem will be who's going to supply that infrastructure.  
25 Is it going to be a drug company? Is it going to be the

1 government in some sense?

2           And it takes time. Maybe you think it's ironic  
3 to say it takes time to do a timely response, but I mean  
4 time in the sense that you need to have started a long time  
5 ago to have a timely response now.

6           Finally, don't think that all associations can  
7 or should be evaluated in exactly the same way. Again, the  
8 rareness of an outcome certainly dictates how you should go  
9 about studying it and also your ability to characterize it.  
10 It also dictates how you should go about studying.

11           Finally, don't underestimate the importance of  
12 negative studies. You've got a negative study, you've got  
13 a negative study, they've got a negative study. If you  
14 just got together, you'd have a really positive outcome at  
15 that point.

16           So, thank you.

17           DR. GREENE: Thank you.

18           (Applause.)

19           DR. GREENE: Questions or comments regarding  
20 Dr. Rhodes' presentation? Don?

21           DR. MATTISON: Since Dave Erickson is here and  
22 you've had experience with multiple types of databases,  
23 surveillance systems, whatever, maybe the two of you could  
24 address the issue of the usefulness of combining or  
25 strategies for combining individual state birth defect

1 surveillance systems in ways that might help underpin  
2 registries that would be established by manufacturers to  
3 try to better characterize risk of drug exposures to  
4 pregnancy outcome. How can the existing systems be used in  
5 any meaningful way?

6 DR. RHODES: Let me make a general comment and  
7 then I'll defer to Dr. Erickson for the specifics of that.

8 I think in some ways it's certainly very  
9 attractive to have centralized registries in the sense that  
10 you now have a common infrastructure, if you will, that  
11 group A doesn't have to build an infrastructure different  
12 from group B, different from group C. There certainly is a  
13 savings and a synergistic effect in that regard.

14 However, I wouldn't want people necessarily to  
15 be fooled into thinking that, oh, and now we're going to  
16 get more people who are exposed to a certain drug  
17 necessarily unless there's something about the centralized  
18 registry that has more clout to go out and find people or  
19 just more smarts about doing it. For example, if in the  
20 HIV/AIDS surveillance activities we can identify a  
21 substantial portion of women who are HIV positive and have  
22 been pregnant, I don't see that a centralized registry of  
23 all kinds of other drugs is going to do any better of a job  
24 for us than we can do for ourselves in that regard. But if  
25 you have a drug that has no home or an outcome that has no

1 | home in that sense, I think all those kinds of exposures or  
2 | outcomes can find a common home and benefit greatly from  
3 | it.

4 | DR. GREENE: Did Dr. Erickson want to comment  
5 | on that?

6 | DR. ERICKSON: I think Phil did a good job of  
7 | answering it.

8 | DR. GREENE: Okay.

9 | DR. WIER: I wonder if you could comment on  
10 | whether exposure registries versus outcome registries are  
11 | more suitable to be centralized. We hear in discussions  
12 | people using the word registries, but I see them as very  
13 | different animals. I'm not sure that they're both equally  
14 | amenable to centralization.

15 | DR. RHODES: Certainly one attractiveness of a  
16 | centralized exposure registry is when there is the  
17 | likelihood that people have multiple exposures, and that's  
18 | an important piece of information either in terms of that  
19 | it's the combination that does something that neither one  
20 | can do by itself or one is the control for the other. If  
21 | I'm running registry A and you're running registry B, we  
22 | may never get that information together.

23 | The other side of it is that I think it's also  
24 | a function of how long of a list of exposures do you have,  
25 | how long of a list of outcomes do you have. Nobody owns

1 | outcomes, but people do own exposures in the sense of a  
2 | drug company or multiple drug companies own exposures.

3 |           For example, in the context of the Vaccine  
4 | Safety Datalink and the varicella vaccine, one of the study  
5 | sites, one of those HMOs, has an ongoing -- I'm not sure if  
6 | it's ongoing, but it was an extensive ongoing surveillance  
7 | system for varicella vaccine paid for by the manufacturer  
8 | that was apart from the Vaccine Datalink study. But they  
9 | did an even more active follow-up because they were also  
10 | interested in breakthrough cases and various things like  
11 | that.

12 |           So, in those contexts I think the difficult  
13 | things about these exposure registries when they are really  
14 | owned by some entity, whereas if it's environmental  
15 | exposures where it's harder to point to a particular  
16 | entity. So, I think administratively there is this extra  
17 | burden to overcome of how do we get 10, 12 groups together  
18 | to talk about all these exposures.

19 |           I think that exposure registries -- for  
20 | example, a sister agency to CDC, ATSDR, has exposure  
21 | registries, but they seem to expend an awful lot of time  
22 | getting exposures, but they haven't really got to the  
23 | outcome side yet. So, I think you have to think carefully.  
24 | Well, I'm going to get all this exposure data. What  
25 | outcome sources am I going to compare it to?

1                   Now, in the case of the Vaccine Datalink, it's  
2 obvious you've got everybody who's in the same medical  
3 system, the exposures and the outcomes are coming more or  
4 less from the same system.

5                   DR. GREENE: Jan?

6                   DR. FRIEDMAN: One advantage of centralized  
7 exposure registries from the point of view of people who  
8 contribute the information to them, that is, the physicians  
9 and the health care providers, is that you would just have  
10 one number to call, you'd have one place who could provide  
11 the information from them if you're trying to get  
12 information too. I think that that in itself would  
13 increase the amount of data that you would get. It would  
14 just make it easier. If there was one phone number, one  
15 web site, that's where you went and you didn't have to  
16 figure out whether this particular drug was made by company  
17 X or it didn't have a registry or it did, it just would  
18 facilitate it. Since you're depending on a passive,  
19 voluntary system to start the process, you want to make  
20 that easy.

21                   DR. RHODES: I think you're right in that sense  
22 except that the person you're going to get a hold of is  
23 going to have to be more generic in their knowledge.

24                   DR. FRIEDMAN: The person is the doctor who  
25 prescribes the medicine.

1 DR. RHODES: No, but I mean in the sense of  
2 when you're interacting with the registry, you're going to  
3 be getting a person who's more generic about their  
4 knowledge.

5 DR. FRIEDMAN: That's the same thing whether  
6 it's the manufacturer or OTIS that's providing the data.  
7 They're still interacting with the same data sources.

8 DR. RHODES: The other down side, though, of a  
9 centralized registry can be that -- take, for example, the  
10 antiretroviral registries. They actually are one of the  
11 few examples where it's run by a consortium of drug  
12 companies. One could think of a lot of questions you would  
13 ask in this context that are of no interest to a general  
14 registry. In other words, there still has to be some way  
15 of having very specific questionnaires for certain classes  
16 of drugs. So, I think even if you have a centralized  
17 registry, there's going to need to be some specialization  
18 within that centralized registry and people are going to be  
19 responsible for certain portions of it.

20 One way of imagining a centralized registry is  
21 if I'm working for the registry, I can go off and do any  
22 number of drugs and I'm not specialized in any particular  
23 exposure. I'm not sure that's going to satisfy groups that  
24 want very specific information.

25 DR. FRIEDMAN: I agree completely.

1 DR. GREENE: Don?

2 DR. MATTISON: Given your experience with FARS,  
3 the Fatal Accident Reporting System, do you think it would  
4 have been better had it been done by Ford and GM or in a  
5 centralized, non-manufacturing dependent way that it's  
6 currently structured?

7 DR. RHODES: I think it's just fine the way it  
8 is. I think that independence is good. One topic that has  
9 come up a number of times in the Vaccine Safety Datalink  
10 study is, is there a way for the vaccine manufacturers to  
11 participate in a way that doesn't taint it in some  
12 fundamental fashion, which is sort of an ironic question  
13 given that these are sites where drug vaccine trials are  
14 done all the time and special follow-up studies are done  
15 all the time directly related to the manufacturers. So,  
16 it's a funny question in a way but I guess it's not so much  
17 the HMOs being involved with the manufacturers, it's the  
18 government being involved with the manufacturers is the  
19 real question in getting back to your comment about FARS.

20 While the source of funding -- in the  
21 government, these things can go up and down and you're  
22 worried your surveillance system is going to go away at any  
23 moment and where is the funding for next year. Having an  
24 ongoing source of support from the manufacturers would be  
25 comforting. For example, in that study, they have not

1 found a way to do it in a way that people feel comfortable  
2 about.

3 DR. GREENE: I'd like to come back for a second  
4 to the exchange that you and Jan just had a minute ago  
5 about the advantages and disadvantages of a centralized  
6 system and familiarity of the people at a centralized  
7 system with the individual agents. In that exchange, was  
8 there a little bit of confusion between the reporting  
9 function and the reporting out of the results to the  
10 individual providers for use in counseling?

11 DR. RHODES: Well, I think in the sense that if  
12 the place that receives reports has any function of  
13 providing information, whether it be just on the phone --  
14 what can you tell me about something -- if you're providing  
15 written reports, then obviously I can go to the shelf and  
16 you can go to the shelf. We're getting the same report.

17 But to the extent that there's a personal  
18 exchange of information, I think when you call up the  
19 antiretroviral registry, you hope they know something about  
20 antiretrovirals. You call up the centralized registry,  
21 well, that's not my expertise. I know about  
22 anticonvulsants.

23 DR. GREENE: And that's an area that I think we  
24 need to come back to again later I think maybe, those two  
25 different functions: the data collecting and gathering

1 function versus the sort of digesting the data and helping  
2 clinicians use the data in counseling.

3 Allen?

4 DR. MITCHELL: Just a couple points. One, I  
5 thought that we were going to come back to it, so I was  
6 going to defer my comment. But I think it's not only  
7 important to separate those functions. And I agree with  
8 Jan. I think it's very useful to and it relieves a  
9 manufacturer or some information response burdens that  
10 actually could constrain the research activities. We've  
11 heard how the manufacturers are constrained in what they  
12 can say, what they can do, getting a control group, for  
13 example. A centralized registry would obviously, if it's  
14 done in a reasonable way, have the opportunity to do  
15 appropriate research rather than being constrained in some  
16 other ways.

17 But the other point that I wanted to respond  
18 to, Phil, was that I think the issue of centralizing state-  
19 based activities, there are some strengths to that which  
20 weren't mentioned. I think the CDC Centers for Excellence  
21 is a perfect example of enhancing not only the power of  
22 eight different population-based registries, but  
23 centralizing expertise and learning. I think if each state  
24 were to try to duplicate what is being done on a  
25 centralized basis, first of all, it wouldn't be very good

1 and it certainly wouldn't be as efficient.

2 Related to that, I think that there is some  
3 confusion in the discussion when we talk about exposure  
4 registries versus outcome registries and when one is  
5 appropriate and one is not. I think when you have a drug  
6 that's reasonably commonly used, then case-control  
7 surveillance is a very powerful way of responding to  
8 questions. Where you have a drug that's infrequently used,  
9 case-control surveillance is going to be pretty useless.  
10 So, again it's an issue of the segregating the design to  
11 meet the question that's being asked.

12 DR. RHODES: I would certainly agree with those  
13 comments.

14 DR. GREENE: Yes, please.

15 DR. RHODES: I would just make one additional  
16 comment. One strength of the Vaccine Safety Datalink study  
17 is that there are four HMOs involved that have their own  
18 active research organizations and that evaluation studies  
19 that are conducted from that data are not just done by CDC.  
20 They're often done by the HMOs themselves or in some  
21 collaboration. So, having those groups take part in that  
22 study and also colleagues from the FDA, having all those  
23 different groups involved has made it a much stronger  
24 effort.

25 DR. WEISS: Your talk and Dr. Mitchell's

1 | comments really crystalize something Dr. Andrews and I have  
2 | spoken about previously, and that's maybe where we should  
3 | be more careful with our terminology and that will help us  
4 | come to some better maybe consensus on some of these  
5 | questions. I think we're throwing the word "registry"  
6 | around way too loosely and are talking about probably the  
7 | entire spectrum from outcome-based registries, such as a  
8 | birth defect registry, exposure-based surveillance of  
9 | cases, as we heard about from Merck, to longitudinal cohort  
10 | studies where you have an exposed and non-exposed cohort,  
11 | maybe disease-based such as the antiepileptic registry,  
12 | then to targeted case-control studies.

13 | I think obviously when, how many, whether or  
14 | not it's feasible, and when we should ask to do them based  
15 | on what we know a priori I think is really going to drive  
16 | what we're going to do and how we're going to do it. I  
17 | think maybe we need to be really clear when we discuss  
18 | these issues what we are trying to do and what type of  
19 | study we're talking about.

20 | DR. GREENE: If there are no other questions, I  
21 | think we'll move to the last speaker of the morning then  
22 | please, to Dr. Jan Cragan from again the CDC.

23 | DR. CRAGAN: One of the questions for  
24 | discussion this afternoon refers to a centralized pregnancy  
25 | registry, and we've already started that a little bit here.

1 I'm going to explain some of the background of the current  
2 registries from CDC's perspective and how we came to be  
3 talking about a centralized registry and what we really  
4 mean by that. This will focus on registries for drugs of  
5 undetermined teratogenic risk.

6 Elizabeth Andrews explained yesterday how the  
7 acyclovir registry got started in 1984, and this really  
8 came out of the activities of the epidemiology department  
9 at what was then Burroughs Wellcome Pharmaceuticals. It  
10 dovetailed very nicely with what CDC was trying to do in  
11 terms of monitoring treatment for herpes exposures.

12 Members of the Birth Defects and Genetic  
13 Diseases Branch served as birth defects consultants on the  
14 advisory committee for the acyclovir registry, and because  
15 of the success of that registry and subsequent efforts, we  
16 rapidly found ourselves serving on, when acyclovir was  
17 active, a total of seven registry committees, each of which  
18 met maybe a couple of times a year. We ended up sending  
19 staff to maybe a dozen meetings each year, many of which  
20 had duplications of discussions and sometimes duplication  
21 of efforts.

22 We found ourselves repeatedly in discussions  
23 about wanting very much to promote and encourage these  
24 kinds of proactive registry activities by the  
25 pharmaceutical company and realizing that there were

1 | limitations to the methods and the type of data that they  
2 | collected, and they weren't really generating the  
3 | information that we thought was needed. To do that, would  
4 | require an active collaboration between the patients and  
5 | their physicians, the regulatory agencies, the  
6 | pharmaceutical industry, the public health agencies, the  
7 | private consultants, and all the varying groups.

8 |           Just to review a little bit, these are the  
9 | basic strengths of the registries that we've talked about  
10 | over the last couple of days. They represent a shift in  
11 | the focus of the pharmaceutical industry toward actively  
12 | monitoring for adverse effects of drug use in pregnancy.  
13 | I'm not sure it's really a shift so much as it's an  
14 | emphasis on actively looking for problems with the drugs.

15 |           The prospective nature of the ascertainment  
16 | reduces the selection bias that's inherent in adverse event  
17 | reporting and allows calculation of the risk of adverse  
18 | outcomes. So, it's a next step, if you will, in the  
19 | evaluation of pregnancy risks.

20 |           The registries have an increased statistical  
21 | power to identify defects resulting from individual drug  
22 | exposures because they enroll only exposed pregnancies.  
23 | The advantage of a cohort study is its ability to evaluate  
24 | rare exposures.

25 |           Some of the limitations of the methods are that

1 the registries have difficulty in obtaining sufficient  
2 sample size to evaluate changes in individual defect rates,  
3 as we have seen several examples of. A cohort study is not  
4 efficient in evaluating rare outcomes.

5 We've talked some about the lack of a control  
6 group. The Glaxo Wellcome registries state that they're  
7 worldwide registries and they will take case reports from  
8 any country at least where their drugs are marketed. In  
9 reality, it's not spread uniformly across those countries  
10 and they get a lot of reports from the U.S. and Great  
11 Britain and Australia and very few from other areas. So,  
12 it's very difficult to know what the actual population is  
13 that's giving rise to the cases that are included in the  
14 registries.

15 Elizabeth mentioned yesterday about the paper  
16 included in your background materials that compared the  
17 registry birth defects ascertainment to that of the  
18 Metropolitan Atlanta Congenital Defects programs. This is  
19 a retrospective, hospital-based ascertainment of birth  
20 defects among newborn infants in Atlanta. We use that as a  
21 comparison because we thought it was the most comprehensive  
22 comparative group we had, but we'd be the first to agree  
23 that that's not the appropriate comparison group for the  
24 registry methods because they're so different.

25 We've talked some about the quality of the

1 data, both the difficulty in identifying, pinning down the  
2 exposure in pregnancy and trying to link that to times of  
3 specific organ development and the difficulties with  
4 outcome data, particularly when the outcomes are obtained  
5 from obstetricians who have very limited contact with the  
6 infant.

7           Then there are the confidentiality issues, the  
8 ethical issues, the issues around protection of human  
9 subjects and obtaining informed consent that are not dealt  
10 with uniformly across all of those registries.

11           So, with that background, it became apparent  
12 that it's impractical to set up an individual registry for  
13 every drug or every class of drugs that we want to monitor.  
14 So, in January of 1999, a little over a year ago, CDC and  
15 FDA began to discuss the long-term future of these  
16 activities and whether there might be a way to combine or  
17 centralize the efforts. We thought that centralizing  
18 efforts might allow more efficiency in reporting by the  
19 providers. It might provide more consistency in analyzing  
20 the data and perhaps allow comparison of defect rates among  
21 different exposures or of one exposure to all of the  
22 others, some of the types of things we've talked about  
23 here.

24           It soon became very clear that the issues  
25 involved were too complex for us to resolve and that what

1 we really needed to do was to bring together all of the  
2 people who would be involved in or affected by a registry  
3 to do some brainstorming about how and whether it might  
4 actually work.

5           The last item in your packet of background  
6 materials is a draft proposal for a workshop to develop a  
7 centralized pregnancy registry. The last page of that  
8 proposal lists the agencies that had input into its  
9 development and into the proceedings for today's meeting.

10           The basic considerations for a central registry  
11 are these.

12           First, we have no preconceived idea of what a  
13 central registry would look like. This needs to be  
14 defined. We've said that the term "registry" is a bit of a  
15 misnomer or very much a misnomer. We aren't just talking  
16 about doing what the individual registries do on a larger  
17 scale. What we'd really like is to consider whether  
18 there's a unified approach or a unified plan that could be  
19 taken to address some of the issues that have been raised  
20 yesterday and this morning.

21           Perhaps the mechanism for studying various  
22 exposures and outcomes exists sufficiently and what we need  
23 is coordination of programs that can bring together  
24 information that can answer some of these questions.  
25 Perhaps what is needed is further development of existing

1 resources so they can address a wider range of exposures  
2 and outcomes. How do we go about setting up the hierarchy  
3 of studies to answer different questions that we've talked  
4 about?

5 In her opening comments yesterday afternoon,  
6 Sandy Kweder asked you to be creative and to think outside  
7 the box. When we talk about a central registry, that's  
8 really what we're asking you to do in thinking about these  
9 approaches and how we can have an organized way of  
10 addressing the issues.

11 The data to be collected addresses not only  
12 which drugs to monitor and which outcomes we need to  
13 evaluate, all drugs versus some, all defects versus some,  
14 miscarriage rates, low birth weight, long-term  
15 neurodevelopmental outcomes, but also how the data should  
16 be analyzed.

17 If a signal of concern is generated, what  
18 should be the next step in its evaluation and who's best to  
19 do that? Can we nest case-control studies within the  
20 surveillance to address specific issues? In which  
21 situation should we begin with a case-control approach?  
22 Who is best to conduct the different studies and how do we  
23 coordinate them? What's the best way to assure the  
24 objectivity and the scientific integrity of the findings  
25 and who is best to interpret them and disseminate the

1 information? All of these are included in what kind of  
2 data should be collected.

3 Then how should a central registry be  
4 administered and funded? We're not saying that CDC itself  
5 is going to do this or that FDA itself is going to do this.  
6 What we'd really like to talk about is what's the  
7 appropriate role for industry and what's the appropriate  
8 role for the government and what's the appropriate role for  
9 the private sector and who should interact with the  
10 patients.

11 How can we uniformly and consistently address  
12 the ethical and human subjects issues? What's the most  
13 efficient way and the way that's most acceptable to those  
14 involved in doing this? These may be different for  
15 different approaches, but how can we get them all together?

16 These are the kinds of issues that would be  
17 addressed in a workshop to design a pregnancy registry, and  
18 you'll see them reflected in the discussion questions for  
19 this afternoon. But we aren't asking you to design a  
20 central registry today. It's really the last issue that we  
21 want to talk about, and that's is a central registry really  
22 worth the effort.

23 We felt it was important to take a step back  
24 and look at the overall picture. Is a central registry  
25 something that there's interest in and support for? Is

1 | this something that you can take back to the groups and the  
2 | organizations that you represent and work with and generate  
3 | ideas for and begin to plan?

4 |           We'd like your advice on where and how effort  
5 | should be spent to improve our knowledge about the risks of  
6 | drug use in pregnancy, and what we really want help with is  
7 | what is the appropriate next best step and how can we all  
8 | work together to make that happen. So, these are the  
9 | things we'd like you to keep in mind during the discussion  
10 | this afternoon.

11 |           Thanks.

12 |           DR. GREENE: Thank you.

13 |           We'll now have questions and discussion about  
14 | this presentation, please. Yes, please.

15 |           DR. HAMMOND: So that we don't reinvent the  
16 | wheel, are there other countries in the world that have  
17 | this type of central pregnancy registry? Has this been  
18 | reviewed?

19 |           DR. CRAGAN: I don't know of one that combines  
20 | well the private sector and the government sector, and so  
21 | there are central monitoring systems in some of the  
22 | European countries, but I don't know. Sandy, perhaps --

23 |           DR. KWEDER: I don't think that there are any  
24 | systems specifically like this. There are independent  
25 | efforts that do this and there are countries, particularly

1 | where there are national health systems that automatically  
2 | capture prescription information and other health record  
3 | and outcome information that can generate signals and do  
4 | this sort of thing but they're based on health records.

5 | DR. GREENE: Jan?

6 | DR. FRIEDMAN: Jan, I wonder if it might be  
7 | useful to take a step back from the proposal to have a  
8 | central registry and ask first whether there's consensus  
9 | between the FDA and CDC and the public and industry and  
10 | whoever else is involved about the need to have better  
11 | information about what the effects of these drugs are on  
12 | pregnant women and their children.

13 | I think the answer is unequivocally yes, and if  
14 | it becomes unequivocally yes, then I think there's an  
15 | imperative to do something better than we're doing now.  
16 | That may help to drive whether it's a central registry or  
17 | whatever but may help to drive some solution. It's hard  
18 | for me to imagine anyone being satisfied with the situation  
19 | we have today or not wanting to do better. I think if we  
20 | can get a consensus on that principle, it would help us  
21 | move forward.

22 | DR. CRAGAN: Yes. If you look at the  
23 | discussion questions for the afternoon, that's what they're  
24 | trying to address, is what's the status of our knowledge,  
25 | what do we need, and how can we generate some of that.

1 That's exactly what we need.

2 DR. GREENE: Dr. Rodriguez?

3 DR. RODRIGUEZ: Yes. I'd just like to address  
4 the question of whether there has been experience in other  
5 countries. Other countries have had experience in this  
6 area, and the Hungarians have had a surveillance type  
7 system where they then conducted case-control studies to  
8 look at associations and risks.

9 DR. MITCHELL: I think that there really is a  
10 compelling argument for a centralized approach for a whole  
11 variety of reasons, many of which have been alluded to.

12 But I think that, again, to bring the model of  
13 the CDC Centers for Excellence in Birth Defects Research to  
14 the fore, the experience that many of us have had in  
15 working in that project, which involves eight states which  
16 might be the equivalent of eight companies conducting their  
17 independent registries, has really been an eye-opener, even  
18 for some of us who think of ourselves as older folks in the  
19 business.

20 The number of questions, the number of issues  
21 requiring resolution are just legion and they're even  
22 greater than what one would anticipate in reviewing a paper  
23 that comes out of a registry. I think the arguments about  
24 the need for a common infrastructure, the arguments for  
25 efficiency, the arguments for objectivity, and also the

1 arguments for relieving industry -- and I don't know how  
2 industry would relate to this -- of a very narrow burden  
3 that is very burdensome, the problem of controls and  
4 whether they can legitimately enroll subjects who are  
5 exposed to other drugs. The problems of comparisons, the  
6 problems of follow-up. I think from a public relations  
7 standpoint, an independent registry or follow-up program  
8 could have great impact. It could be incorporated into  
9 medical practice, as Jan was talking about, having an 800  
10 number, a single number.

11 I can think of just an infinite number of  
12 arguments in favor of a centralized registry. I'm hard-  
13 pressed to think of any arguments against it. I'd actually  
14 be curious, if it's not out of order, to ask for some  
15 thoughts about why a centralized registry might not be the  
16 way to go.

17 DR. WISNER: It's a slightly different point  
18 but it has some relevance to your question. And that is,  
19 we've talked about patient privacy and informed consent and  
20 patient confidentiality, but we haven't looked at again  
21 what may be a parallel process for physicians. This is  
22 what I see in a number of talks that I do that are  
23 physician education talks about medication use in  
24 pregnancy, and that is they all want more information about  
25 use of medicines in pregnancy, but they don't want to be

1 | the one to contribute the patient that helps find the  
2 | association with a negative outcome. So, what they ask is,  
3 | gee, what kind of risk do they incur by providing  
4 | information about patient exposures to a registry when the  
5 | agenda of the registry is in fact to make associations with  
6 | negative outcomes and what kind of legal ramifications  
7 | might they incur.

8 |           So, I think some thought to protecting the  
9 | physician's confidentiality or some way to address that so  
10 | physicians aren't worried about making those reports would  
11 | be helpful and would be one argument against reporting to a  
12 | centralized registry that physicians might make.

13 |           DR. GREENE: When you say a negative outcome,  
14 | do you mean absence of disease or presence of disease?

15 |           DR. WISNER: What the physicians are basically  
16 | saying is the reason that registries are done is because of  
17 | concerns about negative outcomes either for the moms or the  
18 | fetus, meaning reproductive outcomes, so that they're  
19 | reporting an exposure and it's possible -- in fact, it's  
20 | the intention that those exposures would be looked at for  
21 | their association with events that could become the focus  
22 | of a legal case.

23 |           DR. MITCHELL: If I could just respond a little  
24 | bit, Kathy. My own notion would be that it would be a  
25 | patient-based registry rather than a physician-based. The

1 | physicians would clearly be the learned intermediaries in  
2 | terms of encouraging patients to enroll, but it wouldn't be  
3 | too hard to envision an encounter in an obstetrician's  
4 | office which ends at the first prenatal visit with, by the  
5 | way, I'm taking part in encouraging more understanding  
6 | about drugs in pregnancy and there's a telephone out in the  
7 | lobby. If you wouldn't calling that 800 number and they'll  
8 | talk to you about how you might participate in this and  
9 | improve life for all of us. There are distinct advantages  
10 | which may be a subject of another discussion of why it  
11 | ought to be based on the pregnant woman rather than the  
12 | physician, consent and OTC and other exposures among those.

13 |           I think the companies can bring tremendous  
14 | marketing skill to bear on this because it really is a  
15 | marketing issue. As we talked about yesterday, you want to  
16 | change the way physicians and the public think of this  
17 | activity. Instead of as an indictment, it's really an  
18 | attempt to improve understanding.

19 |           I think if practitioners can be educated, if  
20 | there can be some kind of either -- it may be fantasy to  
21 | talk about liability protection, but some kinds of  
22 | incentives, perhaps CME. There are all sorts of creative  
23 | ways of rewarding physicians.

24 |           In one study we did -- it has nothing to do  
25 | with pregnancy -- a randomized trial of ibuprofen in

1 children, we enrolled 1,700 doctors across the country.  
2 Part of the incentive was simply giving them a certificate  
3 which they could put up in their offices which says we're  
4 taking part in research that's going to contribute to  
5 children's health. And they valued that. So, I don't  
6 think we should underestimate the interest that physicians  
7 have in improving patient care through this kind of  
8 activity.

9 DR. CRAGAN: Yes, I agree. If I can just  
10 respond. When we talk about confidentiality issues, most  
11 of the discussion here has been about the patient, but it  
12 really goes to all levels of people participating or  
13 advertising the registry.

14 One of the reasons we wanted to have primary  
15 obstetricians and patients involved in planning what  
16 something like this might look like was to get their input  
17 on how this can work in their practices and with their  
18 patients and how do we get their colleagues interested and  
19 what kinds of mechanisms would work.

20 DR. GREENE: Dr. Rhodes, did you have a comment  
21 that you wanted to make?

22 DR. RHODES: I was curious whether you had  
23 contemplated the role of large administrative databases  
24 like the Vaccine Safety Datalink in conjunction or sort of  
25 constituting such a registry or if the model was that it's

1 really women who report themselves or the physicians report  
2 the women, and whether in conjunction with that, you would  
3 want some sample of pregnancies that didn't report  
4 themselves.

5 DR. CRAGAN: Yes. Like I said, we have tried  
6 not to have a preconceived idea of what this would look  
7 like. We wanted to get input from people about how it  
8 might work best, and it may be that there are existing  
9 databases that can be used or can utilize for the future  
10 mechanisms to do this.

11 At the present time, the planning committee for  
12 this workshop, if it occurs, is trying to look at focusing  
13 a little bit on where we should put the emphasis to try to  
14 develop something. But it's very easy to get quickly  
15 involved in the details if we could do this and this, this  
16 is what it would look like, or we could do that, and I  
17 think at this point this afternoon we need to step back and  
18 go, well, what are all the different sort of possibilities  
19 and what is it reasonable to put emphasis on. And that's  
20 certainly one of them.

21 DR. WISNER: Just to follow up the point that  
22 Allen made, I like the idea of having the recruitment be  
23 patient-based, but the issue that we'll get into  
24 immediately is that the standard has been to confirm the  
25 patient's report of exposure by physician records. Now, I

1 | suppose an alternative could be pharmacy records, but I  
2 | think we're going to end up in a circle back around to  
3 | having to deal somehow with the physician being involved in  
4 | that reporting process.

5 |           DR. CRAGAN: Yes, I don't see any way around it  
6 | either.

7 |           DR. GREENE: Jim?

8 |           DR. LEMONS: Just to follow up on Allen's  
9 | points, which I think are really focused and good, my first  
10 | knee-jerk reaction is also that the central registry offers  
11 | great advantages, one being that it could help distance the  
12 | manufacturers from this onerous responsibility and also add  
13 | a lot of the credibility of CDC, FDA in operating such a  
14 | system.

15 |           It was interesting. Last night on the MacNeil-  
16 | Lehrer report, there were two Georgetown physicians serving  
17 | expert testimony on two drugs that recently had been  
18 | withdrawn, one being Propulsid. The whole focus of the  
19 | discussion and the emphasis was a lack of adequate post-  
20 | marketing surveillance information and that Congress had  
21 | failed to provide FDA and CDC with sufficient resources to  
22 | establish infrastructure over time. So, it really is in  
23 | the public arena at this time.

24 |           But my next reaction is that I guess it depends  
25 | on what is expected of a central registry because one hates

1 to negate the value of all of the infrastructures that are  
2 currently established. I was talking to Christina because  
3 I know Dr. Jones and Christina have experience where in  
4 California they're funded by California to do a lot of  
5 surveillance and actually measure outcomes, so outcomes  
6 becomes part of the expectation. But that isn't an  
7 expectation -- I agree with Dr. Sharrar -- it can't be an  
8 expectation of the usual what our envisioned surveillance  
9 systems are from industry.

10 So that as specific questions arise, perhaps  
11 derived from a large central registry, which would capture  
12 much more data, then more focused questions could be posed  
13 to utilize existing and evolving infrastructures that might  
14 engage with industry in sustaining funding over time, as  
15 Dr. Rhodes had alluded to, which would provide a lot of  
16 security, but again remove the industry responsibility a  
17 step away and provide perhaps greater objectivity and  
18 greater efficiency and efficacy.

19 DR. GREENE: Lew?

20 DR. HOLMES: This is a perfect time to begin to  
21 answer some of the key questions. I don't think you can  
22 really be sure a central registry would work until you've  
23 sorted out the comparison between the Merck model and the  
24 system we have of talking to the women themselves. You've  
25 got to settle whether controls can be enrolled, how much

1 | that costs. You need some sense of the budgetary  
2 | projections.

3 |           We find, I think, in the epilepsy registry that  
4 | the fact that we are helping provide answers that the  
5 | companies need engages them, and they have a sense of a  
6 | common effort that has very real value to them. If you  
7 | suddenly had a central registry, would you bring along that  
8 | corporate support? Would the women who are already  
9 | refusing to call because they're afraid of losing the  
10 | confidential data they feel they have be less likely to  
11 | call a "central registry," which has a lot of connotations  
12 | certainly in this country.

13 |           I think there are a lot of questions to answer.  
14 | What it seems like to me is the next step, the obvious  
15 | thing, would be to begin to have regular discussions at a  
16 | practical level of the experience of registry A versus B  
17 | versus C to begin to flesh out what seems to work, where  
18 | the positive things are, the negative things are because I  
19 | don't think you know enough to know what a central registry  
20 | would look like.

21 |           DR. CRAGAN: Oh, absolutely. I think in our  
22 | minds we're not sure that even that kind of model in a very  
23 | general way is the way we should go or the only way we  
24 | should go. I'm very concerned about having a plan for some  
25 | of the special studies that need to be done if there's a

1 concern or generation of a signal or for questions that we  
2 know are going to come up that simply can't be answered by  
3 a prospective type registry and to have some sort of plan  
4 for addressing those other issues that this general  
5 registry format, as it's sort of loosely applied to several  
6 different registries now or different companies, looks  
7 like.

8 DR. GREENE: Yes, Don.

9 DR. MATTISON: I'd actually like to ask another  
10 question and again, maybe we need to defer it till somewhat  
11 later.

12 One of the advantages of having a manufacturer  
13 collect data on adverse pregnancy outcome is their ability  
14 to look at questions of biological plausibility. We don't  
15 want registries to be idiot savants, I mean, simply  
16 collecting the data without being able to put them in a  
17 biological context. I think in the environment that we're  
18 operating in now, substantially more is being asked of data  
19 in a biological context.

20 So, one of the questions that I think is going  
21 to have to be addressed is how would a centralized registry  
22 get access to relevant biological information, receptor  
23 binding studies or structure-activity data or potentially  
24 highly proprietary toxicological data, endpoint data in  
25 culture, for example? Because the benefit really comes

1 | from the biological context that the signal is generated,  
2 | not simply that there is a signal.

3 |           DR. GREENE: Do you have any response to that,  
4 | or no?

5 |           DR. CRAGAN: No, I agree. The more you talk  
6 | about this, the more complex it gets. I know that there  
7 | are issues that we haven't even thought of yet. So, really  
8 | trying to plan it becomes a major task.

9 |           DR. GREENE: Jan?

10 |           DR. FRIEDMAN: I think there are two obvious  
11 | responses to that. One is that the registry ought not to  
12 | be seen as the administrative project but more as a  
13 | scientific project where the people who are involved are  
14 | not just doing it because it's a job that they have to do  
15 | this week, but rather are committed to the data and  
16 | committed to understanding it.

17 |           The other is that in this age of electronic  
18 | instant communication, a central registry need not be in  
19 | one place at one time. So, one could see a centralized 800  
20 | number or a centralized website that served as the front  
21 | door, but the anticonvulsant drugs might be housed in  
22 | Boston and the part related to antidepressants might be in  
23 | San Diego and some other part might be someplace else, and  
24 | they all function under a common infrastructure and talk  
25 | the way that the various states talk to have a common set

1 of questions, but still had different questions where  
2 they're appropriate. I don't think we need to think of a  
3 bureaucratic, lock-step kind of thing that's completely  
4 insulated from science and insulated from reality to have a  
5 centralized registry.

6 DR. GREENE: Allen?

7 DR. MITCHELL: Yes, I would second that  
8 although, Jan, I don't even think you need to go as far as  
9 sort of having a front door with different rooms in the  
10 house.

11 I think that the issue you raise is a very  
12 important one, Don, but I really don't think it's a very  
13 difficult one to solve. In our Accutane project, which is  
14 a very different activity, manufacturer's representatives  
15 sit on our advisory committee. It's not very different  
16 from your experience where the manufacturer is running the  
17 registry but there's an advisory committee of outside  
18 experts. I think it is not at all removing the  
19 intellectual activity, I would argue it's enhancing it, and  
20 because you have at the table different interests being  
21 represented, the issue of proprietary information can be  
22 dealt with pretty easily I think. I wouldn't see that as a  
23 stumbling block at all. I think it's actually an  
24 advantage.

25 DR. GREENE: Other comments before we break for

1 | lunch? Yes, please.

2 |           DR. ANDREWS: I think we really need to have  
3 | further discussion about which drugs would be monitored  
4 | because I would hate for us to think that we could be  
5 | casting such a wide net that we would really diminish our  
6 | ability to get people to the door to begin with because I  
7 | think when we look at all of the registries that involve  
8 | someone volunteering to come forward and report themselves  
9 | or a patient to a registry, that's the fundamental problem.  
10 | It's how do you get them to begin with. And if we do cast  
11 | such a wide net, I think it's going to be very, very  
12 | difficult even though I agree it would be simpler to have  
13 | one single number. But how do we encourage that reporting?

14 |           Or is that the right model? I'd go back to  
15 | Philip's comment about whether it's possible to use another  
16 | system that might be in existence to identify and do a  
17 | better job of full capture of exposed women. For example,  
18 | using administrative databases which may not be sufficient  
19 | for answering all of the questions, but could certainly  
20 | identify all patients within a defined population who have  
21 | had a pregnancy exposure to a particular drug. That's  
22 | something can't do right now or we're not doing. But it's  
23 | certainly possible to use administrative databases as a  
24 | starting point for identifying all women in a defined  
25 | population with a given exposure.

1                   Certainly using the model of a database in the  
2 UK, the General Practice Research Database, which includes  
3 about 5 million people with electronic medical records from  
4 1989, it's certainly possible to identify all women with  
5 exposures to certain medicines, and then one can nest  
6 various studies within that by going back to the physicians  
7 or even contacting women.

8                   So, I would encourage us to think more broadly  
9 than just systems which have to get people to come to a  
10 door.

11                  DR. GREENE: I just had one question for the  
12 epidemiologists in the crowd. When you raised your hand  
13 after Don spoke, I was wondering whether epidemiologists  
14 get nervous when people start talking about biological  
15 plausibility of data.

16                  DR. MITCHELL: Do I have to answer that?

17                  (Laughter.)

18                  DR. MITCHELL: Actually some epidemiologists  
19 welcome that.

20                  DR. MILLS: We love biological plausibility.

21                  DR. RHODES: I'm not sure where I saw it, but  
22 there was a comment recently that biologists should think  
23 about epidemiologic plausibility.

24                  (Laughter.)

25                  DR. KWEDER: I actually had just one comment

1 that may be a little peripheral to this but I think touches  
2 on the concern that Kathy raised about protecting  
3 physicians who share information and also the one that  
4 Allen raised regarding the interest among clinicians who  
5 are out there in contributing to data collection efforts.

6 I think that those points are very germane to a  
7 general issue that has been a focus of great discussion in  
8 our agency and I think among other government agencies and  
9 in the private sector regarding the Institute of Medicine's  
10 report on medical errors. If you listen to John Eisenberg  
11 from what used to be AHCPH and now is ARQ talk about what  
12 we need to do to address that, one of the things that we at  
13 FDA are cognizant of is importance of -- as we look toward  
14 new systems for data collection and finding solutions to  
15 problems like medical errors or worries about people  
16 administering medicines that may not be safe or  
17 administering them in ways that may not be safe, we need to  
18 create systems that allow people to contribute the  
19 information that's out there in the trenches without fears  
20 of reprisal. I think that all of that does need to be part  
21 of our discussions here.

22 Should we be thinking about ways to make things  
23 a win for everybody, a win for the public health, for the  
24 clinicians, and get patients and physicians to feel like  
25 they are contributing in a significant way that not only is

1 for the greater good, but that helps them or that they see  
2 a benefit to themselves?

3 DR. GREENE: Thank you.

4 I think actually we're going to break for lunch  
5 in just a moment. There are just a couple of announcements  
6 before we break.

7 DR. TITUS: If there is anyone interested in  
8 speaking this afternoon during the open public hearing  
9 time, please see me at this time.

10 Lunch for the committee. There are rooms  
11 reserved for you in the restaurant.

12 DR. GREENE: We will reconvene at 1 o'clock.

13 (Whereupon, at 11:57 a.m., the subcommittee was  
14 recessed, to reconvene at 1:00 p.m., this same day.)

15

16

17

18

19

20

21

22

23

24

25

## AFTERNOON SESSION

(1:10 p.m.)

1  
2  
3 DR. GREENE: If we could come back to order,  
4 please. We're coming down the home stretch. It has been a  
5 long day and a half.

6 First of all, although we're scheduled to have  
7 the whole afternoon available for discussion, for people  
8 who have to catch airplanes and whatnot, it's hard for me  
9 to imagine that this is going to go to 5 o'clock starting  
10 at this point. We'll see how the discussion goes, but it's  
11 hard to imagine that this is going to go till 5 o'clock.

12 I'd like to start the afternoon by giving Dr.  
13 Andrews an opportunity to speak for a minute about an issue  
14 that she raised with respect to differences in the way  
15 registries function. So, go ahead, Dr. Andrews, please.

16 DR. ANDREWS: Great. Thanks a lot.

17 Evelyn and I had been speaking earlier, and we  
18 were talking about the different approaches that have been  
19 taken in the registries, and I thought it might be worth a  
20 little bit of discussion in the group.

21 We heard from Holli this morning about the  
22 adverse experience reporting system in which adverse events  
23 that have been in some way associated with a medicine or  
24 reported to this AERS system, which is a numerator system,  
25 which has been useful at times in raising new signals

1 | because rare events that could never be detected by the  
2 | best epidemiologic studies will often come to attention of  
3 | that system.

4 |           We also heard that it really is not a system  
5 | for common events that can be measured in other ways, and  
6 | that the FDA is considering in its new guidance, how it's  
7 | going to guide those of us who do registries regarding  
8 | reporting of events. In one model every event that comes  
9 | to the attention of a registry would need to be reported on  
10 | the MedWatch form and then tracked and followed up, and  
11 | through a mechanism, if these are considered to be studies,  
12 | then what becomes reportable is an association. And an  
13 | association in an epidemiologic study is made not by the  
14 | review of individual cases but by the aggregate data and  
15 | the analyses. So, what's reportable then would be the  
16 | finding or individual cases that have been associated or  
17 | attributed.

18 |           I think it's important for us to acknowledge  
19 | the issue of practicality and I keep coming back to that.  
20 | If we were to register 1,000 exposed pregnancies and every  
21 | adverse experience under the surveillance database system  
22 | were to be reported, that would be 30 birth defects, maybe  
23 | 150 spontaneous abortions, and 300 elective terminations,  
24 | all of which would need to be reported by the registry to  
25 | the company, from the company to the FDA, tracked in annual

1 reports. The amount of work in doing that is not trivial,  
2 and the amount that's learned from that information is  
3 certainly something that would need to be considered if  
4 we're talking about using that system in addition to an  
5 epidemiologic evaluation of the safety data.

6 So, I wondered if there were some other  
7 comments about that from people who have been working with  
8 registries.

9 DR. HOLMES: I have a request of the FDA on  
10 that point. If there were very clear descriptions of what  
11 needs to be reported on the MedWatch, it would save an  
12 enormous amount of time because whatever number you use for  
13 major malformations, it's only 2 percent or 3 percent,  
14 whatever number you use. But half of all newborns have a  
15 birthmark. 40 percent have one minor anomaly. The  
16 frequency of normal variations is up there; deformations,  
17 Lord knows. So, it's very difficult for a company to say  
18 be sensible because they're afraid they're going to get  
19 criticized for not being complete, but if you're complete,  
20 you're generating so much personnel time and what you get  
21 on the other end is not really penetrating information.  
22 So, it would really be helpful if it were in print so we  
23 could hold it up and say, now, look, this really doesn't  
24 fit what the FDA is expecting you to report.

25 DR. JONES: But, Lew, is it reasonable anyway

1 to think that a registry study that is set up the way we've  
2 been hearing the registry studies are set up -- is it  
3 reasonable to think that minor malformations in development  
4 are going to be picked up on the physical examination  
5 anyway unless you're doing a very careful physical  
6 examination to document those things? Certainly we've  
7 heard that that's not occurring and certainly we've heard  
8 that all that's being looked for are major malformations in  
9 development.

10 DR. HOLMES: Oh, yes. No, the problem is if  
11 you contacted the pediatrician, the pediatrician will often  
12 just photocopy the newborn exam form and send it to you.  
13 Now, the logical way to do this is to say, we're focusing  
14 on major malformations, those are what we will send. We've  
15 established our criteria. That's all set.

16 The problem is if you ask someone at a company,  
17 well, gee, the pediatrician noticed a birthmark on the back  
18 of the neck, the stork bite, well, most of the people at  
19 the company say, I can't say don't send that in. And yet  
20 it doesn't make any sense to send it in. And the problem  
21 is there's nothing in writing that you can go to as the  
22 basis for being sensible.

23 DR. SHARRAR: It is a difficult situation  
24 because we do try to follow the FDA regulations. The only  
25 reports that are sent in within 15 days are the serious,

1 unexpected reports, and the serious definition is the FDA  
2 definition which does require something like  
3 hospitalization or major disability or life-threatening.  
4 So, a lot of the minor AEs we learn about are entered into  
5 the database and reported on a periodic basis. All of the  
6 spontaneous abortions, all the elective abortions are  
7 considered serious and they are reported to the FDA within  
8 that time frame. It does take a lot of effort to do that.

9 DR. GREENE: Jan?

10 DR. FRIEDMAN: I think one possible solution to  
11 this is to look at a system where the norm for birth  
12 defects and other pregnancy related problems is not this  
13 spontaneous reporting system but rather a centralized  
14 registry system. So, if the norm were a centralized  
15 registry system and there were periodic review of all the  
16 birth defects that came in through that system, then the  
17 reporting requirements could be geared to that reality  
18 rather than having a hybrid system where you're trying to  
19 put reporting requirements on a registry where they really  
20 don't make sense.

21 So, it seems to me that if we stepped to the  
22 point and said, all right, the norm now is a central  
23 registry system, it has to have review of all the cases by  
24 an expert panel and there has to be reporting to the FDA  
25 every 3 months or 6 months of whatever is going on in that

1 registry, then it might be possible to avoid these  
2 problems.

3           The real problem with the spontaneous reporting  
4 is not the signal; it's the noise. I mean, there's just a  
5 tremendous amount of noise.

6           DR. GREENE: Other comments.

7           One thing I was thinking about as I was  
8 thinking about who was around the table here, I was trying  
9 to think of who might not be represented. It occurred to  
10 me that one group that might not be represented is sort of  
11 rank and file working docs, including obstetricians and  
12 pediatricians. I think that one of the reasons that the  
13 registries, such as the Varivax registry has worked where  
14 physicians responsible for reporting prospectively  
15 exposures, is because there are relatively few such  
16 registries. I could envision a situation where there was a  
17 registry for virtually everything which required physicians  
18 to report in outcomes at the ends of pregnancies that would  
19 be incredibly burdensome to physicians. In view of the  
20 fact that even if you take away relatively trivial  
21 exposures, multiple vitamins and hematinics, nonetheless,  
22 you have a very substantial fraction of all pregnant women  
23 who are exposed to some sort of a medication during their  
24 pregnancy. That could be a tremendous burden upon  
25 practicing physicians and I would question the value,

1 | validity, and completeness of the information that would  
2 | result from such an extensive requirement for reporting.

3 |           DR. MONTELLA: Mike, I might be able to comment  
4 | some on that because of a couple things I do. One is as  
5 | President of the Society of Obstetric Medicine, we're  
6 | working with both the Australian obstetric medicine site  
7 | and the McDonald Club in England, which were all the  
8 | obstetric medicine people, and this is all of the internal  
9 | medicine people, including rank and file internal medicine  
10 | people, who are exposed at all to medical complications of  
11 | pregnancy.

12 |           Then additionally, the ACP, the American  
13 | College of Physicians is a huge group of rank and file  
14 | pregnant care docs out there who we do all the teaching of  
15 | the medical problems in pregnancy for the ACP. The people  
16 | in those workshops are clamoring. So, I see probably like  
17 | 300 to 500 people each year that are asking questions about  
18 | how do I take care of pregnant patients with medical  
19 | problems. And they are clamoring for registries. They're  
20 | actually asking is there a place that we could go to bring  
21 | this information, is there a place where we can get more of  
22 | this information. So, somehow if we disseminated this  
23 | properly, my guess is it would be an appealing thing. Now,  
24 | you can probably speak more to the obstetricians' view of  
25 | that, but I can tell you from the people administering the

1 | drugs for medical things, that I'm hearing a request for  
2 | it, if anything.

3 |           DR. GREENE: Well, for internists, the number  
4 | of pregnant patients in their practice is a relatively  
5 | small fraction, whereas for an obstetrician, the number of  
6 | pregnant patients who are taking medications is a very  
7 | large fraction.

8 |           Don?

9 |           DR. MATTISON: Two other potential approaches  
10 | that get to that. One would be to get your group together  
11 | with NICHD's maternal-fetal medicine network. Jim, I don't  
12 | know if you wanted to comment on that as a potential study  
13 | group. Certainly that could be expanded nicely, as far as  
14 | I could tell.

15 |           The other relates to managed care organizations  
16 | and the way that both administrative and clinical data are  
17 | kept in a managed care environment and the potential for  
18 | that to provide information that gets at some of the same  
19 | questions.

20 |           DR. GREENE: My only first thought is that if  
21 | you look at the demographics of the patients that  
22 | participate in the maternal-fetal unit network studies,  
23 | they don't look anything like the demographics of the  
24 | United States of America.

25 |           Other comments? Ken?

1 DR. JONES: This gets back to what you said  
2 about the success of Merck's study that we heard about this  
3 morning. I guess I would like to ask a question. Has that  
4 registry in fact been successful? And maybe that's not a  
5 fair question, but I would like to try to get at that  
6 issue. It seems to me that it has been very successful in  
7 terms of showing that there does not seem to be an  
8 increased risk for the full-blown fetal varicella syndrome  
9 in babies born to women that get this.

10 On the other hand, there are a lot of babies  
11 who are exposed to varicella whose mothers have varicella,  
12 who do not have the full-blown fetal varicella syndrome but  
13 have subtle features of the fetal varicella syndrome that  
14 clearly show that that child has gotten the virus that I  
15 would suggest that perhaps you would not have picked up in  
16 the study the way the study was designed.

17 So, I'm not sure that, in fact, this study has  
18 been successful in terms of answering the question that you  
19 think it has. I mean, it clearly has answered the question  
20 this is not associated with that baby who has horrible skin  
21 defects and contractures and hydrocephalus, not at all.  
22 But there are clearly a lot of features of the fetal  
23 varicella syndrome. It's a spectrum. It's a wide  
24 spectrum. I don't think that you really have answered the  
25 question as to whether those babies have an increased risk

1 | of that.

2 | DR. GREENE: So, the question that your  
3 | question asks is, how do you know if you've been  
4 | successful?

5 | DR. JONES: Well, I would question whether the  
6 | study has been successful. I, to be perfectly honest with  
7 | you, would suggest that it has not.

8 | DR. GREENE: Dr. Sharrar?

9 | DR. SHARRAR: Well, it depends upon how you  
10 | define successful. I think you have to realize that we are  
11 | dealing with post-marketing surveillance data, so there's  
12 | not a doubt in my mind that we have had incomplete  
13 | reporting.

14 | We also have so far dealt with the  
15 | obstetricians and with the consent form. We're trying now  
16 | to get now more follow-up information up to the second year  
17 | of life. Plus, if you take a look at the data, although we  
18 | have over 400 people in the registry, we probably only have  
19 | something like 80 individuals who are truly identified as  
20 | being seronegative before they got the vaccine. So, these  
21 | are other issues that we are taking into consideration.

22 | We will not pick up the subtle defects. I'm  
23 | not sure it's possible to pick up the subtle defects,  
24 | particularly if they're rare. I think we will continue the  
25 | registry for a number of years until we get enough women

1 | who were susceptible when they got the immunization, when  
2 | the immunization was given during the crucial time period  
3 | of pregnancy, which happens to be the second trimester, not  
4 | the first trimester. After we have enough of those  
5 | individuals, we'll at least be able to address the question  
6 | of significant malformations. I'm not sure it's possible  
7 | to address the subtle changes because I don't think any  
8 | epidemiologic system is designed to do that.

9 |           DR. JONES: Well, that really is not true. The  
10 | birth prevalence of the fetal varicella syndrome has been  
11 | documented through prospective cohort studies. The 1 to 2  
12 | percent prevalence of the fetal varicella syndrome has been  
13 | documented doing relatively small studies of 100 to 150  
14 | women who have had varicella themselves and then looked at  
15 | their babies through -- two of the studies were done by  
16 | teratogen information services and one looked at 158 women  
17 | and the other I think about 110 women. And all the babies  
18 | were examined, and by virtue of that examination of that  
19 | prospectively ascertained case, one was able to pick up not  
20 | only the children who had the full-blown syndrome but  
21 | children who had much more subtle abnormalities as well.

22 |           So, I think that with an epidemiologic study  
23 | this definitely can be done, but I don't think that the  
24 | type of study that you have done -- and believe me, I'm not  
25 | being critical of you for the study. I think it's

1 | fantastic that you've done these studies, and I think it's  
2 | certainly to be encouraged to do these studies. But I  
3 | don't think it has been successful in answering the  
4 | question is the vaccine associated with the fetal varicella  
5 | syndrome because you have to examine the kids in order to  
6 | do that, and you can examine the kids.

7 | DR. GREENE: Well, I guess the question I would  
8 | ask, Ken, is how important is it to document those very  
9 | minor problems?

10 | DR. JONES: It's particularly important to  
11 | document those very minor problems when they're an  
12 | indication of a defect in brain development.

13 | DR. GREENE: If they are.

14 | DR. JONES: If they are. There are children  
15 | with the full-blown fetal varicella syndrome who have been  
16 | tested and are normal from the standpoint of their  
17 | intellectual performance at 4 to 7 years of age, and there  
18 | are likewise children who have the full-blown fetal  
19 | varicella syndrome who are devastated from a neurologic  
20 | standpoint. And also, there are children who have only the  
21 | minor features of the fetal varicella syndrome who also  
22 | have severe problems in intellectual performance.

23 | So, I think without any question, it's  
24 | important to document. I'm not talking about syndactyly  
25 | between the toes. I'm talking about subtle indications of

1 | neurologic abnormalities like a problem with like a  
2 | cataract, for example, or with pox marks on their forehead  
3 | or with a Horner's syndrome or something such as this  
4 | that's more subtle that can be an indication of a problem  
5 | in brain development. So, I think it is critical to  
6 | examine those kids.

7 | DR. GREENE: Jan?

8 | DR. FRIEDMAN: I agree, and this is an issue  
9 | that I raised yesterday with respect to the acyclovir  
10 | registry. It seems to me that it's not enough to know that  
11 | we're not dealing with a thalidomide or an isotretinoin in  
12 | a registry. There's enough power there, if you look at the  
13 | kids right, to also say we're not dealing with a fetal  
14 | alcohol syndrome, and I think we should know that there's  
15 | not a 10 or 20 percent chance of something like the fetal  
16 | alcohol syndrome. And you're not going to get that unless  
17 | you look at the kids. I think that's really what has to be  
18 | done.

19 | DR. HOLMES: Mike, one model that we've talked  
20 | about for the antiepileptic drug registry is to say, all  
21 | right, you've got the registry for the big outcomes of  
22 | concern, like the major malformations. If in your consent  
23 | form you're given permission to recontact the mother later,  
24 | then let's say you identify drug X where you're worried  
25 | about a half a standard deviation effect on IQ, you could

1 then organize a particular focused study of that subset to  
2 take on that issue or, if it were the one that Ken is  
3 talking about for varicella, something like that. But have  
4 the registry for one purpose and that is a potential source  
5 of individuals for additional things. Certainly when the  
6 biomarkers come along, that will be an important subset to  
7 go after. But it fits within the idea of a registry in  
8 general with foci as they seem necessary.

9 DR. GREENE: Allen?

10 DR. MITCHELL: Yes, but that's speaking to the  
11 issue of power and I think it's a good mechanism, but it  
12 doesn't deal with the issue of confounding. It doesn't  
13 deal with the issue of bias. I share your concern, Jan,  
14 but if you're worried about a fetal alcohol syndrome in a  
15 cohort of kids exposed to a drug, but you're not asking  
16 about alcohol exposure in pregnancy, it's pretty hard to  
17 do.

18 My fear is that we're again back in that sort  
19 of spiral of demanding of registries that they have to be  
20 able to ultimately answer every question, and I think they  
21 need to be viewed in a very limited way. I think, Ken,  
22 you're asking a legitimate question about the minor  
23 abnormalities that may or may not reflect some intellectual  
24 deficits, but I'm not sure that's within the normal,  
25 typical registry to answer. Again, it becomes an issue of

1 | one size doesn't fit all. If that's the objective, you  
2 | have to design a very different study.

3 |           And I think the worst thing to do is to propose  
4 | that we're going to be looking at, quote, a fetal alcohol  
5 | syndrome as a result of drug X without even knowing about  
6 | confounding variables.

7 |           So, it really is incumbent on the community --  
8 | we keep coming back to this: Well, what's the registry all  
9 | about? I think in different drugs it will be different  
10 | questions.

11 |           DR. FRIEDMAN: But if you're only looking at,  
12 | say, 100 kids and you're actually looking at them, you have  
13 | a physician who's seeing the kids, it's possible to ask  
14 | those questions in a nonthreatening way. You don't have to  
15 | ask them on the phone to someone you've never met, you  
16 | don't know anything about. It can be asked in a clinical  
17 | context. You can see that it's not fetal alcohol syndrome  
18 | that this kid has but minor manifestations of a varicella  
19 | embryopathy.

20 |           I think this is going to require collaboration  
21 | between physicians, between clinicians, and  
22 | epidemiologists. There does need to be epidemiological  
23 | rigor, but there also needs to be clinical rigor.

24 |           DR. MITCHELL: But, Jan, are there resources in  
25 | the world sufficient to do that for every exposure that's

1 going to fall into a registry? I don't think anybody would  
2 disagree on first principles that there ought to be a  
3 standardized clinical exam, but are there going to be  
4 resources? This is the tradeoff. Where do you put the  
5 dollars?

6 DR. FRIEDMAN: Well, it seems to me that there  
7 are resources to ask whether or not a mouse has birth  
8 defects because of a drug and a rabbit has birth defects  
9 because of a drug. It seems to me it's not unreasonable to  
10 ask if people have birth defects because of a drug.

11 DR. MITCHELL: Well, but let's be serious and  
12 talk about a finite amount of resource.

13 DR. FRIEDMAN: I'm not saying 10,000 kids. I'm  
14 saying if you want to pick up a thalidomide, we said you  
15 need 100 kids. If you want to pick up a fetal alcohol  
16 syndrome, maybe you could do it with a similar number of  
17 kids if you actually looked at them.

18 DR. MITCHELL: But if you want to pick up a  
19 thalidomide, you don't need to have a directed, specified  
20 clinical exam.

21 DR. FRIEDMAN: That's right.

22 DR. MITCHELL: And the costs of doing that are  
23 huge. The benefits are huge too. I'm not against it. All  
24 I'm saying is that there are going to be societal decisions  
25 that have to be made about where do we invest our dollars,

1 and that's a tough call.

2 DR. MITCHELL: Sure.

3 DR. GREENE: Yes.

4 DR. WEISS: I think it's one thing to ask a  
5 question when the animal studies are positive and you have  
6 a directed hypothesis to put the resources into that kind  
7 of analysis, but when you have like a new molecular entity  
8 that you just want to know is this something that we have  
9 to worry about, let's just screen it, I think it's a whole  
10 different study design, more toward the registry that we're  
11 talking about as opposed to a well-controlled longitudinal  
12 cohort study that you want to do. I think you really have  
13 to ask what your question is and let your design follow  
14 that and think about the public health implications before  
15 you put a lot of resources into the question.

16 DR. GREENE: Don.

17 DR. MATTISON: Maybe this is a good time to  
18 follow this discussion with one of the questions that I  
19 raised earlier because the extent of interest in reducing  
20 uncertainty around the potential for development toxicity  
21 in part can reflect the potential benefit that the  
22 pharmaceutical company derives from reducing that  
23 uncertainty.

24 So, what are the potential benefits? How can  
25 regulations be structured or the environment be structured

1 | in such a way that there are actual benefits to the  
2 | pharmaceutical industry for understanding pharmacokinetics  
3 | and pharmacodynamics in pregnancy and for conducting or  
4 | supporting studies which reduce our uncertainty about  
5 | counseling for developmental toxicity? And how should the  
6 | agency think about that, or how should Congress think about  
7 | that?

8 | DR. GREENE: And how would the manufacturers?  
9 | What would they view as adequate incentive to do that?

10 | DR. SHARRAR: That's a difficult question to  
11 | answer I can tell you that. I do think the pharmaceutical  
12 | companies try to address the significant safety issues that  
13 | are of concern to us. To try to look for a very remote  
14 | safety issue is probably not something they're going to  
15 | want to invest their resources in. I cannot speak for the  
16 | entire pharmaceutical company, but we do the best we can  
17 | with the limitations we have.

18 | One of my concerns about the study you're  
19 | proposing again goes back to the very foundation of what  
20 | our study is. It's an observational, epidemiologic study  
21 | based upon limited post-marketing surveillance data. I  
22 | would not use that kind of data to try to do the kind of  
23 | study you're describing looking at very subtle behavioral  
24 | developmental changes in individuals without having a great  
25 | deal more knowledge on the environment that they live in,

1 their parental heritage, a lot of other exposures that  
2 occur during pregnancy. And we're not prepared to enter  
3 into that kind of a study.

4 DR. ANDREWS: I think that pharmaceutical  
5 companies are increasingly responsive to what consumers  
6 want and need, and this is an area of great need. It's  
7 been very difficult in the past to find a way of  
8 communicating information about safety as opposed to risk,  
9 and as we've heard before today, the entire system for  
10 pharmacovigilance really is one that has been aimed at  
11 finding new signals of problems, not documenting any level  
12 of safety.

13 So, I think sort of a paradigm shift or some  
14 way of facilitating the communication of information that  
15 can be viewed as reassuring with all the caveats is of  
16 tremendous benefit to patients and practicing physicians.  
17 So, some better way of communicating the information that  
18 can be gleaned from these studies would be an incentive.

19 DR. GREENE: Sandy?

20 DR. KWEDER: Elizabeth, can you be a little  
21 more specific on what you mean by ways of communicating  
22 information?

23 DR. ANDREWS: As we were talking about earlier,  
24 putting information in the label, for example, which is our  
25 fundamental document that we speak from, finding the right

1 way of stating the evidence so that we certainly don't  
2 overstate it, but being able to have some data that in fact  
3 are more reassuring than no data or what consumers may have  
4 heard or think from other sources I think would be  
5 tremendously helpful.

6 DR. GREENE: There's an issue that you brought  
7 up earlier in the meeting, Sandra, that I'd like to get  
8 back to, and it fits with this nicely, and that is  
9 differences in perceptions of risk when presented with the  
10 data. Those of us who are in clinical medicine are very  
11 familiar with the idea that we'll see two different  
12 couples. We'll counsel them about the risk of having a  
13 miscarriage from doing an amniocentesis and we'll say it's  
14 1 in 200, and one couple will see that risk as trivial and  
15 another couple will see that risk as very imposing. The  
16 numbers are the numbers and how people perceive them is  
17 different from couple to couple.

18 I think that when communicating risk, we have  
19 to be as honest and as quantitative as we possibly can,  
20 recognizing that different people are going to see the  
21 numbers differently. I don't know how better to do it.

22 Jim?

23 DR. LEMONS: I thought that discussion, Ken,  
24 that you presented on the Varivax is a very clear example  
25 of how that -- you have a very specific constellation that

1 | you're looking at. You know it may be related to  
2 | neurodevelopmental delays. It can't be accomplished by  
3 | industry given the resources and limitations. It requires  
4 | a very different study design. But that's where you have a  
5 | clear, almost hypothesis. For the vast majority of  
6 | medications, we don't. And then you look at syndactyly.  
7 | Is that important? Probably not.

8 |           But I guess to get back to what Don raised  
9 | earlier, to ask those specific hypothesis-driven questions  
10 | probably requires a different infrastructure. There are a  
11 | lot of infrastructures around such as the Maternal-Fetal  
12 | Medicine Network I think. Even though they don't represent  
13 | demographically the typical constituency of the United  
14 | States, they still house a huge population of -- I don't  
15 | know -- 75,000 to 100,000 in-born post-referrals that could  
16 | in very powerful settings of academic, pediatric, and  
17 | obstetric communities address these questions where you may  
18 | need 150 cases of maternal exposure. So, it gets back to  
19 | what you had suggested, Jan, of kind of a housing of a  
20 | variety of powered infrastructures to address specific  
21 | ranges of questions.

22 |           DR. GREENE: Ken?

23 |           DR. JONES: It seems to me that it points out  
24 | the need for doing a variety of different approaches to  
25 | look at the same problem. For example, it seems to me that

1 a study, an industry-driven study, in which you looked at  
2 1,000 children in the way you have looked at 1,000  
3 children, and then one took a subset of those children and  
4 looked at them the way we look at children, which is to do  
5 a very careful physical examination on the children -- we  
6 certainly can't do that careful physical examination on  
7 1,000 kids, but there are questions that can be answered,  
8 it seems to me through both approaches.

9           It seems to me that we've got to recognize --  
10 and I think people have been saying that, but I think this  
11 is another example of reasons that we have to look at  
12 different strategies and different approaches. And they're  
13 all represented around this table. There's no one way to  
14 look at this. We've got to look at it in a variety of  
15 different ways to answer different questions.

16           DR. MILLS: I was just sitting here making a  
17 list and looking at the question of how are we going to  
18 decide where we're going with this in terms of what's  
19 doable financially, how would we design a study. Many  
20 people have already touched on the question, well, what are  
21 some of the different things that we might be doing?

22           Without spending very much time on it, I came  
23 up with two major categories and a number of subcategories.  
24 If you started with all drugs as you're target, category A,  
25 you could have A1, which is to look for thalidomide, which

1 means you need maybe 10 or 100 people to see if there is a  
2 catastrophe going on there. You would have A2, which would  
3 be to look for birth defects in general without any  
4 particular focus where you would need maybe 1,000 or 10,000  
5 people. You would have A3, looking for a specific birth  
6 defect maybe based on animal data or on related information  
7 from other drugs, where you might need X thousands or X  
8 tens of thousands of people.

9 Then there's approach B where you look only at  
10 selected drugs, the sort of hot drug approach. And B1 you  
11 would look maybe for a sentinel defect, for example, with  
12 something like fetal varicella where you had a pretty fair  
13 idea of what the natural situation was and you might argue  
14 that you'd want to focus on that. Then you'd have B2 which  
15 is where you would want to do an A to Z evaluation, for  
16 example, if you thought it was a vitamin A story where you  
17 wanted to look at cranial neural crest defects and you knew  
18 that you had a wide range of defects that would require  
19 some fairly sophisticated evaluation to pick up.

20 The reason for going through this exercise is  
21 to point out that the population varies tremendously from  
22 maybe going to one clinic to going through a wide swath of  
23 births coming from a large underlying population. The cost  
24 varies tremendously depending on whether you're going with  
25 telephone self-reports to having to track down individual

1 | exposed children and do an examination. Of course, most of  
2 | all, the kind of follow-up varies, going again from self-  
3 | reports to a very sophisticated exam that might only be  
4 | doable by fairly specialized people.

5 |           So, the point of this is to say that when  
6 | you're talking in the abstract, it's going to be very  
7 | difficult to determine whether these are feasible, how we  
8 | would approach it, and which direction to go in because  
9 | we're talking about just completely different areas and  
10 | different needs. I think we have to recognize that some of  
11 | these things are doable, some aren't. Some are to be  
12 | approached one way and some have to be approached a  
13 | different way. It's not an easy thing. Maybe we need a  
14 | workshop just to get into that issue.

15 |           DR. MATTISON: There's another component and  
16 | I'll bring up the obscene phrase that I used before, which  
17 | is biological plausibility. Even new chemical entities,  
18 | those that have not been used in the development of a  
19 | clinical product before, hit the market with a substantial  
20 | amount of understanding within the pharmaceutical company  
21 | that's developing that chemical entity about how it works  
22 | and about what it doesn't do. We're talking about the  
23 | design of post-marketing studies as if an understanding of  
24 | the biological mechanism was pretty limited. Whereas, I  
25 | think actually we should be asking within the company

1 what's known about things that these molecular entities do  
2 and don't do.

3           Companies invest a huge amount of money in  
4 getting a product to this point, and they want to know does  
5 it bind progesterone receptors, does it interact with a  
6 range of other factors, what happens to immune function,  
7 what happens to really very specific kinds of targets  
8 across a broad range of biological systems. And they do  
9 that because they understand that you can extrapolate from  
10 cell culture to intact animals to humans. How does that  
11 information get built into helping us understand?

12           For example, if we knew that a particular  
13 molecular entity blocked cell motility or impaired cell  
14 motility, there's a set of hypotheses that would be derived  
15 that could come from embryonic studies, and in fact maybe  
16 you wouldn't want to go any further. But if you did, you  
17 would have some understanding of how to look for  
18 potentially endpoints in biological systems. So, there has  
19 got to be some way of getting this broader and richer array  
20 of data back into the equation which allows us to reduce  
21 uncertainty and then build these population based studies  
22 in a much more meaningful way.

23           DR. GREENE: I'd like to turn now to addressing  
24 the specific questions that are in our agenda packet for  
25 this afternoon's discussion, and that is to start with very

1 specifically a discussion of a centralized registry. The  
2 first bulleted question that we're asked is, how could a  
3 centralized model help to overcome existing obstacles and  
4 what additional problems or obstacles might be introduced  
5 in a centralized registry. The whole idea, of course, is  
6 broached by the last item in your agenda packet, which is  
7 the proposal for a centralized registry conference to  
8 discuss the idea. Please.

9 DR. WISNER: I'd like to start with a very  
10 provocative statement that was on one of the slides this  
11 morning which was that a pregnancy registry had never  
12 identified a human teratogen. I think in terms of  
13 identifying obstacles, we would have to be certain that  
14 whatever registry we agreed to formulate, it would be able  
15 to overcome the obstacles that have created the situation  
16 designated on that slide. I'm not sure what those  
17 obstacles are that have created this issue of no human  
18 teratogens being identified by registries in the past.

19 DR. MITCHELL: I want to disagree with you. I  
20 think that that's one interpretation of that statement, but  
21 I think the other interpretation could easily be that the  
22 registries that have so-called failed to find a teratogen  
23 have not found one because it doesn't exist.

24 I think the point is that we want to provide  
25 information and that information may be negative in the

1 | sense that there's no adverse outcomes or it may be  
2 | positive in the sense that there are adverse outcomes. But  
3 | I think the vast majority of drugs that we use are probably  
4 | not teratogenic and we shouldn't begin with the assumption  
5 | that they are and our job is to find it. So, I think that  
6 | if there is that perception, then we really need to educate  
7 | people about the mission and the reality of registries.

8 |           DR. WISNER: Let me follow that with probably  
9 | what is a nasty situation, but I think it's relevant to  
10 | your point which is the lithium registry which in fact did  
11 | identify a teratogen, although because of the problems in  
12 | the way the registry was done, it identified it to a degree  
13 | that probably was destructive in the sense of believing  
14 | that affected pregnancies would be highly at risk for an  
15 | abnormal outcome. So, at least that registry had  
16 | identified a teratogen.

17 |           I guess my question was really related to what  
18 | you said in the sense that we have to identify why, other  
19 | than lithium, registries haven't identified the human  
20 | teratogens. One may be what you say that, in fact, many  
21 | drugs aren't teratogenic, but it may also be then that  
22 | setting a wide net and looking at all new agents may be  
23 | futile and expensive. Then the question becomes how do you  
24 | narrow the selection of agents down to the point where it's  
25 | more cost effective. So, it's more identifying those

1 | things that I was thinking of.

2 |           DR. MITCHELL: I agree with you. I think a  
3 | recurring theme is the notion of not only targeting study  
4 | designs but also targeting the drugs of interest. The  
5 | lithium registry is a very good example of study results  
6 | being misconstrued, but that the basic design was not  
7 | necessarily flawed. The same argument can be made about  
8 | any other prospective registry. It can be made about any  
9 | case-control study. So, I think again we need to separate  
10 | interpretive issues and design issues from the concept and  
11 | what these systems can contribute.

12 |           DR. GREENE: I think the lithium registry is  
13 | also a good example of what I was saying yesterday of  
14 | experience is great because it helps us to recognize our  
15 | mistakes when we make them again. If you, early on,  
16 | identify something that you think may be associated with an  
17 | exposure, that begets reports of other similar problems to  
18 | the exclusion of the negative reports or the exclusion of  
19 | other kinds of problems.

20 |           DR. MITCHELL: Actually if I can take that  
21 | example to the extreme. Some years ago -- I'm sure many  
22 | people on this panel will remember -- there was concern  
23 | about caffeine being a teratogen. A consumer group set up  
24 | a registry where consumers were encouraged to report birth  
25 | defects that occurred to them if they had been drinking

1 | coffee, and guess what? There were a number of reports  
2 | that came in. So, that's the extreme.

3 |           But in fairness I don't think anyone is  
4 | suggesting that kind of design. So, we need to use those  
5 | words carefully. We're not talking about a retrospective  
6 | registry, and here I'm using "retrospective" pejoratively.

7 |           (Laughter.)

8 |           DR. GREENE: Getting back to the centralized  
9 | registry versus disseminated registries, Jan, did you want  
10 | to speak to that?

11 |           DR. FRIEDMAN: Well, I wanted to speak to the  
12 | issue of why there haven't been many signals from the  
13 | registries. I think there are two important reasons. One  
14 | is that we do have preclinical studies and I think they do  
15 | do something. I think they do detect some of the  
16 | thalidomides and they never make it to market.

17 |           A second reason is that the registries we have  
18 | -- we have said it over and over again -- don't detect  
19 | subtle teratogens. They don't detect things that increase  
20 | the risk of cleft palate twofold or produce a subtle  
21 | pattern of dysmorphology that doesn't get reported on a  
22 | one-page form. All we can pick up is thalidomides and  
23 | there aren't very many thalidomides. Thank goodness.

24 |           DR. GREENE: Okay. I'm still looking for  
25 | someone to speak to the issue of centralized versus non-

1 centralized registries, please.

2 (Laughter.)

3 DR. GREENE: Yes.

4 DR. WEISS: I will, kind of. One of the things  
5 I see as a problem with centralized registries is that they  
6 don't allow, necessarily, the flexibility to use the a  
7 priori hypotheses and information to design something that  
8 might be able to pick up something that you might suspect.  
9 So, that's something that I am concerned with.

10 DR. GREENE: Why not? Why wouldn't they?

11 DR. WEISS: Because if you're doing a  
12 standardized protocol, there may not be the flexibility to  
13 design a study in such a way that you would -- for example,  
14 what you were saying, Dr. Jones, about going and doing  
15 examinations if you had a hypothesis. I mean, the registry  
16 wouldn't be the right model necessarily to look at that.  
17 But if that's kind of the standard, that might be what  
18 you'd go to first just because it was the standard.

19 DR. GREENE: But just to continue the  
20 discussion, if the registry were, if you will, the  
21 hypothesis generator, then the specific studies could be  
22 layered on on that.

23 DR. WEISS: I was kind of going with the  
24 hypothesis generator might be the animal data and that you  
25 would want to go right into that.

1 DR. MITCHELL: Yes, but even so, we don't need  
2 to think of the registry as a monolithic design. I could  
3 easily envision a registry where for drug A these are the  
4 outcomes you pursue. For drug B, you might have the Ken  
5 Joneses of the world be examining a subset. I think that  
6 by centralizing the activity, my sense is that the major  
7 advantage you offer is simplicity on the part of the public  
8 and the physician community in terms of identifying  
9 potential subjects. Once in house, the registry can  
10 allocate people to different designs.

11 DR. WEISS: I feel like the word police today.  
12 (Laughter.)

13 DR. MITCHELL: Point taken.

14 DR. WEISS: What I'm thinking of maybe then  
15 isn't a centralized registry and maybe you kind of said  
16 that. I'm thinking of more a centralized clearing house  
17 might be better thing where there's a clearing house that  
18 helps advertise, enroll. It would be able to bring data  
19 forward from different studies so that you'd have  
20 comparable baseline rates, that you might be able to use  
21 also a source of expertise and resources for the design of  
22 different registry studies, help with recruitment and then  
23 interpretation. Now, that kind of centralized resource I  
24 think is incredibly valuable.

25 DR. MITCHELL: Well, I think if the Pregnancy

1 | Labeling Committee does its work well, then we're going to  
2 | have these letters left over, A, B, C, X, that weren't  
3 | used.

4 | (Laughter.)

5 | DR. MITCHELL: So, Jim has coopted a couple of  
6 | them, and it seems to me that there's no reason that  
7 | registry couldn't have plan A, plan B built into its  
8 | design. I think it could be more than a clearing house.

9 | DR. WISNER: Just to follow up on what Jan  
10 | said, I think a centralized registry could really expedite  
11 | getting those 100 cases to see if a newly released drug is  
12 | a thalidomide or an Accutane or whatever. It could get  
13 | that information together quickly rather than waiting for  
14 | those cases to accrue after the drug is released.

15 | But I have a harder time seeing how a registry  
16 | might be used for the most specific kinds of studies that Ken  
17 | talks about because you have the centralized registry.  
18 | They might be able to identify, say, cases in a particular  
19 | city or region that might be accessible to the pediatric  
20 | exams or the longer-term follow-up, but in some ways having  
21 | it centrally, other than a case identification, is a  
22 | disadvantage for those studies in which the cases actually  
23 | need to be physically examined.

24 | DR. GREENE: Yes, please.

25 | MS. CHAMBERS: We sort of do that now. We have

1 two nationwide sort of registry type projects that are  
2 running that have two different study designs that are run  
3 out of a central location. So, one is looking at pregnancy  
4 outcome in about 1,800 women exposed to asthma medications  
5 looking at only at major malformations and complications of  
6 pregnancy and in the newborn only by own physician report  
7 with no physical exam. Allen brought up the point about  
8 use of resources. The cost of doing that is approximately  
9 equivalent to the cost of seeing 100 kids doing a careful  
10 physical exam. So, the tradeoff I think is about equal.

11 Then the other study that we run out of the  
12 same central location is this Arava study, which is looking  
13 at a far smaller number of kids, 300, 100 Arava exposed and  
14 two control groups, and those kids, the live-borns, are  
15 going to get physical exams throughout the country. So,  
16 there are four dysmorphologists that will see them in the  
17 locations where they're at.

18 So, it's feasible I think to do it from one  
19 central location. There's a lot of efficiencies involved  
20 in it, having personnel that cross over into both projects,  
21 and as we add more projects to it, if we do that, if the  
22 group decides that they want to do it, I think it becomes  
23 more efficient, more standardized and yet it's not the same  
24 protocol for each one. There are different questions.  
25 There's a severity assessment that's administered with the

1 Arava project and a different one with the asthma project.

2 As Don brought up, based on the animal studies,  
3 that drove the specific reason that we set up the Arava  
4 project the way that it is because there was enough concern  
5 about this drug in pre-marketing animal studies to suggest  
6 that it should be looked at with this level of scrutiny,  
7 whereas with asthma medications, we're talking about a  
8 number of drugs that we have a long history with, and the  
9 issue is major malformations or has been in the past. So,  
10 I think it's feasible.

11 DR. GREENE: Dr. Kweder.

12 DR. KWEDER: I just had question for Christina.  
13 What's the budget for each of those two studies, just  
14 roughly?

15 MS. CHAMBERS: We do everything on a  
16 shoestring. You should tell me if this is correct or not,  
17 but I'm going to say a four-year study for about \$300,000.  
18 Is that right?

19 DR. JONES: \$500,000.

20 MS. CHAMBERS: \$500,000.

21 DR. GREENE: I would like to hear actually some  
22 comments from industry representatives as to whether they  
23 would welcome the idea of a centralized registry as getting  
24 them sort of out from the middle, if you will, or absolving  
25 them from being in the position of appearing to be the fox

1 watching the henhouse or whether they would find the lack  
2 of control of budget, et cetera, et cetera, as being  
3 anathema.

4 DR. SHARRAR: I'll try to address some of those  
5 questions, and then I have another question for you.

6 I think the pharmaceutical company, as I said  
7 earlier, is interested in describing the safety profile of  
8 our products. We're not interested in turning it over to  
9 someone else to do. It's almost like when you have a child  
10 and you're raising it, you don't give it to someone else to  
11 raise. We have a proprietary interest in our product. We  
12 want to make it as safe as we can and we want to make  
13 certain we understand exactly what it can and what it  
14 cannot do. So, I can speak for Merck. We're not really  
15 interested in turning over the registry.

16 That doesn't mean you can't have a centralized  
17 registry. I think the important thing is that we share and  
18 cooperate with each other as we analyze the data because no  
19 one individual has all the correct answers.

20 I'm a little confused on what we mean by a  
21 centralized pregnancy registry because when I first thought  
22 of it, I thought of a centralized registry as a registry  
23 like the HIV pregnancy registry. It's disease oriented.  
24 We're collecting information on people who have the same  
25 disease but who are receiving different drugs. That kind

1 of make sense because there's a lot of interaction between  
2 the two.

3 I never thought of having a varicella vaccine  
4 pregnancy registry as a central registry because we're the  
5 only one that manufactures the drug, and it's not a drug  
6 that you routinely use in pregnancy and it's not a drug you  
7 have to use in pregnancy. So, I don't really see any need  
8 for a centralized registry for that.

9 I'm hearing that we're going to have a  
10 centralized registry for all drugs on the market, and  
11 that's really a big undertaking that I won't even begin to  
12 know how to do because we're interested in our products. I  
13 don't really know or understand all the other drugs out  
14 there that are manufactured by other companies. Maybe that  
15 is the correct way to go, but right now I'm not willing to  
16 make an investment in a centralized registry that contains  
17 a lot of drugs that I know nothing about and are not part  
18 of our company's interest.

19 DR. GREENE: Well, I think that this is a good  
20 opportunity then to ask the people from the CDC and the FDA  
21 who have been sort of germinating the idea to be more  
22 precise about what they mean.

23 DR. CRAGAN: I'm not sure that we're precise  
24 about what we mean. I think it could go in several  
25 directions. We don't necessarily mean that a centralized

1 registry or a central plan for these kind of studies is  
2 going to displace or override the studies that are in the  
3 registries that are out there. I don't think that's  
4 anyone's intention. If there's the interest in folding  
5 some of those activities into a more centralized thing  
6 that's administered differently, that could happen.

7           We're really talking about the future and the  
8 long-term future of how to do this. My guess is that the  
9 way people perceive that is going to vary from company to  
10 company. Some of the smaller companies that don't have the  
11 resources to mount their own registries but would be  
12 interested in having some of this information on their  
13 drugs perhaps would be willing to help with the centralized  
14 approach.

15           Elizabeth, do you want to add?

16           DR. ANDREWS: I was going to answer the  
17 questions on the industry perspective. You said does this  
18 get us off the hook. Nothing gets us off the hook from  
19 owning the safety agenda for all of our products. Nothing  
20 will ever get us off the hook.

21           There is a concern about lack of control of  
22 information because in an academic center where you're  
23 looking at the theoretical risks of different drugs  
24 compared to each other, it might be easy for someone else  
25 to say we don't yet have a statistically significant

1 finding. But we're less inclined to wait for statistical  
2 significance when there's a signal of an issue. Then we'd  
3 like to be involved in exploring that, as Don was  
4 saying, looking for the relevant information from other  
5 sources, testing a hypothesis in another model. So, I see  
6 this as a very interactive process as the data evolve, and  
7 it makes me less comfortable to see a centralized registry  
8 in the sense of a vanilla, one-size-fits-all, and I don't  
9 think that's what's intended at all.

10 What is appealing is some easier mechanism for  
11 enrolling and communicating with the physicians and  
12 patients so we're not creating confusion for them. I think  
13 the centralized antiretroviral registry and the  
14 antiepileptic drug registry are very good models for  
15 collaboration in disease areas where we really need to know  
16 more comparative information about different drugs because  
17 women really need to have that information.

18 DR. GREENE: And I think the advantage of the  
19 antiretroviral or the antiepileptic drug registries is that  
20 if you ask the average doc on the street who is prescribing  
21 either an antiepileptic or an antiretroviral which drug  
22 company do you call to report a problem with this drug,  
23 they probably don't even know who the manufacturer is of  
24 that drug. It's not on the tip of their tongue. That's  
25 not what they pay attention to. So, I think that's an

1 advantage of either a group of pharmaceuticals or a  
2 disease-oriented kind of a centralized reporting system.

3 DR. ANDREWS: Can I just mention that one of  
4 the best ways of getting reports is from people who are  
5 calling with a question. People call pharmaceutical  
6 companies for questions about the safety of their drug in  
7 pregnancy, and that's one of the largest sources of  
8 referrals to these registries.

9 MS. CONOVER: Actually, just to open that  
10 hornets' nest, I was thinking of that too. When I call,  
11 I'm always looking for information, and I don't mind  
12 reporting my case in to add to it. But there's a real  
13 question for me about who would have access. Would you be  
14 giving information back? Say, if you have patients calling  
15 in, would you give them what's already in the database in  
16 trade for them submitting their case? The same thing with  
17 physicians and who would be the qualified person to do that  
18 or to start to control where that data goes and who has  
19 access to it?

20 DR. GREENE: Pat?

21 DR. WIER: Yes, Mike, I want to come back to  
22 the initial question about the concern for potential bias  
23 of a sponsor running a registry. But the flip side of that  
24 coin is accountability, and Dr. Sharrar has done a good job  
25 of enunciating the sense of product stewardship that

1 | companies carry forward.

2 |           But to put some real flesh on that, this  
3 |           accountability can be very focused. There are penalties  
4 |           that can be extracted if data is not forthcoming, if data  
5 |           is not interpreted correctly. The agency knows where to go  
6 |           if these problems exist. So, I would turn this around and  
7 |           say in the concept of a centralized registry, where do you  
8 |           go for that accountability? If you have a centralized  
9 |           registry tracking 100 compounds and some data is late, it's  
10 |          not being taken care of properly, who is accountable? You  
11 |          could be in a bureaucracy where you would run from one  
12 |          person to the next saying, well, that's not my  
13 |          responsibility, I deal with this compound. So, I think  
14 |          that's the other side of the argument that needs to be  
15 |          presented as well.

16 |           DR. GREENE: I think, Sandra, you can take that  
17 |          down as an answer to would additional obstacles be  
18 |          introduced.

19 |           DR. KWEDER: I think those clearly are issues  
20 |          that do need to be discussed. I just wanted to weigh in  
21 |          because you had asked for the FDA and CDC to articulate a  
22 |          little bit better.

23 |           One of the reasons that we even began this  
24 |          process to explore registries is because what we see is we  
25 |          see the Mercks and the Glaxos who are interested in this

1 and take their responsibility to collect this kind of data  
2 in this population in a carefully designed way very  
3 seriously and have the resources to do it. We see the full  
4 breadth of the spectrum, though, and I would suggest that  
5 the vast majority of companies don't have the resources,  
6 just the knowledge or other resources, to collect these  
7 kind of data.

8           That's part of our interest in finding ways to  
9 facilitate the collection of more and better data so that  
10 10 years from now we don't still only have information from  
11 large data collection efforts on 15 drugs and it's only up  
12 to 20 now, that there's more data on a broader array of  
13 products, some of which are likely to be used a lot, some  
14 of which may only be used a little but about which there  
15 are worries.

16           DR. GREENE: Don't

17           DR. MATTISON: The discussion of the central  
18 registry reminds me a little bit about the National  
19 Toxicology Program. It's a program that helps us  
20 understand the toxicity on chemicals and drugs, but there's  
21 a nomination process. It's managed by the National  
22 Institute for Environmental Health Sciences, and there is a  
23 group of other federal participants in this. All of the  
24 products that are studied are nominated externally.

25           So, I guess one of the questions that some kind

1 of a central registry would have to raise is who nominates  
2 the products. Could a group of interested obstetricians  
3 nominate a product that was still under patent by a company  
4 and how would the company feel about having that product  
5 nominated for a generalized population based evaluation?  
6 Who covers the cost of such a study? How is the data  
7 linked to other biologically relevant data, and is the  
8 company willing to share it?

9           As it turns out, there have been drugs that  
10 have been studied by NTP, but as I understand it, it's  
11 generally those for which there was no existing patent  
12 protection and for which questions have arisen about  
13 safety, basically drugs that fall into the generic class.  
14 It may be that a centralized registry would only work for  
15 drugs that are manufactured by more than one producer  
16 because the sense that I've gotten from Patrick and Robert  
17 and Elizabeth is this strong sense of stewardship for the  
18 safety of drugs or pharmaceuticals for which they are the  
19 prime manufacturer, and like your own children, you'd hate  
20 to give up responsibility for them.

21           On the other hand, there may need to be a way  
22 of calling in a broader range of data that describes  
23 biological impact or biological effect. I guess we still  
24 haven't gotten to how that might be structured.

25           DR. GREENE: Jim, you had a comment?

1 DR. MILLS: What I was doing was sitting here  
2 thinking about the comments that some of these groups might  
3 want to make except that they might not feel entirely  
4 comfortable.

5 I'd start out with the FDA since I don't work  
6 for the FDA. I'm sure there are some people over there  
7 thinking, well, we're not overburdened with money and we're  
8 not overburdened with staff looking for things to do. How  
9 would we oversee this whole project and fund it or even  
10 fund a part of it based on the monies available? So, I  
11 think either the FDA or somebody would have to think about  
12 that.

13 Secondly, if I were the person presenting this  
14 to the drug company board of directors, I would expect to  
15 hear questions like, well, will we have no control over the  
16 course of these investigations, or will we have seats on  
17 the oversight group, and if so, how many? That's another  
18 question we're clearly going to have to look at.

19 If I were that same board, I'd be asking, well,  
20 how is the cost going to be divided among the companies for  
21 this central registry? Is this going to be the sort of  
22 thing where you kick in for each study that involves one of  
23 your drugs, or is this going to be co-funded as a larger  
24 "pass the hat" collection among the participating  
25 companies?

1                   Also, I guess the most cynical question would  
2 be, well, what's the wisdom of having our drugs looked at  
3 all? There are some cases obviously like thalidomide where  
4 there was no way that that was going to be on the market  
5 without some pretty good monitoring, but for the average  
6 company and the average drug, they could make an argument  
7 that they participate in a registry, they look at 1,000  
8 births, 20 of the kids had birth defects, everybody thinks  
9 that's fine, and some lawyer gets the data and says, ah,  
10 but 4 of those 20 kids had cleft palate or cleft lip and  
11 therefore there's a lawsuit here. So, they run a risk in  
12 that sense by participating unless there is some other  
13 compensatory gain for them looking at the drug that they  
14 may not have thought needed looking at in the first place.

15                   DR. MONTELLA: I think the flip side of the  
16 stewardship of this information is the responsibility to  
17 release that information. So, if we're proposing looking  
18 at a series of drugs or a series of drugs in certain  
19 classes, you have to be able to decide which drugs. And in  
20 order to be able to decide which drugs, you need the  
21 information that Don has alluded to, you need the animal  
22 data that's been alluded to here. So, someone or some  
23 responsible advisory group needs to have that information  
24 to say which drugs, any drugs that there have been signals  
25 on.

1           If you look at even Gideon's article in the New  
2 England Journal, I was just remembering the table that's  
3 saying what was the animal data available and what was the  
4 human effect. If you look carefully at that table, we  
5 would have had some clues there about which drugs we might  
6 want to look at in that way. I think that we need to have  
7 that information to be able to use it responsibly.

8           DR. GREENE: One or two more and then I'd like  
9 to move on to the next. Dr. Wisner?

10          DR. WISNER: Let me describe a hypothetical  
11 plan and you can tell me whether this is feasible. One of  
12 the thoughts I was having, listening to the discussion, is  
13 whether it would be possible to have kind of a two-part  
14 system in which the first part is a drug is newly released,  
15 gets all of the typical designations that it does now, but  
16 a drug company would retain the responsibility for having a  
17 registry of sorts that would be standardized and that the  
18 first 100 exposures in pregnant patients would be followed  
19 by that particular drug company, at which point, if the  
20 data looked favorable by some definition, they could get  
21 yet a second designation that they had taken the  
22 responsibility for at least analyzing whether it was a  
23 thalidomide. Is something like that feasible?

24          DR. GREENE: Allen, did you have a comment that  
25 you wanted to make?

1 DR. MITCHELL: Yes. I'm sorry. I'm not  
2 responding to that. I'm responding to Jim's overly  
3 optimistic view of the world.

4 (Laughter.)

5 DR. MITCHELL: I think that in every example  
6 that you gave and every concern that you gave, I think  
7 there is a reasonable response, and I think given our own  
8 experience in doing lots of research sponsored by industry  
9 in negotiating hard contracts, first we've learned that  
10 industry is not monolithic in its views. There are many  
11 members of the pharmaceutical industry that for various  
12 reasons see distinct advantages in having academic groups  
13 working with them in their stewardship of their drugs. I  
14 think there are all sorts of creative ways to resolve a lot  
15 of problems.

16 Just as a case in point, when we began the  
17 Accutane survey, which incidentally was not an  
18 etiologically oriented activity, we were told we would  
19 never be able to enroll more than 10 percent of people who  
20 took the drug. Well, we came up with this idea of a direct  
21 enrollment form that was included in the medication  
22 package. That has never been done before. People thought  
23 it was ridiculous. Well, that accounts for virtually  
24 three-quarters of our enrollments.

25 So, I think that if we take a positive attitude

1 and we say that there are very few problems that are  
2 insurmountable, we have a chance at making things work. I  
3 recognize that there are a lot of problems, but if the  
4 objective is to develop information on more than a handful  
5 of drugs, then it's not a matter of whether the central  
6 registry is the way to go, it's whether the alternative is  
7 any better. If the alternative is disparate registries to  
8 which physicians and the public have to respond in  
9 disparate ways, I think that the balance is different.

10 So, if the objective is to get not information  
11 on every drug that's ever used, but more drugs than we have  
12 now, then the choice of the centralized registry, or in  
13 deference to Sheila's reasonable point, using the  
14 appropriate words, some centralized activity, I think  
15 really has some merit. And I'm willing to bet that if the  
16 industry went back and huddled on it and huddled with FDA  
17 and were given certain kinds of assurances that FDA might  
18 be able to give, that their attitude may very well be  
19 positive, including the largest members of industry, not  
20 just those who can't afford to do it on their own.

21 DR. GREENE: Jan?

22 DR. FRIEDMAN: I agree. I think that it needs  
23 to be stated very clearly. I don't think anyone is stupid  
24 enough to propose that this would be an activity that would  
25 exclude industry involvement, industry involvement and

1 | planning in determining which studies need to go further,  
2 | need to have a particular kind of additional assessment,  
3 | we've got enough for this particular drug. Industry needs  
4 | to be involved in all those decisions. They need to have  
5 | the information so they can report it however they have to  
6 | report it to FDA. They clearly have to be right at the  
7 | heart of this activity. So, I don't think there's any  
8 | question of diminishing their stewardship for the drug.

9 |           DR. GREENE: Let's move along here a little bit  
10 | to the next question, which is what areas would need to be  
11 | specifically addressed to ensure that useful information  
12 | would come from such a centralized system. Sandra, as I  
13 | read that question, I interpret that to mean specifically  
14 | inclusion criteria for cases, exclusion criteria for cases,  
15 | additional information that would need to be collected to  
16 | address issues of confounding. Is that correct?

17 |           DR. KWEDER: No. Actually I think you've  
18 | already touched on some of those areas. They're more  
19 | general than that. It's probably not the sort of thing  
20 | where one size fits all, but you've touched on some of them  
21 | like protections for companies, protections for physicians  
22 | who report, for patients. Many of them have already been  
23 | raised. We're talking about sort of generic issues that  
24 | perhaps even a workshop would need to begin to address.  
25 | Another one that I might throw out is data ownership, data

1 control, that kind of thing.

2 DR. HOLMES: I could tell you from the AED  
3 pregnancy registry the system we've developed. We have a  
4 scientific advisory committee, that does not include anyone  
5 from industry, that's charged with deciding when something  
6 is ready to be released, being released in the form of an  
7 abstract at a meeting, a letter to the editor, a  
8 manuscript. This group sets the 95 percent confidence  
9 intervals that they want to see up front so that if you're  
10 going to say something is safe, you've met these criteria;  
11 if you're going to say it's significant, you've met these  
12 criteria. So, this would be the kind of thing a group  
13 would agree upon in a way that everyone would agree is free  
14 from bias but makes sense. Then from that, the information  
15 is released.

16 We have not released any information, so we  
17 don't have a track record in terms of what works best.  
18 Some people have argued that there should be a website  
19 where stuff is posted as soon as it's released. Some have  
20 argued that it should be letters to the editor. If you  
21 talk about manuscripts, you're adding several months at  
22 least to the interval between when it's developed. But  
23 that's what we came up with.

24 DR. GREENE: One question that I would like to  
25 ask is would there be anything attractive about a

1 centralized registry with respect to recruiting a  
2 comparison group or a control group or matched controls,  
3 people who are not exposed to your medication? Industry is  
4 clearly reticent to try to accumulate their own control or  
5 comparator groups. Would a centralized registry be  
6 attractive in that regard?

7 DR. ANDREWS: I think it's possible to generate  
8 some internal controls. As I mentioned yesterday, when we  
9 look across the registries, the different registries, that  
10 each use the same ascertainment method, the other  
11 registries can be the control group for a specific  
12 registry, and we are seeing very similar rates across  
13 registries. With the antiretroviral registry, we're able  
14 to use exposure in the second and third trimester as a  
15 comparison for first trimester exposure. So, I think there  
16 are creative ways that can be found.

17 I don't think there is any inherent reason that  
18 an industry sponsor study cannot collect a comparison group  
19 in a study that we conduct. I take the point that in terms  
20 of a adverse experience surveillance system, that type of  
21 system is not established to pursue a control group.

22 DR. GREENE: Let's see. Sandra, I think we've  
23 pretty well addressed that third bullet as well in our  
24 discussions so far.

25 So, if we can move on then to how can systems

1 and databases already in existence be better utilized in  
2 this effort, and what has been learned from existing  
3 methods? How can they be improved upon?

4 I think we've talked a bit about that as well  
5 in terms of what Lew has learned from the antiepileptic  
6 drugs database or the antiretroviral database, the Varivax  
7 database. I think we've learned a little there.

8 What further discussions are there on this  
9 point? Please.

10 DR. WEISS: We just finished a validation study  
11 looking at automated databases. It was very promising in  
12 that it is feasible and there are, for example, HMO  
13 databases and medical service databases that do have very  
14 good recordkeeping, pharmacy information, that you can use  
15 to start developing that.

16 The problem is that, one, a lot of these  
17 databases do not collect laboratory test results, and if we  
18 can encourage that information to become available in some  
19 way, that would facilitate this.

20 The other one is the collection of last  
21 menstrual period. In fact, the HMO we worked with has  
22 started that because of the results of the study so that  
23 they could look at this information.

24 And then the other one is that more databases  
25 do it so that we can combine them because no one by itself

1 is large enough and has enough pregnancies to do any one  
2 disease state in a reasonable amount of time.

3 So, those are the things that I hope we can  
4 find a way to encourage companies to do.

5 DR. GREENE: So, tell us a little more about  
6 the validation study that you did. Was this of an  
7 electronic database that was routinely used for medical  
8 care and you looked to see if the data was of research  
9 quality? Is that what you're talking about?

10 DR. WEISS: Yes, that's exactly what we did.  
11 We paired with an HMO and then we looked to see if we could  
12 identify things that would say a woman is pregnant early in  
13 her pregnancy and looked in the medical record to see was  
14 it complete, was it true, and then looked at people who had  
15 no indications of pregnancy to see were they really picking  
16 up all the pregnancies, and then looked backwards to people  
17 that had definite outcomes, live births, stillbirth, fetal  
18 loss, therapeutic abortion, see when we could have  
19 identified them very early looking backwards, trying to see  
20 how valid and reliable the data was. Like I said, it was  
21 very promising, but small numbers.

22 DR. GREENE: Any other comments on this issue?  
23 Please.

24 DR. CRAGAN: Sheila, did you have any  
25 information on patients moving in and out of the HMO

1 | system? Particularly if we're looking at longer than just  
2 | through the delivery process of following up children or  
3 | identifying children with defects that you then look  
4 | retrospectively. Do you have any information about how  
5 | complete those records are over time as patient populations  
6 | change?

7 |           DR. WEISS: That is a problem. Depending on  
8 | the HMO, it obviously depends on kind of the rate of  
9 | incoming and outgoing. We did find with pregnancies that  
10 | there were markers with no outcomes and we did eliminate  
11 | people who dis-enrolled, but we found that there are women  
12 | who potentially have more than one insurance company, maybe  
13 | through the husband and the wife, and so what you do is you  
14 | do lose information not just because of switching but  
15 | because they pick the other doctor through the other  
16 | insurance to get their final care and you lose that.

17 |           DR. CRAGAN: Do you have any estimate of how  
18 | large a part of the group you looked at that would be?

19 |           DR. WEISS: Call me afterwards. We'll look it  
20 | up.

21 |           DR. GREENE: So, in terms of looking at  
22 | automated databases, I guess that would begin to address  
23 | number 3 here. In general, what other strategies might be  
24 | helpful for collecting this information? Certainly there  
25 | are other models in King County using pharmacy registration

1 | databases that have been used successfully.

2 |           Other thoughts of other alternatives? Sandra,  
3 | did you want to say something?

4 |           DR. KWEDER: It wasn't about this question.  
5 | So, finish this.

6 |           DR. GREENE: Other thoughts? Allen?

7 |           DR. MITCHELL: Well, I mean, the bait was here.  
8 | Other strategies, in parentheses, case-control, randomized  
9 | controlled. I think that case-control designs and case-  
10 | control surveillance are an incredibly useful resource and  
11 | just ought to be in the mix.

12 |           DR. WIER: Mike, under the broader remit of  
13 | other types of data as well, so far the discussion of  
14 | pregnancy exposure registries has rightly focused on the  
15 | detection of adverse fetal outcomes. But of course,  
16 | yesterday we were reminded by the speakers of the broader  
17 | remit here to better understand the risks, as well as the  
18 | benefits, for drug use in pregnancy. But of course, we all  
19 | recognize that cohort studies in pregnancy are rare or even  
20 | unlikely for a variety of reasons.

21 |           So, while appreciating that an exposure  
22 | registry is not even close to being any kind of an efficacy  
23 | trial, I just have to wonder if the health care provider is  
24 | contacted to ascertain the fetal outcome, what prevents us  
25 | from asking about the therapeutic benefit? In other words,

1 | if we take it that in many cases an exposure registry is  
2 | going to be a course measure for detection of an adverse  
3 | effect, is it untenable to make it a course measure of  
4 | therapeutic benefit as well?

5 | DR. GREENE: Jan?

6 | DR. FRIEDMAN: To follow up on Pat's point, one  
7 | of the issues that clinicians often face is which drug  
8 | should this patient use for whatever it is she has to be  
9 | treated for. She does need treatment. She has severe  
10 | depression. She has seizures. She has a disease that  
11 | requires treatment. Which drug among those that are  
12 | available is the safest?

13 | An alternate approach to looking at that is by  
14 | selecting cohorts that have the disease. There are studies  
15 | in the literature, most of which are just awful, of  
16 | patients who have been identified with particular diseases  
17 | and outcomes. There are groups there who could be studied.  
18 | The AED registry may be an example of approaching this in a  
19 | more effective fashion, but one could envision the same  
20 | approach for people with various psychiatric diseases,  
21 | various infectious diseases, various diseases, inflammatory  
22 | diseases. I think that's another approach that we haven't  
23 | really talked about. It provides a different kind of a  
24 | study and often a lot of exposures to various members of a  
25 | class of drugs.

1 DR. MITCHELL: I see that as a dramatic change  
2 in mission.

3 DR. FRIEDMAN: It's different.

4 DR. MITCHELL: Well, it's seductive as can be.  
5 I think that if you look at the quality of the data -- I  
6 mean, let's say we did that for Bendectin. What would we  
7 find compared to clinical trial data? I think that's the  
8 height of anecdotalism with an awful lot of terribly  
9 subjective endpoints, in fact, almost entirely subjective  
10 endpoints. It seemed to me that the charge of these two  
11 days was to look at risks, and the opposite of risk is  
12 safety, but efficacy was not in the picture. I don't know  
13 how the agency feels about it, but from a study design  
14 standpoint, I think it's a very dangerous step from one  
15 mission to another and one that I don't think can be  
16 accomplished.

17 DR. MONTELLA: Take that one one further,  
18 though. What if you looked not just at Bendectin, but what  
19 if you looked at Bendectin and chlorpromazine and  
20 metoclopramide?

21 DR. MITCHELL: Then do a clinical trial because  
22 my guess would be that the women who failed Bendectin would  
23 be put on chlorpromazine.

24 So, it's a complicated picture. I just think  
25 it's a very different mission.

1 DR. MONTELLA: And that's the real problem  
2 because the real problem is that the people who are writing  
3 the texts and talking to the patients and trying to answer  
4 physicians' questions about what they do are answering them  
5 in this way. They're selecting drugs in a class of  
6 indications and that's why Pat's question was so appealing  
7 to that group. So, it may be a worthwhile question to try  
8 and answer.

9 DR. GREENE: Patrick, I'm just concerned that  
10 you're not going to get data. You're going to get  
11 testimonials, and I'm not really sure that's going to be  
12 very helpful.

13 Sandra?

14 DR. KWEDER: Mike, you brought up yesterday --  
15 and I think this speaks a little to what Patrick was  
16 getting at -- setting aside efficacy, looking at would it  
17 be reasonable to consider collecting information about  
18 maternal safety. I'm thinking you brought up yesterday the  
19 risk of hepatotoxicity, things where there are potentially  
20 objective measures of that, at least for hypothesis  
21 generation in the course of collecting information about  
22 fetal outcome. Would people be more comfortable with that?

23 DR. GREENE: Jim?

24 DR. LEMONS: Well, I agree with what Allen  
25 said. But it gets back to the primary intent of the

1 pregnancy labeling and the mind set. Is it a margin of  
2 risk or is it a margin of safety? I wouldn't, I guess, out  
3 of hand toss out the possibility that there could be some  
4 effective questioning regarding efficacy, but probably it  
5 will come down to more of a safety definition.

6 I know originally I was saying that the central  
7 registry or repository of information or surveillance  
8 system could distance industry from being the fox watching  
9 the henhouse, and I know that there is a lot of  
10 stewardship. I know this is a lot of perception and it may  
11 not be true. For me, I have the perception, so for me it's  
12 true. I think there is a perception of ownership that  
13 could lead to bias. It's I'm sure not true but it's there.  
14 Having some creative relationship, like Allen said and Jan  
15 said, I think the doors are wide open. I think the FDA  
16 could come out with some assurances that would involve and  
17 retain the proprietary stewardship of a particular company  
18 for a particular product and still come up with something  
19 very creative and positive.

20 But I think looking at this in a broad way and  
21 looking at the possibility of defining efficacy would be  
22 very attractive to corporate interests while you're also  
23 obtaining safety and risk data.

24 DR. MONTELLA: If you're doing a risk-benefit,  
25 you have assess benefit too.

1 DR. GREENE: Jim?

2 DR. MILLS: I think in terms of all of these  
3 issues, for maternal safety, the most obvious thing is  
4 you'd have to know a great deal of clinical information  
5 about the woman in order to interpret that so that you're  
6 making it a very complicated data gathering exercise.

7 For drug efficacy, likewise you need to know a  
8 lot about the clinical situation. You probably need  
9 laboratory data. Even assessing something like acne, you'd  
10 want to know what the acne was like before you started and  
11 what it was like when you got done with the treatment. In  
12 the best case, if you were trying to do, rather than drug  
13 versus no drug, a comparison among different drugs might be  
14 possible in an antiepileptic study, say. You'd still have  
15 to answer the big question why did the doctor start out  
16 with this drug as opposed to some other drug. Was it an  
17 equipoise situation in terms of the severity of the disease  
18 and just a random selection of drugs? So, for once I'll  
19 agree with Allen and say that I think it's a tough row to  
20 hoe.

21 (Laughter.)

22 DR. WIER: If we come back to the concept that  
23 in principle an early study like this is detecting signals,  
24 so you can turn this around to say I'm not trying to set  
25 the expectation for the benefit assessment any higher than

1 the expectation for the assessment of risk. You're looking  
2 for a signal. Even asking a simple question like did you  
3 find it necessary adjust the dose in pregnancy, I'm not  
4 saying that proves anything about efficacy. I'm saying it  
5 probes for questions that suggest further studies that  
6 could be warranted. It's a place to start.

7           It's just hard for me to imagine -- and I'm not  
8 in a position to do this, so understand I'm guessing here  
9 -- being in a situation where you're asking the care giver  
10 about the fetal outcome and that you just full stop at that  
11 point and not even ask what was your experience in terms of  
12 the therapeutic benefit of the drug. And did you find it  
13 necessary to adjust the dosage? Did you find it necessary  
14 to change therapies because it became ineffective in  
15 pregnancy? Things like this.

16           So, I hope the suggestion was taken in the  
17 right light to having really minimal expectations, not  
18 seeking proof in any way.

19           DR. ANDREWS: I think a couple of comments. I  
20 think there are many, many different models of industry and  
21 external collaboration, just many examples of that. One of  
22 the things that has been a very helpful model for us in the  
23 registries that we've conducted in house and now contracted  
24 out to PharmaResearch is to have the industry folks, as  
25 well as CDC, NIH, and others as an advisory committee to be