

1 Uprima and ethanol resulted in significantly higher  
2 incidence of abnormally low blood pressure values for both  
3 systolic and diastolic values when compared to Uprima and  
4 ethanol alone.

5           To recap the effects of Uprima with alcohol at  
6 a higher dose, .6 gram per kilogram, there were greater  
7 drops in systolic and diastolic blood pressure values with  
8 the combination, a higher drop in blood pressure at the  
9 time of peak Uprima and ethanol concentration, and there  
10 was also an increased sedative effect with the combination.  
11 Again, the results of these two bullet points are not shown  
12 here but were included in the briefing package for the  
13 committee. And there was an increased incidence of adverse  
14 events with the combination, as was shown with the lower  
15 dose of alcohol.

16           To summarize my comments, the bioavailability  
17 is higher in patients with renal or hepatic impairment.

18           Pharmacokinetic variability is too high to  
19 distinguish among Uprima doses that are so close to one  
20 another.

21           There is a pharmacodynamic interaction between  
22 Uprima and ethanol.

23           Uprima is associated with higher incidence of  
24 adverse events when dosed with moderate -- that is, 2  
25 ounces of alcohol -- and higher amounts -- that is, 4

1 ounces of alcohol.

2 Thank you very much.

3 DR. HIRSCH: Good morning. My name is Mark  
4 Hirsch and I'm the primary reviewing medical officer for  
5 Uprima. For those of you who are new to the drug  
6 regulatory process, the role of the medical officer is to  
7 conduct the initial assessment of the clinical data in a  
8 new drug application. Then working with a team of  
9 scientists, an overall assessment of the safety and  
10 efficacy of the drug is made. Our team is fortunate to  
11 have access to experts like those on this panel who can  
12 offer their advice and opinions as we deliberate.

13 In a relatively brief period, I'd like to  
14 review quite a bit of material. First, we will look  
15 carefully at the efficacy and safety of the 2 milligram and  
16 4 milligram doses individually. Then I'll touch briefly on  
17 the 2 milligram to 4 milligram dose titration regimen.  
18 Then we'll look at the results from the diabetic trial.  
19 Finally, I believe it is essential to understand the safety  
20 concerns associated with the 5 milligram and 6 milligram  
21 doses, doses which the sponsor chose to discontinue during  
22 phase III.

23 The clinical data set for Uprima consisted of  
24 the results from six controlled phase III trials and six  
25 open-label safety trials. I believe that the most

1 substantial clinical evidence comes from the three  
2 crossover trials. These three studies tested single p.r.n.  
3 doses of 2 milligrams, 4 milligrams, 5 milligrams, and 6  
4 milligrams in randomized, placebo-controlled, crossover  
5 designs. Each crossover study included a 4-week no-  
6 treatment baseline period, followed by two 4-week treatment  
7 periods, separated by a brief washout.

8           So, starting with the 2 milligram dose, was the  
9 2 milligram dose shown to be effective in the three  
10 crossover trials? The results shown on this slide are in  
11 agreement with the analysis conducted by the sponsor. The  
12 division has no major differences in this regard. However,  
13 we ask that you look carefully at these results and then  
14 provide your interpretation of these data and comment on  
15 whether you believe they demonstrated clinically meaningful  
16 efficaciousness.

17           The primary endpoint for all these trials was  
18 the proportion of attempts per individual which resulted in  
19 an erection sufficient for intercourse. Approximately 130  
20 patients were randomized to the 2 milligram dose in each of  
21 these three studies. Here you see the results for the  
22 primary endpoint for each of the three crossover studies.

23           Here you see the combined results for a  
24 responder analysis. A responder, or treatment success, was  
25 defined as an individual who had at least 50 percent

1 | successful attempts during a treatment period. In this  
2 | secondary endpoint, there is an absolute difference of 12  
3 | percent. We have presented this secondary endpoint to  
4 | offer you an additional perspective on this data.

5 |           Was the 2 milligram shown to be safe in the  
6 | placebo-controlled crossover trials? Here I've listed the  
7 | incidence rates of adverse events as reported in each of  
8 | the three crossover trials. Although the overall adverse  
9 | event profile appears relatively benign when compared to  
10 | placebo, I'd like to turn your attention to two very  
11 | important adverse event terms, hypotension and syncope.  
12 | Hypotension was reported in a range of 0 to 1.2 percent of  
13 | 2 milligram patients, and syncope in a range of 0 to 0.7  
14 | percent of 2 milligram patients. Please be aware that  
15 | these are reported adverse events.

16 |           Of all patients who received 2 milligrams in  
17 | the entire new drug application database, exactly how many  
18 | reported syncope or hypotension as an adverse event and  
19 | what ultimately happened to them? The answer is a total of  
20 | exactly 7 out of 964 patients, or 0.7 percent, experienced  
21 | hypotension or syncope as an adverse event. You should  
22 | understand that these were individual and unduplicated  
23 | adverse event reports and no patient was counted twice.  
24 | Specifically, if a patient was reported as having both  
25 | syncope and hypotension, he was counted only once as

1 syncope.

2           Three brief narratives from this group of 7 may  
3 help you better understand what actually happened during  
4 these events. One patient experienced nausea and syncope  
5 after taking two 2 milligram tablets within 4 hours. He  
6 was reported to be unconscious for approximately 4 minutes.  
7 I should highlight that this patient actually did take two  
8 2 milligram tablets within 4 hours.

9           One patient experienced nausea, diaphoresis,  
10 vomiting, and syncope 30 minutes after an in-office dose of  
11 2 milligrams. His syncopal event was reported to last  
12 approximately 3 minutes.

13           In addition to the cases of syncope, there were  
14 five adverse event reports of hypotension. I'd like to  
15 present one of these narratives here. A 50-year-old  
16 patient experienced hypotension, bradycardia, and sweating  
17 approximately 40 minutes after his first dose of 2  
18 milligrams. The hypotension and bradycardia lasted for 5  
19 and 10 minutes respectively.

20           I believe that the most important question  
21 regarding the 2 milligram dose is this. How many patients  
22 will actually use it? Well, in the long-term, open-label,  
23 flexible-dose studies, only approximately 6 to 11 percent  
24 of all patients remained on 2 milligrams when they were  
25 offered the opportunity to use higher doses. This table

1 also demonstrates that most patients titrated to the  
2 maximally allowed dose. Therefore, if patients tend not to  
3 remain on 2 milligrams when offered higher doses, the more  
4 important question is, what is the safety and efficacy at  
5 higher doses?

6           What was the efficacy demonstrated with the 4  
7 milligram dose in the three crossover studies? Again, the  
8 division has no substantial disagreement with the sponsor  
9 in terms of the absolute efficacy data. We ask, however,  
10 that you assess these results in light of the safety  
11 concerns that I will try to delineate. Before we leave  
12 this slide, I again would like to point out that these are  
13 the results for the primary endpoint from the crossover  
14 trials and that approximately 120 patients were randomized  
15 to the 4 milligram dose per trial. Again, we agree with  
16 the sponsor's analysis of these figures.

17           Here you see the results of a responder  
18 analysis for the three crossover trials combined. There is  
19 an absolute difference between placebo and Uprima of 24  
20 percent.

21           In my opinion the truly critical question to  
22 answer is now before us, and that is, is the 4 milligram  
23 dose safe? In order to answer this question, we should  
24 look first at the overall adverse events reports for the 4  
25 milligram fixed dose in the three placebo-controlled

1 crossover trials. Here we see incidence rates of nausea of  
2 approximately 20 percent, dizziness of approximately 14  
3 percent, somnolence or sleepiness of approximately 10  
4 percent, sweating of about 10 percent, and vomiting around  
5 1 to 4 percent. But I'd ask you to please focus on the  
6 incidence rates of hypotension of 3.1 to 6 percent and  
7 incidence rates of syncope of 0.9 to 2 percent. Again,  
8 please recall that these are investigator reported adverse  
9 events.

10 We think it is important for you to know  
11 exactly how many 4 milligram patients in the entire NDA  
12 database experienced syncope or hypotension as reported as  
13 an adverse event and what actually happened to these folks.  
14 By our count, 42 patients out of 1,279 dosed with 4  
15 milligrams, or 3.3 percent of the entire population,  
16 reported one of these events. I'd like you to focus on  
17 that rate, approximately 1 in 30 patients, as you listen to  
18 these case narratives.

19 A 33-year-old man received 4 milligrams and was  
20 observed for 30 minutes in the office. While driving home  
21 -- and he was the driver in this case -- he became  
22 nauseated, fatigued, flushed, and sweaty. He attempted to  
23 stop his car, but he lost consciousness, lost control of  
24 his vehicle, and crashed into a fence.

25 A 36-year-old experienced pallor, sweatiness,

1 and syncope approximately 30 minutes after his first in-  
2 office dose. He lost consciousness for only a few seconds,  
3 but he remained tired, weak, and flushed for 8 hours.

4 A 50-year-old man experienced nausea after his  
5 in-office dose of 4 milligrams. He requested Compazine  
6 from the nurse. He had previously tolerated 2 milligrams  
7 without any incident. Upon her return, the nurse found him  
8 unconscious, apneic, unresponsive, diaphoretic, and  
9 incontinent of urine. He awoke spontaneously and began to  
10 breathe. His heart rate was 42 beats per minute. He was  
11 administered IV saline, oxygen, and Compazine. His  
12 bradycardia persisted for over 1 hour, requiring  
13 hospitalization.

14 A 60-year-old man experienced syncope 35  
15 minutes after an in-office dose of 4 milligrams. He was  
16 unconscious for several seconds. It was necessary for the  
17 nurse to "adjust his head and rouse him." He was pale,  
18 hot, diaphoretic, and vomiting. He was placed supine, and  
19 he improved over 1 hour.

20 A 69-year-old man complained of diaphoresis 38  
21 minutes after his first dose of 4 milligrams. His blood  
22 pressure at that time was 117/50 and his heart rate was 60.  
23 He then fainted and was unresponsive for 2 minutes. He was  
24 treated with oxygen and intravenous fluids.

25 It is notable that there were twice as many

1 adverse event reports of hypotension as compared to reports  
2 of syncope, and again these are unduplicated. Our  
3 assessment reveals that many of the hypotension cases were  
4 of no less significance. As evidence, I'll present a few  
5 narratives reflecting these events.

6 A 60-year-old male experienced hypotension with  
7 a blood pressure of 70/41, bradycardia with a heart rate of  
8 45 beats per minute, pallor, fatigue, and sweating, 35  
9 minutes after dosing. These symptoms abated within 2 hours  
10 of dosing.

11 A 56-year-old received 4 milligrams of Uprima  
12 despite presenting to the clinic with a complaint of  
13 diarrhea and abdominal cramping. After the dose, he  
14 experienced severe hypotension and he became unconscious  
15 for 15 to 20 minutes. He woke up, vomited, and was then  
16 transported to the emergency room where he again lost  
17 consciousness. His blood pressure was 60 millimeters  
18 palpable in the emergency room. His EKG revealed  
19 nonspecific ST-T wave changes and he required admission to  
20 the intensive care unit and intravenous fluids. This case  
21 is particularly concerning since it might signal problems  
22 in patients with low baseline volume status or low  
23 borderline blood pressure.

24 Finally, a 59-year-old patient experienced  
25 hypotension, dizziness, nausea, and sweating 25 minutes

1 after his first dose of 4 milligrams. His blood pressure  
2 was 72/50 and his hypotension persisted for 105 minutes.

3 The sponsor conducted a single controlled trial  
4 designed specifically to assess the impact of dose  
5 titration versus fixed dose regimens. Although patients in  
6 the study were allowed to titrate up to 6 milligrams, we do  
7 have data specific to the use of the 2 through 4 milligram  
8 doses. In this trial, you should be aware that patients  
9 were randomized to a 5 or 6 milligrams fixed dose arm,  
10 placebo, or a dose titration arm of 2 to 6 milligrams in  
11 which all patients were started on 2 milligrams and allowed  
12 the opportunity to dose upward as desired.

13 The data was analyzed for both the use of 2  
14 through 4 milligrams and 2 through 6 milligrams. Was the 2  
15 through 4 milligram dosing regimen effective in this trial?  
16 In regard to these actual figures, we have no major  
17 disagreement with the sponsor. We ask you to assess  
18 whether these results are clinically meaningful on their  
19 own and whether the benefits of these efficacy results  
20 outweigh the known risks.

21 Did dose titration actually limit the incidence  
22 of syncope and hypotension in this study? If we look at  
23 the incidence of adverse event reports for the term  
24 "hypotension" and the term "syncope," the incidence for  
25 these events was actually highest in the dose titration

1 group compared to the 5 milligram, 6 milligram, and placebo  
2 fixed dose arms.

3 We know that diabetes is a major risk factor  
4 for erectile dysfunction. The sponsor conducted a single  
5 controlled phase III study in well-controlled, relatively  
6 healthy diabetics. Was Uprima effective in this study?  
7 Here we see the results of the primary endpoint for the 4  
8 milligram dose, that is, percentage of successful attempts  
9 per individual.

10 Here we see the results of the secondary  
11 endpoint, percentage of successful responders. Again, we  
12 ask you to consider these results and decide for yourself  
13 whether these differences from placebo are meaningful,  
14 whether efficaciousness was demonstrated in diabetics, and  
15 whether these results outweigh the known risks of the  
16 product.

17 What was the incidence of hypotension and  
18 syncope reported as adverse events in the diabetic trial?  
19 The combined incidence was 4 percent in the 4 milligram  
20 Uprima group versus 1 percent for 4 milligram placebo and  
21 3.8 percent in the 5 milligram group compared with 0 for  
22 the 5 milligram placebo group.

23 Finally, we have extensive phase III trial data  
24 concerning 5 milligrams and 6 milligrams. The sponsor  
25 voluntarily decided not to request approval of these doses,

1 but we believe that an understanding of the risks of 5 and  
2 6 milligrams is critical to the understanding of the 2  
3 milligram and 4 milligram dose. The overall adverse  
4 events, as reported in the three crossover trials, reveal  
5 incidence rates of nausea of approximately 30 percent for 5  
6 percent and 40 percent for 6 milligrams, rates of dizziness  
7 of approximately 20 percent for both doses, rates of  
8 sweating of approximately 15 percent for 5 milligrams and  
9 20 percent for 6 milligrams, rates of somnolence of  
10 approximately 10 percent for both doses, and rates of  
11 vomiting of about 10 percent for both doses.

12 But I ask you to pay particular attention again  
13 to the incidence rates of hypotension and syncope. For the  
14 5 milligram dose, these rates were 2.3 to 6.5 percent for  
15 hypotension and 2.3 to 3.5 percent for syncope. For the 6  
16 milligram dose, these rates were 4.3 to 4.8 for hypotension  
17 and 2.1 to 2.3 for syncope.

18 Is there evidence of risk due to syncope or  
19 hypotension in this NDA? One case clearly demonstrates the  
20 risk potential. A 42-year-old man received a 5 milligram  
21 dose in office. He had previously tolerated doses of 2 and  
22 4 milligrams. 1 hour after dosing, he felt nauseated. He  
23 stood up to find someone. He was found unconscious,  
24 bleeding from a tongue laceration and from a head abrasion.  
25 After smelling salts, he awoke. Within 15 minutes, he felt

1 | better and went home.

2 |           5 days later he complained of a headache. CT  
3 | scan revealed a left occipital skull fracture with a  
4 | cortical contusion of the frontal lobe. MRI confirmed a  
5 | left-sided nondepressed skull fracture and also reviewed a  
6 | contra-coup injury of the right frontal lobe.

7 |           An additional 63-year-old patient was given a  
8 | dose of 5 milligrams in the office. He had previously  
9 | tolerated doses of 2 and 4. Shortly after dosing, he felt  
10 | nauseated, lightheaded, and clammy. He last recalled going  
11 | to the door for assistance. He was later found by the  
12 | nurse with a head laceration. It was assumed he struck his  
13 | head on a nearby table.

14 |           Finally, I believe that the bottom line is  
15 | this. How many patients actually remained on any dose of  
16 | Uprima for an extended period of time? Well, results from  
17 | the long-term trials reveals that approximately 60 percent  
18 | of patients prematurely terminate use before 6 months and  
19 | about half of these discontinuations are due to lack of  
20 | efficacy.

21 |           Since I've presented quite a bit of information  
22 | in a short period of time, I'd like to present several  
23 | summary comments that I hope you will consider in your  
24 | deliberations.

25 |           First, in terms of the 2 milligram dose, we

1 | agree with the sponsor's analysis of efficacy. However, we  
2 | would encourage you to consider whether the benefits  
3 | demonstrated over placebo are truly meaningful benefits.  
4 | As an example, please consider whether a difference in the  
5 | proportion of treatment responders from 36 percent with  
6 | placebo to 48 percent with Uprima is a meaningful  
7 | difference.

8 |                 Second, the overall incidence rates of syncope  
9 | and hypotension, as reported as adverse events by the  
10 | individual investigators, was 0.7 percent.

11 |                 Finally, it appears likely that few patients  
12 | will actually remain on the dose of 2 milligrams when  
13 | offered the opportunity to take higher doses.

14 |                 In terms of the 4 milligram dose, we wish to  
15 | emphasize that the combined rate of syncope and hypotension  
16 | was 3.3 percent, or 1 in 30 patients. Some of the case  
17 | narratives for these patients describe concerning events,  
18 | including persistently low heart rate, prolonged  
19 | hypotension, serious injury, and the need for urgent  
20 | medical intervention to prevent serious injury.

21 |                 It is important to note that the majority of  
22 | syncopal events at 4 milligrams occurred in the confines of  
23 | a physician's office and were generally, but not always,  
24 | limited to the first dose or first increase in dose.

25 |                 In terms of the dose titration regimen, we ask

1 that you look at the efficacy results from the titration  
2 study and seriously consider whether the 2 to 4 milligram  
3 dose titration regimen provides a real clinical benefit to  
4 erectile dysfunction patients. In addition, we wish for  
5 you to understand that dose titration itself did not limit  
6 the incidence of the combined report of syncope and  
7 hypotension.

8 In terms of the results in diabetics, we ask  
9 that you look at this efficacy data and seriously consider  
10 whether the 4 milligram dose provided a real clinical  
11 benefit to diabetic patients with organic erectile  
12 dysfunction. Here it is important to recall that the  
13 diabetic trial was the only well-controlled study in which  
14 the entrance criteria did not require patients to have at  
15 least one normal or nocturnal erection greater than 55  
16 percent rigidity. These results in diabetics may cast some  
17 doubt on whether Uprima was demonstrated to be effective in  
18 the larger population of erectile dysfunction patients  
19 without evidence of normal nocturnal tumescence.

20 Finally, we believe that you cannot understand  
21 the potential safety issues with Uprima unless you aware of  
22 the safety results at doses of 5 and 6 milligrams. At  
23 these doses, all Uprima-related adverse events were  
24 reported at higher incidences. The incidence rates of  
25 hypotension and syncope in the crossover trials were

1 | actually higher at doses of 5 and 6 milligrams. If the  
2 | sponsor has decided not to request approval of these doses,  
3 | why then are we presenting the results to you? You might  
4 | recall that the serum blood levels or pharmacokinetics of  
5 | Uprima are variable and pharmacologically doses of 4  
6 | milligrams, 5 milligrams, and 6 milligrams may be  
7 | indistinguishable in any given patient.

8 | I thank you for your attention, and I'd like to  
9 | introduce our Deputy Director, Dr. Mann.

10 | DR. MANN: Finally, the last speaker of the  
11 | morning. Thank you for your patience and endurance in  
12 | going through all of these multiple presentations with us.

13 | I want to briefly review the drug-  
14 | antihypertensive interaction study that was performed by  
15 | the sponsor, and in doing so, I'd like to compliment them  
16 | on doing a very nice job of extensively looking at the  
17 | potential for drug interactions with Uprima in a wide  
18 | variety of antihypertensive agents.

19 | You've heard about the study design and some of  
20 | the results this morning from TAP, so I will go through  
21 | these slides somewhat quickly.

22 | The study design was geared to look at the  
23 | tolerability of a single 5 milligram dose of Uprima versus  
24 | a single dose of placebo in patients taking a wide variety  
25 | of antihypertensive therapies as shown on this slide.

1           24 males were geared to be enrolled in each  
2 group, and they were to be on at least 4 weeks of single  
3 agent antihypertensive therapy and be stable on that  
4 regimen. It was a double-blind, crossover study design  
5 with a 24-hour washout period between placebo and Uprima  
6 dosing. The patients were randomized in a randomized  
7 fashion to receive either Uprima 5 milligrams followed 24  
8 hours later by placebo or placebo followed 24 hours later  
9 by a dose of Uprima 5 milligrams.

10           As part of the study, blood pressure and pulse  
11 measurements were obtained in every patient, and both  
12 standing and supine measurements were obtained. Several  
13 measurements were done prior to dosing and multiple  
14 measurements were done post-dosing as shown.

15           In addition, adverse events were recorded for  
16 each patient. An ECG and Holter monitoring were performed  
17 in each patient.

18           Of note, however, no pharmacokinetic sampling  
19 for either Uprima or antihypertensive drug levels were done  
20 in this trial.

21           As the sponsor presented this morning, I don't  
22 believe the FDA has any disagreement that the mean blood  
23 pressure results showed no significant effects for the non-  
24 nitrate groups or for the short-acting nitrate group. The  
25 long-acting nitrate group did show some statistically

1 significant changes in blood pressure, but again, we agree  
2 with the sponsor that on average those changes were under  
3 10 millimeters of mercury and were not highly clinically  
4 meaningful.

5           As Dr. Jarugula presented earlier this morning  
6 with the alcohol interaction study, though, sometimes mean  
7 blood pressure changes don't give you the whole picture.  
8 So, the FDA also performed a per-patient analysis. In this  
9 analysis, adverse events for each patient were examined.  
10 Significant adverse events were considered to be nausea,  
11 vomiting, diaphoresis, hypotension, syncope, and there was  
12 one case of palpitations that was considered significant.  
13 The reason these were considered significant is, as you can  
14 recognize by now, these are the recognized adverse events  
15 attributable to Uprima.

16           There were occasional if not rare patients who  
17 presented with other adverse events. Those included  
18 headache, upper respiratory infection, fever, shortness of  
19 breath, tinnitus, rash, and asthenia. Since these were not  
20 attributable to study drug, they were not considered very  
21 relevant in this analysis.

22           Blood pressure results were also observed. The  
23 standing blood pressure results in particular were observed  
24 carefully in this analysis done per patient. Any patient  
25 who had an absolute systolic blood pressure reading less

1 | than 85 or a diastolic blood pressure reading less than 45  
2 | after receiving study drug was considered notable. In  
3 | addition, any patient who experienced a fall in systolic  
4 | blood pressure by 30 or diastolic blood pressure by 20 was  
5 | considered potentially meaningful.

6 | Overall, this analysis done per patient for the  
7 | 162 subjects enrolled in the entire trial, all  
8 | antihypertensive groups combined, showed that there were 26  
9 | subjects who had an adverse event of concern. In 24 of  
10 | those patients, they were noted to have suffered both an  
11 | adverse event and one of those clinically relevant blood  
12 | pressure findings I mentioned in the previous slide. 2  
13 | subjects had solely an adverse event. One was a Uprima  
14 | patient and one was a patient. Both of those patients had  
15 | experienced nausea as their adverse event.

16 | Breaking it down by group, the non-nitrate  
17 | therapies are shown on this slide with the adverse event  
18 | results shown per patient. For example, there were 25  
19 | patients enrolled into the ACE inhibitor arm of this study.  
20 | 1 of those 25 patients reacted to placebo versus 2 who  
21 | reacted to Uprima. The results for the other non-nitrate  
22 | therapy arms are shown on this slide, and as you scan  
23 | across, you can observe that in general there were more  
24 | adverse events associated with Uprima versus placebo, so  
25 | that for the sum total of non-nitrate therapy patients, 1.6

1 percent of patients had an adverse reaction following  
2 placebo dosing versus 9 percent of patients who had an  
3 adverse event following Uprima dosing.

4           There was one syncopal event of note in the  
5 non-nitrate therapy arms which I'd like to mention briefly.  
6 This was a patient in the beta blocker group. His baseline  
7 blood pressure of 105/75 fell to 71/30 about 20 minutes  
8 after receiving Uprima. He had associated dizziness,  
9 nausea, and diaphoresis as well. He was placed supine,  
10 given IV fluids, and was later hospitalized for 24 hours of  
11 observation.

12           In summary, for the non-nitrate therapies,  
13 adverse events of concern were noted in 1.6 of subjects who  
14 had taken placebo versus 9 percent of subjects who had  
15 taken Uprima. There was one serious adverse event, a  
16 syncopal event, in a beta blocker subject who had taken  
17 Uprima.

18           We can conclude from this that patients did not  
19 tolerate Uprima as well as placebo. It is difficult to  
20 conclude that there is a drug-drug interaction between  
21 Uprima and antihypertensive therapies because the question  
22 arises could these events possibly just relate to adverse  
23 events associated with a 5 milligram dose of Uprima.

24           What about the nitrate therapy arms? There  
25 were 20 patients enrolled in each arm, and there were 6

1 Uprima reactions for the short-acting nitrate arm versus 0  
2 placebo reactions. For the long-acting nitrate arm, there  
3 were 5 Uprima reactions versus 2 placebo reactions.

4 Overall, 28 percent of patients had an adverse reaction  
5 following a single dose of Uprima versus 5 percent who had  
6 an adverse reaction of note following a dose of placebo.

7 That is summarized on this slide in the top  
8 bullet. Again, we feel that patients did not tolerate  
9 Uprima as well as placebo when they were receiving  
10 concomitant nitrate therapies. I think the fact that we  
11 did notice a somewhat higher percentage of reactions  
12 overall to Uprima in the nitrate therapy arm versus the  
13 non-nitrate therapy arm may in fact suggest somewhat of a  
14 potential for a drug-drug interaction between nitrates and  
15 Uprima.

16 I'm going to switch gears and do a brief  
17 summary of the summary comments you've already heard. I  
18 apologize if we seem somewhat redundant here, but before we  
19 lead into the questions for the panel, I did want to just  
20 recap briefly our summary of concerns.

21 The first concern that the FDA has raised on  
22 several occasions was that the patient population selected  
23 for controlled trials was somewhat selective in that  
24 patients with organic erectile dysfunction were excluded as  
25 part of the exclusion criteria, and moreover patients in

1 all three of the randomized, controlled crossover trials  
2 that we went over this morning were required to have a  
3 normal Nocturnal Penile Tumescence test as part of their  
4 inclusion criteria. As Victor Raczkowski presented, we do  
5 not care to present comparisons to other drugs, but I will  
6 state for the record that in all other drugs approved for  
7 erectile dysfunction, these particular inclusion and  
8 exclusion criteria did not exist in the pivotal trials.

9 We feel that this selective patient population  
10 has relevance to potential efficacy concerns and safety  
11 concerns that we've raised for you today.

12 With regards to efficacy, I think it's possible  
13 that Uprima could work better than placebo in patients with  
14 organic ED, and we may see a more dramatic effect in this  
15 population of more severe patients possibly if they are,  
16 indeed, more severe. Uprima may not work as well in  
17 patients with organic ED. That's the other possibility.

18 With relevance to safety, our major concern is  
19 that we feel that patients with more organic causes of  
20 erectile dysfunction may, in fact, be predisposed to more  
21 underlying cardiovascular disease and that in such patients  
22 Uprima may pose more serious safety concerns.

23 What kind of data do we have in patients with  
24 organic erectile dysfunction? There are two trials in this  
25 application that look at patients with organic ED

1 specifically. One of those trials was done in a limited  
2 number of patients who were status post-prostatectomy. I  
3 believe the number was 44, something like that. That trial  
4 was neither powered to show efficacy and the safety data  
5 was relatively limited. So, we have, instead, focused on  
6 the diabetic trial of patients to look at organic ED  
7 patients and how they responded to Uprima.

8 In this population, as Dr. Hirsch presented,  
9 there was a 25 percent successful attempt rate of the  
10 primary endpoint in the Uprima arm versus a 15 percent  
11 successful attempt rate in the placebo arm. We ask that  
12 you carefully consider this primary efficacy result in  
13 light of the safety data that we have presented for 4  
14 milligrams of Uprima. That safety data focuses on an  
15 overall syndrome of vasovagal events with particular  
16 emphasis on a 4 percent overall rate of hypotension and  
17 syncope noted in this particular trial.

18 The 2 milligram dose of Uprima. I think we  
19 have gone over some safety issues, but our primary concern  
20 there is also efficacy. We've noted that overall success  
21 rates of 44 to 47 percent were noted in the three  
22 randomized, controlled clinical trials, the crossover  
23 trials, versus a placebo response rate of 32 to 38 percent.  
24 We ask that you carefully consider this efficacy in terms  
25 of safety.

1           Few patients, about 10 percent, remain on a 2  
2 milligram dose of Uprima long term when given the option to  
3 titrate upwards. We again feel that this fact needs to be  
4 considered carefully in terms of the efficacy of this dose.

5           For the 4 milligram Uprima dose, we've laid out  
6 mostly safety concerns. Nausea and dizziness occurred in  
7 about 20 percent of patients overall, sweating and  
8 somnolence in about 10 percent, and vomiting in anywhere  
9 from 1.5 to 4 percent of patients. Overall, there was a  
10 3.3 percent rate of syncope and hypotension in the clinical  
11 trials.

12           I'd like to note briefly that the FDA feels  
13 that the hypotensive events, when they are recorded as an  
14 adverse event, are potentially relevant and important to  
15 mention here. We understand that when clinicians code  
16 these terms, they have the possibility to record dizziness  
17 as just that, dizziness. We feel the term hypotension  
18 connotes perhaps a more serious concern.

19           Finally, we feel there is a narrow margin of  
20 safety provided with the 4 milligram dose of Uprima, and  
21 this is based on the safety data we reviewed for you with 5  
22 and 6 milligram doses.

23           What about going from 2 to 4 milligrams in a  
24 titration fashion? Does that alleviate some of these  
25 safety concerns? And does it still provide substantial

1 evidence of efficacy?

2           There is one study -- this is the parallel arm  
3 study -- that compared placebo, 5 milligrams of Uprima, 6  
4 milligrams of Uprima, and 2 going up to 6 milligrams of  
5 Uprima for both efficacy and safety. In this study, there  
6 was a 48 percent successful attempt rate in the 2 to 4  
7 milligram level of titration versus a 35 percent successful  
8 attempt rate with placebo. We again ask that you look at  
9 this gain of 13 percent for the primary endpoint carefully  
10 in light of safety concerns.

11           And what about safety? Was the dose titration  
12 approach successful in ameliorating our safety concerns or  
13 at least reducing them somewhat?

14           We have safety results for the 2 to 6 milligram  
15 titration arm that show a 5.4 percent rate of hypotension  
16 and syncope. Compared to either the 5 or 6 milligram doses  
17 of Uprima arms in this controlled trial, the 5.4 percent  
18 rate was actually the highest. So, we did not feel that  
19 titration reduced our concern in this regard.

20           We have also raised concerns about interactions  
21 with other drugs. Dr. Jarugula presented his data on the  
22 alcohol interaction studies performed. We believe concerns  
23 are raised with the equivalent of approximately 2 ounces of  
24 vodka and go up higher as increasing alcohol doses are  
25 administered.

1           Is there a nitrate interaction? I believe it's  
2 possible, and that would be supported by the increased  
3 reporting of adverse events of nausea, vomiting,  
4 diaphoresis, dizziness, and hypotension noted with patients  
5 on nitrates versus the similar results of these adverse  
6 events reported with patients on non-nitrate therapies, but  
7 it is difficult to tell for sure.

8           As we address our questions for the committee,  
9 again keep in mind these points that we've brought up. I  
10 wanted to also bring up the uses in real life as the bottom  
11 bullet.

12           The sponsor has presented this morning an  
13 assessment of adverse events that were done using the total  
14 number of doses taken in the denominator and further  
15 projecting out an event rate per year for an individual  
16 patient. We ask that in real life you look more at the  
17 adverse event data done per patient in these clinical  
18 trials. We feel it gives the most realistic approach to  
19 safety and that the other approach possibly could  
20 underestimate event rates as the denominator is inflated  
21 with the number of doses taken per patient. It's obvious  
22 that patients who do not tolerate study drug are going to  
23 drop out from that denominator over time, thus giving you a  
24 perhaps inflated denominator that would underestimate  
25 safety concerns. So, we wanted to address that briefly.

1           Moving on to our questions, the first question  
2 for the panel doesn't deal so much with safety and efficacy  
3 profiles and risk-benefit assessments. We merely ask that  
4 you address does the patient population that has been  
5 studied support the proposed indication statement for the  
6 treatment of erectile dysfunction. If you believe so,  
7 please elaborate, and if not, please describe your  
8 concerns.

9           The second question: Do the data presented  
10 support an acceptable risk-benefit profile for the 2  
11 milligram dose of Uprima? Again, we ask you to elaborate  
12 if you feel this is so, and if not, please describe your  
13 concern or concerns and include additional descriptions of  
14 a study or studies that might address these concerns.

15           And finally, the question for the 4 milligram  
16 dose is identical to that for the 2, so I won't put you  
17 through the pain of reading it thoroughly again.

18           Those are our questions for you, and now we are  
19 ready to take your questions for us. Thank you very much.

20           DR. AZZIZ: In order to stay on time, as I said  
21 earlier, let us go ahead and go to a break now. We will  
22 return to the questions for the FDA staff following lunch,  
23 and then the open forum.

24           It is now 12:30. Let us reconvene at 1:15.  
25 Thank you.

1 (Whereupon, at 12:30 p.m., the subcommittee was  
2 recessed, to reconvene at 1:15 p.m., this same day.)  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## AFTERNOON SESSION

(1:18 p.m.)

1  
2  
3 DR. AZZIZ: We'll reconvene if all the members  
4 can please sit down.

5 What I'd like to do this afternoon is, one,  
6 stay on time. Two, I'd like to begin with the public  
7 hearing portion of today, and then go ahead and go a  
8 session where we can just simply ask questions of both the  
9 sponsor and the FDA staff because we obviously have not had  
10 much time to ask questions. But we would like to make sure  
11 that we don't extend that question and answer period  
12 forever because we do need to answer the questions that  
13 have been posed to the committee.

14 Just one speaker and one person to respond? Is  
15 that right? We have only one speaker and that is Donald  
16 Vieth, I think. If I said that incorrectly, I'm sorry. If  
17 you would please state your relationship to the sponsor,  
18 any potential conflict of interest, and so on. Thank you.

19 MR. VIETH: My name is Donald L. Vieth. I'm 59  
20 years of age. I want to thank you for the opportunity to  
21 recount my experience with the drug Uprima. TAP  
22 Pharmaceuticals invited me to testify today, and they are  
23 reimbursing me for my travel expenses.

24 In late 1997, I began to realize a decline in  
25 my sexual capabilities. The decline in capability was

1 manifested in a slowness in the ability to achieve an  
2 erection and the inability to maintain it over a reasonable  
3 period of time once attained. With this condition, a  
4 successful attempt at sexual intercourse was becoming more  
5 tenuous. To put the situation in proper perspective, let  
6 me say I went from a condition of erection on demand with a  
7 significant orgasm to a point where it was possible to have  
8 an ejaculation without benefit of erection or orgasm. So,  
9 that is just the sense of where I was. In essence, sexual  
10 enjoyment as I had known it had completely disappeared.  
11 For a man who had been married for 37 years and enjoyed a  
12 robust, imaginative, and intense sex life with his wife,  
13 the impact of this emerging condition was very troublesome.  
14 Although a marriage is based upon far more than sexual  
15 activity, the conscious realization that the erotic pursuit  
16 of the industrial strength, multiple orgasm was no longer  
17 an option constituted a devastating blow for both my wife  
18 and myself.

19           Considering the enormity of the situation, I  
20 consulted a urologist. I was diagnosed as having erectile  
21 dysfunction. Viagra was prescribed to determine if it was  
22 possible to mitigate my condition. There was no  
23 improvement in my condition or performance with the use of  
24 Viagra.

25           The urologist also indicated that they were

1 | conducting evaluations of new drugs to mitigate the factors  
2 | causing erectile dysfunction and wanted to know if I would  
3 | be interested in participating in the evaluation of these  
4 | drugs as they became available. I indicated that I would  
5 | and my wife strongly supported my involvement.

6 |           I've been involved in phase III of clinical  
7 | testing of Uprima since September of 1999. I want to note  
8 | that my experience with Uprima has been remarkable. When  
9 | taking Uprima, 30 to 60 minutes before the initiation of  
10 | sexual interaction, I was always able to achieve an  
11 | erection in a relatively short period of time after the  
12 | initiation of physical stimulation, that is, within a few  
13 | minutes. I have always been able to have sustained  
14 | intercourse without difficulty and realize a quality level  
15 | of orgasm. The use of Uprima has restored my ability to  
16 | perform competently and achieve sexual satisfaction. I  
17 | have been able to enjoy a significant turnaround in my sex  
18 | life without experiencing any identifiable side effects.  
19 | The use of Uprima has restored my sense of confidence that  
20 | I can perform in a manner that satisfies both my wife and  
21 | myself.

22 |           Based upon my personal experience, I would  
23 | strongly support the approval of the drug Uprima.

24 |           If there are any questions about my situation,  
25 | I'd be happy to address them.

1 DR. AZZIZ: Thank you very much for your  
2 comments. We generally don't have questions. But your  
3 comments will be taken in consideration. Thank you.

4 Without further ado, I don't think we have any  
5 other public speakers, so anybody in the audience who wants  
6 to take the opportunity, this is an open forum.

7 (No response.)

8 DR. AZZIZ: Thank you.

9 Let us go ahead and go to questions from the  
10 committee to both the FDA staff and the sponsor regarding  
11 the extensive data that was presented this morning. Again,  
12 please identify yourself before you speak.

13 DR. HANNO: I just have a question regarding  
14 whether the FDA has any policy with regard to drugs that  
15 might affect libido and whether the sponsor has data on the  
16 effects of Uprima on libido.

17 DR. MANN: I'll take the FDA portion of that  
18 question, if you don't mind. We don't have a specific  
19 policy on drugs for libido per se. I believe we look at  
20 all drugs in terms of their efficacy and their safety  
21 profiles, and if there's meaningful clinical benefit  
22 obtained, we will look at that, including potentially drugs  
23 for libido, as well as we have many other examples of drugs  
24 for quality of life type endpoints that are like that. I  
25 think each is taken on a case-by-case basis and look at

1 safety and efficacy.

2 DR. HEATON: Jeremy Heaton in response. Any  
3 drug that works on the central nervous system has to raise  
4 that possibility. This is a slide that demonstrates the  
5 change in sexual desire domain of the International Index  
6 of Erectile Function, a score which you've seen several  
7 times today. There are no clinical changes and, indeed,  
8 only one very slightly statistical change in the sexual  
9 desire domain in these patients as a result of the  
10 treatments given.

11 DR. AZZIZ: Dr. Greene.

12 DR. GREENE: I have four specific questions of  
13 clarification I'd like to address to the sponsor. Do you  
14 want me to do all four of them right now?

15 DR. AZZIZ: If they're quick. If they're not,  
16 we'll cut you off.

17 DR. GREENE: Okay, fine.

18 The first question was on three slides you  
19 mentioned vasodilatation as an adverse event, but I wasn't  
20 sure exactly what the definition of vasodilatation was.

21 DR. FRESTON: It was in the eye of the  
22 beholder. If the investigator thought he saw flushing or  
23 something like that, he would call it vasodilatation.

24 DR. GREENE: Okay. So, that presumably did not  
25 overlap then with other things like sweating or dizziness?

1 DR. FRESTON: These symptoms commonly track  
2 together as that prodrome that I mentioned. I should also  
3 re-mention in this regard that frequent mention has been  
4 made today of hypotension. I would emphasize that also was  
5 in the eye of the investigator. It was not documented by  
6 blood pressure recordings.

7 DR. GREENE: That was another question.

8 Another question, quickly, is in the patients  
9 that were Holter monitored, why was the Holter monitoring  
10 done? Was that done because there was an a priori concern  
11 about dysrhythmias or because of experience with the drug?

12 DR. FAGAN: It was not done on account of  
13 experience with the drug. Because there had been some  
14 syncope, TAP was very anxious to establish what the  
15 mechanism was, and to be certain that in fact it wasn't  
16 inducing serious arrhythmias. Therefore, prospectively  
17 this was included in a number of the studies so that that  
18 could be evaluated.

19 DR. GREENE: So, it was done in response to the  
20 experience with the drug, specifically syncope.

21 DR. FAGAN: Yes, the early experience with  
22 syncope and then these studies were designed. It was not  
23 done in response to any experience of any significant  
24 arrhythmias.

25 DR. GREENE: Okay.

1 DR. FRESTON: Could I just add to that for  
2 clarification? These syncope cases, these anecdotes that  
3 we heard about today, occurred, for the most part, very  
4 early when the investigators were using this drug for the  
5 very first time. The spontaneity of all this caught  
6 everyone by surprise, and in many cases there was quite an  
7 alarming, what turns out in retrospect to be, an  
8 overreaction.

9 Subsequently, we got on top of this, and part  
10 of the way we got on top of it was to decide whether or not  
11 these were cardiogenic syncopal attacks or noncardiogenic,  
12 i.e., vasovagal. So, the Holter monitoring and other  
13 measures were put in place to study that prospectively.

14 DR. GREENE: A question came up this morning  
15 with regard to the blinding and the efficacy of blinding in  
16 the study in the placebo. One of the ways of addressing  
17 the issue of blinding is simply to ask subjects during a  
18 placebo-controlled blinded trial whether they thought they  
19 were taking drug or placebo and then seeing if they were  
20 correct. Was that done in these trials?

21 DR. FAGAN: That was not done in these trials,  
22 but as you saw, there were analyses of the first period  
23 only and analyses in patients without symptoms.

24 DR. GREENE: And the final question that I had  
25 was with respect to the durability of the effectiveness of

1 the drug, was there data to suggest that the efficacy of  
2 the drug was the same, let's say, in the first month that  
3 it was taken and the last months that it was taken and over  
4 how long a period of time?

5 DR. HEATON: The answer is yes, there are data  
6 that will show that. The other issue is the long-term  
7 data. The patients who were responding respond at an 80 to  
8 90 percent sustained response rate, which means that 80 to  
9 90 percent of attempts continue to be successful.

10 DR. GREENE: Thank you.

11 DR. GRABOYS: Yes. I have concerns about the  
12 hemodynamic issues referable to the syncopal issue. As  
13 folks get older, they increase vagal tone anyway, so we see  
14 a lot of folks in their 70s and 80s who have vasodepressive  
15 syncope in the setting of increased vagotonia. The  
16 question really is, number one, how are you going to  
17 address this with tutoring physicians to prescribe this  
18 agent, and will there be a trial in the physician's office?  
19 How do you anticipate tutoring? I think that's really the  
20 bottom line on this. In an event that is very difficult to  
21 predict that may increase because of increased vagal tone  
22 and you don't have data on an elderly population that we're  
23 trying to address the specific issue to.

24 DR. FAGAN: There's a couple of answers to  
25 that. It's true that there isn't data on very many

1 patients over the age of 65. However, as you recall, we  
2 did show adverse events in those over 65 versus those --  
3 actually, we probably didn't show that. Could we show  
4 that? And in fact, the adverse events are not greater in  
5 that group.

6 The other thing, you remember, is that this is  
7 vasovagal, so it's not just the vagal tone, but it's a  
8 withdrawal of sympathetic tone. Our sicker patients, which  
9 you might say were physiologically older, the ones with  
10 coronary disease, the ones with diabetes, had numerically  
11 less adverse events than the younger patients.

12 DR. FRESTON: I would also add that we want to  
13 capitalize on what we have learned in this regard, elders  
14 who are taking drugs that might cause them to have syncope  
15 from other examples. You remember in the early days of  
16 prazosin, the elders were getting syncopal events, and we'd  
17 say take this medication at nighttime. That's basically  
18 what we're saying should be done with this.

19 Likewise with Hytrin which reduces the  
20 frequency of urination overnight by just one micturition.  
21 We ask those patients to take it at nighttime and to lie  
22 down.

23 So, we want to incorporate some of these  
24 features into the patient information package.

25 DR. FAGAN: On the slide here, we have the

1 | older patients. The ones over 65 basically have the same  
2 | nausea rate, same dizziness rate, similar sweating rates as  
3 | the younger patients.

4 |           DR. GRABOYS: Someone said -- I'm not sure who  
5 | -- in the discussion they would advise physicians to use  
6 | their "clinical judgment." And this is in regard to the  
7 | alcohol and in regard to nitrates. Again, whose clinical  
8 | judgment are you talking about? Urologists, cardiologists,  
9 | primary care physicians? I think this needs really to be  
10 | fleshed out.

11 |           DR. FAGAN: Well, obviously it's whoever is  
12 | prescribing the drug. We know that the majority of these  
13 | drugs are provided by primary care providers, internists,  
14 | family practitioners, nurse practitioners, so that's who  
15 | we're going to be talking about.

16 |           Again, the general instructions pretty well  
17 | cover everything. If they are supine, with one of the  
18 | prodromal symptoms which are in 85 percent of the syncopes  
19 | and 98 percent of the episodes of hypotension, then they  
20 | shouldn't have any problem. And that's a fairly simple  
21 | instruction.

22 |           As far as who you aren't going to give it to, I  
23 | think you're probably more comfortable giving it to  
24 | patients with more cardiovascular disease than most of the  
25 | primary care practitioners will be.

1 DR. FRESTON: I would like to add that one  
2 expert actually portrayed the prodrome as perhaps an  
3 advantage and he likened it to tinnitus that occurs in  
4 patients who take aspirin. If they start to get the dose  
5 too high, you get tinnitus, and then you stop doing that.  
6 In many ways the symptom of nausea can be viewed in that  
7 fashion.

8 DR. AZZIZ: Dr. Califf.

9 DR. CALIFF: Rob Califf from Duke. I had two  
10 questions.

11 One is I want to make sure that I'm  
12 understanding the basic math on the efficacy side here in  
13 terms of intention to treat with regard to the subjective  
14 questionnaires. Did 100 percent of the patients return  
15 their questionnaires? And if not, what was the count given  
16 to a patient who didn't return the questionnaire?

17 DR. FRESTON: That's a good question, and I'd  
18 like to ask Susan Buttler. The issue is, how are the  
19 patients counted when don't fill out their questionnaire?  
20 Are they counted as treatment failures as in an ITT  
21 analysis? Anthony Edmonds will help us with that.

22 MR. EDMONDS: Actually we did an analysis in  
23 which we imputed the answer of no for patients who did not  
24 complete a questionnaire. In most of the analyses you've  
25 seen, we didn't include those data or those lack of data.

1 But as you can see here for the analysis, the very  
2 conservative analysis, where we impute no for patients who  
3 did not fill out a questionnaire, you can see similar  
4 efficacy results for the 2 milligrams, 4 milligrams, all  
5 highly statistically significant.

6 DR. CALIFF: So, it must have just been a few  
7 people who didn't return the questionnaires.

8 MR. EDMONDS: Correct.

9 DR. CALIFF: The second one is a little bit  
10 hard to get at specifically, but I think one of the really  
11 critical issues is trying to understand what the likelihood  
12 is -- the patients, for example, with coronary disease who  
13 got into the trials were like most people with coronary  
14 disease that you see in a clinic.

15 Maybe one way of getting at this would be --  
16 this is a rough thing I usually use -- how many exclusion  
17 criteria did you have for your typical protocol? Was it  
18 10, 20, 30, more than one page?

19 DR. FAGAN: Well, let's look at it another way.  
20 Let's look at it from the standpoint that these patients  
21 were enrolled in the studies by physicians who treat a  
22 large amount of erectile dysfunction, that they were not  
23 difficult to recruit, that very few people didn't meet the  
24 criteria, and there are the exclusion criteria.

25 DR. FRESTON: In this regard, we continue to be

1 | puzzled about the statement that these patients don't  
2 | represent the larger population. Basically the only  
3 | patients we excluded were those with unstable medical  
4 | disease. We described that in the case of the  
5 | hypertensives, as shown there, and the diabetics. They  
6 | couldn't have had any ketoacidosis in the antecedent  
7 | months, and they had to have their blood sugars not very  
8 | well regulated. And we excluded patients with spinal  
9 | injury and total prostatectomy, and we excluded patients  
10 | who had 0 erectile function.

11 |           Now, according to MMAS, only 10 percent of the  
12 | ED population had complete ED. So, we might have missed  
13 | 10, but we're puzzled about what this larger population is  
14 | because we had them all in the study just like Viagra did.

15 |           DR. AZZIZ: Dr. O'Leary.

16 |           DR. O'LEARY: O'Leary from Boston.

17 |           With regard to the nausea situation, do I  
18 | understand it correctly that there's a learning effect, if  
19 | you will? In other words, with increased use of the drug  
20 | -- or is it simply that they -- because they're staying on  
21 | the drug, presumably it's efficacious and they ignore the  
22 | nausea or they tolerate it.

23 |           DR. FRESTON: It may be all of those  
24 | considerations plus one other consideration. We don't  
25 | believe there's any evidence for pharmacologic tolerance.

1 This is a short-acting drug that's given intermittently,  
2 after all. We think, understanding the accommodation is  
3 better understood in the context of what happens to people  
4 when they experience a vasovagal episode with syncope --  
5 think of yourself the first time you saw an operation. You  
6 may have fainted. You didn't do it again. People adjust  
7 to that first episode, and we think that's taking place in  
8 these patients.

9 DR. O'LEARY: So, it's a learning effect.

10 DR. FRESTON: Yes, we think so.

11 DR. FAGAN: Here where people took at least 8  
12 doses so that it wasn't an effect of people dropping out,  
13 clearly it decreases very rapidly and after the fourth  
14 dose, it's below 3 percent.

15 DR. AZZIZ: Dr. Kowey?

16 DR. KOWEY: I have just a few questions related  
17 to the vasovagal syncope. I think what we're seeing here  
18 is a drug which has a cholinergic effect producing  
19 vasovagal syncope. 85 percent of them have prodrome. Am I  
20 to understand that 15 percent of the people who had syncope  
21 did not have a prodrome? Is that correct? You said 85  
22 percent of patients had prodromal symptoms.

23 DR. FAGAN: That's true. In the 2 and 4  
24 milligrams, 11 of 13 did have prodromal symptoms. I think  
25 cholinergic, though -- we've never said cholinergic. It's

1 not direct cholinergic, but it's --

2 DR. KOWEY: It's provoking the response which I  
3 think we probably can net out even though it may not have a  
4 direct cholinergic action.

5 The question I have is do patients who had  
6 vasovagal syncope -- you had some actually in the placebo  
7 group as well as in the treated group. Did you make any  
8 effort to screen out patients with a prior history of  
9 vasovagal syncope in the exclusion criteria, or do you know  
10 how many people had it before they got the drug or got  
11 placebo?

12 DR. FAGAN: We don't know and they were not  
13 screened out.

14 DR. KOWEY: Would you like to make that part of  
15 your labeling perhaps, that people who have a history of  
16 vasovagal syncope might not be good candidates for this  
17 drug?

18 DR. FAGAN: I think someone who's a frequent  
19 fainter with multiple repeated episodes probably would not  
20 be a good candidate. I don't think you should eliminate  
21 people who have had only one episode because that may have  
22 been entirely -- yes, you'd exclude 40 percent of the  
23 population, and it wouldn't really help.

24 But the other question is whether this should  
25 be given in the office. Given the very uncommon occurrence

1 of the syncope, you'd be putting roughly -- by my previous  
2 calculation, which I'd have to go through again, about 500  
3 patients would have to come into the office and sit  
4 there --

5 DR. KOWEY: Well, you're not only screening for  
6 syncope in the office, you're looking for severe  
7 hypotension, which brings me to my next question, which  
8 was, did you prospectively define hypotension in the  
9 protocols, or was that left to the investigator to tell you  
10 when he thought somebody had hypotension?

11 DR. FAGAN: This is the standard sort of drug  
12 study, COSTART term, and that's the --

13 DR. KOWEY: So, you didn't prospectively say to  
14 the investigators this many millimeters of mercury you  
15 should report hypotension, or percentage drop in blood  
16 pressure.

17 DR. FAGAN: No, it was not. In fact, most of  
18 the studies, blood pressures weren't measured.

19 DR. KOWEY: And my last question is we're  
20 making a presumption here based on data that you've  
21 presented that what we're seeing is a response which is  
22 autonomically mediated. You saw some pauses on the Holters  
23 that were pretty prodigious. As I recollect, there were  
24 some that were stretching out close to 20 seconds of  
25 asystole.

1 DR. FAGAN: The 20 seconds was related to a  
2 blood draw on a patient who did not receive Uprima.

3 DR. KOWEY: I'm just saying that in people who  
4 got the drug and some people who didn't get the drug.

5 DR. FAGAN: Right.

6 DR. KOWEY: Okay. But clearly, for some people  
7 who got the drug, you had a concern about bradycardia  
8 because that's why you got the Holters put into the  
9 protocol. You knew that there was an incidence of this.  
10 From any other piece of data, ECGs or any other kind of  
11 information you may have extracted, how can we convince  
12 ourselves, especially given Tom's problem with older  
13 individuals and having a gross under-representation of the  
14 very elderly, that this drug does not have a direct  
15 electrophysiologic effect on the conduction system, in  
16 addition to an indirect effect?

17 In other words, how do we know -- and maybe  
18 Joel can answer this question, maybe he can't -- that the  
19 drug, in addition to having what appears to be an effect to  
20 cause vasovagal syncope, how do we know it also doesn't  
21 have a direct effect to cause sinus slowing for example?  
22 Do we have any piece of information that would tell us  
23 that?

24 DR. FAGAN: Yes. Let me say one thing and  
25 maybe Dr. Morganroth can respond.

1           The 20-second pause was in a patient who had  
2 his blood drawn and did not receive Uprima. There were 10-  
3 and 11-second pauses seen in 2 patients who did receive  
4 Uprima.

5           And the other piece is that we know that this  
6 drug has been given worldwide and in clinical trials to  
7 thousands and thousands of patients at doses manifold  
8 higher, orders of magnitude higher, than we're talking  
9 about giving to these patients when they're treated for  
10 Parkinson's --

11           DR. KOWEY: No. That doesn't answer the  
12 question because I don't care about that, you see? I don't  
13 care how many times it has been given at what doses.

14           What I'm asking is if you give this drug to  
15 somebody that has a conduction system disease and you do  
16 not have those patients represented in your database  
17 because you don't have a lot of old people in your  
18 database, are we sure that we're not going to also, in  
19 addition to having a vagomimetic effect, have a direct  
20 effect on the conduction system?

21           For example, do you have paired ECG analyses  
22 showing changes in PR interval or in heart rates in people  
23 that got the drug that did not become vagal? Do you or  
24 don't you? Do you have that information? That's what I  
25 want to know.

1 DR. FRESTON: Yes, we have a lot more  
2 information. Dr. Morganroth.

3 DR. MORGANROTH: I believe in every one of the  
4 trials, ECGs were obtained for and on drug, and in the  
5 analysis that you're requesting, there was no change in  
6 heart rate or in PR interval, which would suggest there is  
7 not a direct effect.

8 DR. FRESTON: Let me also add because this is a  
9 very important issue. There undoubtedly some additional  
10 subsets of patients that we haven't looked at, like those  
11 who had preexisting conduction disorders. We don't know  
12 those. My suggestion would be in the label it will need to  
13 be discussed what patients were included and, by  
14 implication, all those others were not, and maybe they can  
15 be specified and then investigated later in a prospective  
16 way.

17 DR. AZZIZ: Dr. Jacobs, Dr. Califf, and Dr.  
18 D'Agostino.

19 DR. JACOBS: I have two questions.

20 The design of the study included only people  
21 who were NPT, Nocturnal Penile Tumescence, positive. I  
22 didn't see anybody coming up with NPT negative on here.  
23 NPT could be negative for both a central reason and a  
24 peripheral reason. Have you got any collection of data on  
25 NPT negative people?

1 DR. FRESTON: Well, the NPT issue -- everyone  
2 has been confused about this today, and I suggested to Dr.  
3 Azziz that we have Dr. Ron Lewis come up and answer this  
4 question and put this in perspective for us. He doesn't  
5 like to admit it, but he's the father of the RigiScan.

6 DR. LEWIS: Not really. I won't take credit  
7 for that.

8 I appreciate the opportunity. Ron Lewis, and I  
9 have been a consultant to TAP and am currently President of  
10 the International Society of Impotence Research.

11 We've got to go back to the history of NPT.  
12 NPT has clearly been stated in our literature -- and it's  
13 clearly stated in all erectile dysfunction literature -- it  
14 does not distinguish between organic and non-organic  
15 disease. NPT does not make that distinction, and that's  
16 clearly established in our literature. So, to use this as  
17 a criteria for selecting patients to be either organic or  
18 non-organic, it is not in our literature. It's not  
19 supported by that.

20 There are three types of erections. There are  
21 erections that occur nocturnally, at night. There are  
22 erections that occur with sexual stimulation, and there are  
23 erections that occur with sex-stimulating visual-related  
24 erections.

25 In the literature, it is clearly established

1 that these are all different. So, a man can have impotence  
2 in a sexual situation and still have normal NPT. So, I  
3 think that's extremely important.

4 What is happening in impotence is that we are  
5 finding that probably questionnaires -- it's much like BPH.  
6 We thought we had everything as urologists figured out by  
7 BPH by using flow rates and everything else, and then we  
8 started using a symptom score. And we found out that the  
9 symptom score was actually more predictive of the degree of  
10 disease in the patient and the response to medication.

11 Similarly, we're finding this out with the  
12 questionnaires, the IIEF. We have shown in this study and  
13 TAP has shown I think this morning, that 75 percent of the  
14 patients either had moderate or severe erectile dysfunction  
15 that is more likely to indicate organic disease. These are  
16 patients that we are seeing in our office.

17 Finally, I want to remind why this selection of  
18 NPT was really put into the study in the first place and  
19 why it was not used with Muse, why it wasn't used with  
20 Viagra. This is a new agent. It is an opportunity to have  
21 an agent that acts centrally.

22 Now, to understand if this is going to have any  
23 relevance for the patients that we see, we didn't want to  
24 have severe end-organ disease. What the NPT does by using  
25 the criteria of only one episode of greater than 55 percent

1 base rigidity for no longer than 10 minutes on two  
2 successful nights, this indicated that there at least is  
3 some functional tissue in the end organ where a central  
4 agent might have effect. That was the only reason that the  
5 NPT was selected. And by all criteria which determines  
6 whether NPT is normal or abnormal, this clearly, by using  
7 only one episode of 55 percent less than 10 minutes, lies  
8 at the lower range of being considered normal NPT. By most  
9 people, it is not considered normal NPT.

10 So, I've heard that NPT has been used to sort  
11 out. It really can't be used in this situation. It was  
12 simply used as a tool to select those patients who have  
13 still an acceptable end-organ tissue response to an agent  
14 that's going to work centrally.

15 So, I've heard that this doesn't represent real  
16 patients. It does represent real patients. The urologists  
17 who participated in the studies -- and I was the central  
18 NPT reader and actually only recently participated in a  
19 study of patients that isn't even considered at this time,  
20 but basically what I found out from the people who were  
21 sending in to me as an NPT reader is that they had a very,  
22 very easy job of recruiting these patients from their  
23 practice. And I think that that's what we should be left  
24 with.

25 Thank you.

1 DR. JACOBS: Are you saying that an NPT  
2 negative patient is not a candidate for this?

3 DR. LEWIS: No. In fact, that was actually  
4 pulled out too. When we were going to address the NPT  
5 data, it was noticed on those two slides that were shown  
6 this morning that clearly the bell-shaped curve was far to  
7 the left of what has been described by Dr. Levine as normal  
8 studies. These were more likely patients who had severe  
9 disease. When we looked at the response of tip rigidity --  
10 and that's rigidity area under the curve under two  
11 criteria, number one is less than a certain percentage. It  
12 was actually presented in two different rigidity  
13 measurements. But even when we look at the most severe,  
14 less than 9.6 area under the curve, still those patients  
15 had the same response. So, with very severe disease even  
16 by NPT, they too responded. So, both groups did have a  
17 response.

18 DR. JACOBS: My second question actually had to  
19 do with the drug most likely to be taken with this drug in  
20 the real world and that's Viagra. Are there any patients  
21 that have taken both drugs?

22 DR. FAGAN: There's been a pilot trial with the  
23 combination, and there's a combination trial which has been  
24 planned but not initiated.

25 DR. JACOBS: And there's no safety data to

1 report on that pilot trial?

2 DR. HEATON: There are no data from that trial  
3 available today.

4 DR. FRESTON: Mr. Chairman, could I follow up  
5 too on just one point that Dr. Lewis was alluding to?

6 There was confusion in one of the FDA slides  
7 today and I'd like to clear it up about whether or not  
8 these people really did have erectile dysfunction. This  
9 has to do with the 50 percent success rate at sexual  
10 stimulation. That was the cutoff beyond which we didn't  
11 want patients to participate. That's not to imply that  
12 most patients hit up against that 50 percent level. As a  
13 matter of fact, 39 percent of our patients had absolutely  
14 no sexual response at all in the preceding 1-month baseline  
15 period.

16 DR. AZZIZ: Thank you.

17 Dr. Califf?

18 DR. CALIFF: I'm sorry to keep coming back to  
19 this. What I'm really struggling with here is to try to  
20 get the best estimate of the treatment benefit and the  
21 treatment risk at the 4 milligram dose.

22 Twice you've said that people that had taken  
23 the treatment 8 times somehow gave us a better estimate of  
24 what the effect, in terms of inducing hypotension, was than  
25 other data, when I would have thought it just the opposite.

1 Usually if someone takes a treatment 8 times, we call it  
2 the healthy survivor effect. That is, if I got hypotension  
3 or got nauseated the first 3 times, I probably wouldn't  
4 take it the fourth time. So, I need to understand that  
5 point better. I would think that would be underestimating  
6 the risk if you had people that really took the treatment.

7 DR. FRESTON: Well, it's a very good point, and  
8 this is different from what we usually see. Again, we have  
9 the phenomenon of the adverse events diminishing over time,  
10 and that occurs, by the way, whether we look at the 2  
11 milligram, 4, 5, or 6. Again, we think it's just a  
12 combination effect that we alluded to before, presumably  
13 related to learned behavior.

14 If I may, I'd like to also point out the agency  
15 in its presentation today pointed out that most patients,  
16 maybe upwards of 90 percent, gravitate up from 2 to 4  
17 milligrams. The implication was that now they're going to  
18 have 4 milligram AE rates. That doesn't follow because of  
19 the accommodation. There's a balancing effect that takes  
20 place.

21 DR. CALIFF: I don't think you're answering my  
22 question. I mean, typically people who have adverse events  
23 drop out. Those who are left have a lower rate of adverse  
24 events. That's seen in almost every treatment. How do you  
25 account for that in your analysis?

1 DR. FRESTON: Dr. Jennings.

2 DR. JENNINGS: Dennis Jennings from TAP.

3 In the tables you see of our adverse events,  
4 they are as Dr. Mann suggested, presented as per patient.  
5 So, if somebody had an adverse event during their first two  
6 attempts, they are shown in those percentages. The nausea  
7 slide you saw put up trying to show the declining in the  
8 adverse events was just noting that if we did take the  
9 patients who stayed in for a while, we see that those are  
10 declining. In fact, nausea for example was not something  
11 that very many people were dropping out from because, if  
12 you notice, the adverse event rate on the first dose was  
13 pretty close to the total adverse event rate that we saw in  
14 that study.

15 DR. CALIFF: So, to be scientifically clear, we  
16 can't use these data to say that there's actually a  
17 diminution in nausea because you really haven't separated  
18 out the effect of dropout. It would be almost impossible  
19 to do so.

20 DR. JENNINGS: Well, I think the slide we put  
21 up does do that to some extent because what we're saying is  
22 there's a very small number that are dropping out due to  
23 nausea. But if you take the patients who had sufficient  
24 exposure so we can look at how their nausea rate changed  
25 over time, those ones are clearly declining. So, there's a

1 | little mix in there, but I think it's helpful.

2 |           DR. FAGAN: Let me make one other point, and  
3 | that is, of the 13 patients who had syncope on 2 or 4  
4 | milligrams, 7 continued in the trials, took a total of 150  
5 | doses, and nobody had syncope a second time.

6 |           DR. D'AGOSTINO: I have two questions. You  
7 | have to forgive me if I don't have sort of the background  
8 | to put this in for the particular condition, but we're  
9 | talking about, from the sponsor's point of view, that in  
10 | fact the patient population is representative and similar  
11 | to other patient populations that have been used in these  
12 | type of studies. Down the road when we start coming to the  
13 | questions, we're going to be asked about the patient  
14 | population with the implication that some of the FDA --  
15 | more than implication, the explicit writing that it's not  
16 | typical. So, you have to forgive my ignorance that I don't  
17 | have all the literature sitting in front of me, but I need  
18 | some sense of why is this patient population that we used  
19 | in the studies different.

20 |           DR. HIRSCH: To my knowledge, no other sponsor  
21 | used the following two inclusion/exclusion criteria: the  
22 | phrase "no major organic component." And frankly, I'm not  
23 | sure how that was used. I'm not sure what an investigator  
24 | did to decide that a patient had no major organic  
25 | component. For example, if a person had bad diabetes and

1 that was what was thought to be causing his erectile  
2 dysfunction, did the investigator say, well, he had a major  
3 organic component and I can't include this person? I think  
4 that's what happened, but I could be wrong. I don't know  
5 how that was used.

6 The second this is I don't know of any other  
7 studies that used NPT at all as an inclusion or exclusion  
8 criteria.

9 DR. D'AGOSTINO: And what would be the problem  
10 with the NPT? Is that you would think you'd have a  
11 population that -- it slices the target population,  
12 obviously, but that somehow or other the effect from the  
13 efficacy or from the safety or from both would not be  
14 representative in these studies?

15 DR. HIRSCH: Well, I think it's fairly  
16 simplistic really in that a patient with one erection in  
17 two evenings, which is greater than 55 percent rigid  
18 compared to a hard plastic rod is a person who can get  
19 physiologically an erection greater than 55 percent of a  
20 rigid plastic rod, which means they can in the evening.  
21 Whether they can during sexual stimulation or they can  
22 during erotic arousal, I agree that might not be true, but  
23 they physiologically can get something of an erection.

24 DR. D'AGOSTINO: Let me ask the second  
25 question. Does anybody want to respond?

1 DR. HEATON: I'd like to take the opportunity  
2 to answer those two issues.

3 First of all, the expression, the phrase, "no  
4 organic component," was used based on discussions to give a  
5 general overall gestalt to the patients who were being  
6 admitted to the study as of the perspective of 1995-1996.  
7 This is a time when the whole issue of organicity and  
8 psychogenicity of erectile dysfunction was in a state of  
9 flux, and it was a point of interest. "No organic  
10 component" was meant to guide the investigators to the kind  
11 of patient who did not have a prostatectomy, who had not  
12 had spinal cord injury, who didn't have Parkinson's  
13 disease, who did not have MS, they had not had penile  
14 prostheses or penile surgery, and they did not have any  
15 end-stage or unstable diseases.

16 So, the actual expression itself was not a  
17 criterion of admission, and it resulted in, as I tried to  
18 demonstrate quite clearly earlier, that there is a very  
19 substantial proportion of patients with organic co-  
20 morbidities. Now, that's a cautious term because that does  
21 not imply that I know that the hypertension or the coronary  
22 artery disease is absolutely the cause of the ED in that  
23 patient. However, when you have the organic co-morbidity  
24 present and it's present at the same rate as in comparable  
25 clinical trials and in a patient population sample, such as

1 the MMAS, I'm reasonably well consoled that this is a  
2 representative population.

3 I really have to take up again the issue of the  
4 NPT. 55 percent on one occasion for 10 minutes is not a  
5 normal erection. These patients were chosen not to have  
6 normal erection. The RigiScan was introduced after  
7 extensive discussion with the agency with a desire to  
8 introduce some organic level of -- some objective level of  
9 measure, and in the early 1990s, this was a very good  
10 standard of objective measure. So, the purpose there was  
11 to, as Dr. Lewis stated, just avoid the appearance of  
12 people with no end-stage penis and, therefore, people who  
13 were basically inappropriate for a pharmaceutical trial.

14 This slide is another way of looking at that  
15 same idea. This is one we showed before where if you have  
16 absolutely no success during baseline, which by any  
17 standards would have to be a very robust measure of  
18 erectile dysfunction, these patients had fairly similar  
19 values compared with the group as a whole at a decent  
20 statistical level. We could again go through the IIEF  
21 criteria that also resulted from the application of this.  
22 So, we could look at the people who had the very low  
23 RigiScan scores, which is under 9.5, again showed a very  
24 substantial spectrum of efficacy.

25 So, it's a difficult issue, and NPT actually

1 | has been something that urologists have been wrestling with  
2 | for its utility.

3 | DR. AZZIZ: I'd like to have Dr. O'Leary  
4 | comment.

5 | DR. O'LEARY: I'll actually be a little more  
6 | blunt, Dr. Heaton. I don't think we should get too focused  
7 | on the NPT. There are a lot of urologists who don't use  
8 | it, who think it's of very little value. In fact, with all  
9 | due respect to Dr. Lewis, there are some urologists who  
10 | refer to it as the RigiScam rather than the RigiScan. So,  
11 | I think it's important that we not get too focused on that.

12 | DR. DONATUCCI: Craig Donatucci from Duke,  
13 | urology.

14 | I want to second that. In essence, I think  
15 | first off people say RigiScan and I think the statement was  
16 | made this morning it's a reflection of REM erection  
17 | activity that makes -- it occurs during REM sleep and in  
18 | fact it doesn't measure REM sleep. So, if the patient  
19 | doesn't have REM sleep, it's not measuring anything. It's  
20 | just too inaccurate to hang anything on.

21 | DR. AZZIZ: Let me just ask a question of Dr.  
22 | O'Leary and Dr. Donatucci because your comments were  
23 | timely, but how does this impact? You just said that the  
24 | NPT isn't really of much value. There seems to be an  
25 | agreement with those of us who don't do NPTs. Does this

1 impact in any way --

2 DR. D'AGOSTINO: Yes. How does it help my  
3 question?

4 DR. AZZIZ: How does it help dissect this  
5 issue --

6 DR. O'LEARY: In some ways I don't think it  
7 really does, and I don't want to say that I've completely  
8 discounted it.

9 But the treatment -- and I thought I would wait  
10 till later, but I may as well just get this out now. The  
11 treatment of erectile dysfunction is largely goal oriented  
12 for those of us who specialize in it, who are interested in  
13 it, and take care of these patients on a daily basis.  
14 Certainly there are some patients that if we can, we like  
15 very much to have a precise diagnosis, to be able to use  
16 those objective parameters that are available to us, most  
17 of which, by the way, are invasive, to be able to precisely  
18 determine what the etiology of their ED is. But for the  
19 most part, when I see a 60-year-old man in the office who  
20 has hypertension, maybe he's on a diabetic medication, and  
21 he tells me he has erectile dysfunction, I believe he has  
22 erectile dysfunction and I go ahead and I treat him.

23 I don't routinely do NPT. The NPT monitor is  
24 sitting in my closet gathering dust. I will occasionally  
25 do penile doppler studies and so on, but I think that most

1 urologists who have an interest in this would agree with me  
2 that we're goal oriented. That's how we treat people. So,  
3 in evaluating the patients that are in this population, in  
4 this database, they look pretty much to me like the kind of  
5 people that I see every day.

6 DR. AZZIZ: So, the NPT doesn't add or detract.

7 Dr. Tiefer, please.

8 DR. TIEFER: Can I just speak to the NPT issue?  
9 Because I agree with Dr. Lewis that these patients don't  
10 have normal NPTs. But I think the presence of so many NPTs  
11 in so many patients is of interest to those of us who did  
12 collect thousands of NPTs of patients for a very long  
13 period of time, and my sense is that these are better NPTs  
14 than the patients who walk in the door. Patients who  
15 walked in the door with a variety of etiologies did not  
16 have 55 percent of maximum base for 10 minutes on at least  
17 one occasion when measured for two consecutive nights.  
18 This is just better.

19 So, if that's relevant then -- and I think in  
20 conjunction with the subjective question which was asked,  
21 namely have you had an erection sufficient for intercourse  
22 in the last 3 months, to which again the random selection  
23 of patients who walks in the door typically is very  
24 equivocal about that. And you press them and press them  
25 and then a lot of times, they say, no, they had an

1 erection, a partial erection, a floppy erection, but it  
2 wasn't sufficient for intercourse. So, those two pieces of  
3 information taken together do suggest to me that these  
4 patients are in better shape than the random assortment of  
5 patients walking in the door at the present time.

6 DR. O'LEARY: Well, I'm not so sure I agree,  
7 but I'm not sure that that's germane necessarily. I do not  
8 happen to believe that the RigiScan is of much value.  
9 Period. That's my opinion.

10 DR. TIEFER: Well, I think it's of no value  
11 when it shows no response because you have no idea why it's  
12 showing no response.

13 DR. O'LEARY: Right.

14 DR. TIEFER: But when it in fact shows a  
15 substantial and prolonged response, though not a perfect  
16 and normal response, that didn't happen by fairies through  
17 the air. Something produced that.

18 DR. O'LEARY: No, but it may very well be that  
19 that patient has -- I'm sorry to get into a debate about  
20 the RigiScan.

21 DR. AZZIZ: We're not going to debate too much  
22 longer, but I think we need to just go ahead and finish  
23 your statement. Go ahead.

24 (Laughter.)

25 DR. O'LEARY: It's possible that the patient

1 can have a positive RigiScan or negative RigiScan by having  
2 a good erection and not be able to perform at all. And we  
3 see this all the time.

4 DR. AZZIZ: Well, this is very unusual to have  
5 disagreement in the medical community.

6 (Laughter.)

7 DR. AZZIZ: Dr. Hanno.

8 DR. HANNO: Yes. I have a couple comments in  
9 terms of what Mike has been saying. I don't think issue of  
10 the RigiScan is related to how useful is it clinically.  
11 The point is, I think, that it enriches the patient  
12 population. The use of the criteria that the sponsors put  
13 out enriches the population they're looking at. I don't  
14 use the RigiScan clinically, but I think we're looking at  
15 an enriched patient population and that we have to keep  
16 that in mind.

17 I have a couple of questions for the sponsor.  
18 One is that since about 90 percent of the patients are  
19 going to be taking the 4 milligram dosage based on what  
20 we've seen, in the label do you think that you should list  
21 the side effects of the 4 milligram dosage separately  
22 rather than combining it with the 2 milligram? Because I  
23 think the combination tends to make it look like the side  
24 effects would be less.

25 And then I have one other one about the label.

1 | Would you put anything about eating? Some of the comments  
2 | that you made with regard to the study and not eating a lot  
3 | within 1 hour of taking the medicine, would you want to put  
4 | those in the label as well?

5 |           DR. HEATON: We think those are both good ideas  
6 | to consider for the label.

7 |           DR. FRESTON: We want to get the very best  
8 | label that we can that capitalizes on the experience we  
9 | have gained as these studies have unfolded, especially now  
10 | that we've gone to the first dose at home studies and  
11 | learned a lot from them. Some of those features I think  
12 | could be helpful.

13 |           DR. AZZIZ: Again, labeling issues we'll bring  
14 | up as we discuss a little bit further, but right now we  
15 | need to concentrate on getting our data clear.

16 |           I have a question for the FDA staff. Maybe I  
17 | am not understanding this, but there is a great variability  
18 | which I think is demonstrated in the 4 milligram, 5  
19 | milligram dose, 2 milligram. In other words, great  
20 | variability in the Cmax and the AUC. But I am unclear as  
21 | to what does it matter because, yes, we know that there's  
22 | great variability and that there's overlap in the Cmax, for  
23 | example, up to 5 or 6 milligrams. But if you had never  
24 | studied the 5 or 6 milligram, the population of 1,200  
25 | patients or so that apparently were started with 4

1 milligrams should have taken into account that variability.

2           So, in this case I'm not quite sure just  
3 because it overlaps with the 5 and the 6 milligram dose, I  
4 am unconvinced that this represents "the same dose." And  
5 so, I think I may need to have a little bit of  
6 clarification in that regard.

7           DR. MANN: I'll speak in general terms to your  
8 question. I do agree with you that the most germane data  
9 is the 4 milligram data compared to placebo and the 2  
10 milligram data compared to placebo. As we delve into the 5  
11 and 6 milligram data, I believe the only point we wanted to  
12 make there is that on an individual patient level at least,  
13 we cannot always distinguish what kind of pharmacokinetic  
14 profile a given patient is going to have. But you're  
15 absolutely right in that the main focus of safety should  
16 deal with the 4 milligram data in the clinical trials.

17           DR. FRESTON: Dr. Azziz, if I may on this issue  
18 of variability, it's one of the things that we wanted to  
19 bring up and we're glad you opened it. The clinicians  
20 around the table know very well that clinical data trump  
21 pharmacokinetic data. We have no looked at those different  
22 doses in 75,000 exposures, and we do see a dose response  
23 there even if we didn't in the kinetic data. So, the PK  
24 data are used to try to predict the future, but you still  
25 have to test it in patients versus subjects.

1 DR. AZZIZ: Since you brought that up, the  
2 clinical efficacy data is, of course, very important, and  
3 you have your diabetic data, which I am looking for right  
4 here in front of me. At the 4 milligram dose, we have a 16  
5 percent success, which means 50 percent success, which  
6 really means that not everybody had erections every time,  
7 but 50 percent erections is probably satisfactory. 16  
8 percent for placebo, 25 percent for Uprima in the diabetic  
9 population of n of 90. Is that correct?

10 And if that is correct, is the 25 percent,  
11 i.e., a 9 percent plus, really clinically relevant from a  
12 practitioner point of view? Because if I have a drug  
13 that's 25 percent successful, unless it was life-  
14 threatening, it would be very tough to sell.

15 DR. HEATON: That's certainly a good issue and  
16 there are two ways of looking at the diabetic data. This  
17 the diabetic patients from the crossover studies where you  
18 see the numbers are slightly different to those within the  
19 diabetic study itself. If I could have the previous slide.

20 In the 804 study, the numbers that you  
21 mentioned are, indeed, lower, but the baseline entry point  
22 of a patient is 5 percent of erections firm enough for  
23 intercourse. The achievement of 25 percent of erections  
24 represents a 5-fold gain for that individual.

25 The point that Dr. O'Leary referred to earlier

1 is extremely important in understanding what are the  
2 clinical measures of significance in this study. This is a  
3 goal oriented treatment, we are not in a position to sit in  
4 judgment of the diabetic patient to assess what he would  
5 consider an appropriate clinical gain. It's a difficult  
6 question. I can answer it more extensively if you wish.

7 DR. AZZIZ: No, no. Wait a minute. You said  
8 5-fold. I'm not interested in baseline. I'm interested in  
9 placebo versus drug. There's not a 5-fold increase there.  
10 Maybe I just missed that as you zipped through there.

11 DR. HEATON: The 5-fold gain I stated was from  
12 baseline up to the response at 25 percent. The placebo  
13 response was 15 percent, and so if you take that as a  
14 sustained placebo response, there would be a therapeutic  
15 gain there of about 10 percent.

16 DR. AZZIZ: Right, but not 5-fold. Placebo to  
17 drug is really what we're interested in. Okay, thank you.

18 Dr. Donatucci.

19 DR. DONATUCCI: I just wanted to say that my  
20 experience treating patients for this condition is even a  
21 25 percent chance is certainly satisfactory. Basically  
22 I've given patients options and they've selected a drug  
23 when I told them there's almost virtually no chance it  
24 would work, and they still select it. So, when you're  
25 talking about this particular condition, you just can't

1 count that out.

2 DR. AZZIZ: Thank you.

3 Dr. Califf?

4 DR. CALIFF: I just want to make sure that we  
5 have agreement between the FDA and the sponsor on just what  
6 the data are that we're discussing. I think the key data  
7 on page 6 and 7 of Dr. Hirsch's presentation, the 4  
8 milligram dose -- from what I understand from the  
9 presentations, basically placebo is about a 35 percent  
10 response rate and treatment in the low 50s with some  
11 discounting below that because of these were only people  
12 who responded to the questionnaire, but not much  
13 discounting because almost everyone responded. So, about a  
14 20 percent increase overall.

15 On the --

16 DR. AZZIZ: Before you continue, I'm sorry. Do  
17 you all have a copy of the FDA's presentation somewhere?  
18 No, no. The sponsor. Because I think they're looking for  
19 it.

20 DR. CALIFF: What I'm confused about is I felt  
21 some dissonance between the two presentations of the  
22 sponsor and the FDA. If we can at least agree on what the  
23 data show, then we can argue about how to interpret it.

24 DR. HEATON: Again, the page number, sir?

25 DR. CALIFF: Page 6 of Dr. Hirsch's.

1 DR. MANN: Could you clarify which slide, Dr.  
2 Califf, on page 6 you're speaking of and the data that  
3 you're asking about?

4 DR. CALIFF: Yes. We could look at both of  
5 them. I was initially just talking about the top slide,  
6 the primary efficacy endpoint.

7 DR. MANN: And this is for the 2 milligram dose  
8 of Uprima?

9 DR. CALIFF: 4 milligram.

10 DR. MANN: Okay.

11 DR. AZZIZ: Are you all ready to answer that  
12 question please? Page 6, the top, begin with the top  
13 slide.

14 DR. MANN: I'm sorry. You're looking at a  
15 different version of our slides than we are, so we're a  
16 little confused. If you could give the title of the slide  
17 and the data on that slide, that would help.

18 DR. CALIFF: It says Uprima efficacy of the 4  
19 milligram dose, and the bullet says primary efficacy  
20 endpoint.

21 DR. MANN: Okay.

22 DR. CALIFF: I think that you would agree on  
23 that slide, the numbers are about a 20 to 25 percent  
24 improvement, and then on page 7, safety of the 4 milligram  
25 dose is the one that I'm really wondering whether you agree

1 on where it shows, for example, nausea, 20 percent;  
2 dizziness, 14 percent, hypotension between 3 and 6 percent,  
3 and syncope between 1 and 2 percent.

4 DR. MANN: Just for clarification for the  
5 sponsor, these ranges given on this slide come from the  
6 three controlled clinical trials, and I believe they were  
7 in agreement with your backgrounder package but you can  
8 clarify that if you wish.

9 DR. AZZIZ: Could we get a response from the  
10 sponsor?

11 DR. HEATON: We agree with the slide.

12 DR. MANN: Go ahead. You can describe your  
13 slide.

14 DR. FAGAN: This shows the syncope rates at  
15 different doses and with different regimens. At 4  
16 milligrams, we see that overall it's 1.2 percent. When the  
17 patient started with 2 milligrams prior to 4 milligrams, it  
18 was 0.6 percent.

19 DR. CALIFF: And your hypotension rate at 4  
20 milligrams?

21 DR. FAGAN: Well, the hypotension again is a  
22 subjective thing, and if we had a good definition, if we  
23 had measurements everywhere, we could probably --

24 DR. CALIFF: You know, in most clinical trials  
25 where it's been looked at, relying on sporadic reporting of

1 something hypotension grossly under-reports the phenomenon.

2 DR. FAGAN: That's why we did the prospective  
3 clinical trials in patients with significant cardiovascular  
4 disease and also the 491 trial where we did blood pressures  
5 sequentially after the first dose in about 450 patients.

6 DR. CALIFF: So, your estimate then of the rate  
7 of hypotension is --

8 DR. FAGAN: It's in the crossover studies at 2  
9 milligrams, 0.7 percent, around 4 to 5 percent at the  
10 higher doses; with dose optimization up to 5 milligrams, 0  
11 percent, 0 of 146 patients; and at 2 to 6 milligrams, 2.5  
12 percent.

13 DR. CALIFF: Okay, thank you. That's helpful  
14 to frame the issue.

15 DR. AZZIZ: Well, that was nice to clarify.

16 DR. KOWEY: One of the things that I'd like to  
17 know, did you do a formal analysis of dose to response, a  
18 statistical measurement of incremental response past 4  
19 milligrams? One of the things you guys have been saying  
20 all morning is that you didn't see any more benefit at 5  
21 and 6 milligrams, but there are lots of times when there  
22 appeared to be some increment in benefit on some of the  
23 graphs.

24 For your primary endpoint, did you do an  
25 interaction study between dose and response and can you

1 show us what that statistical analysis looks like? When  
2 you have it.

3 DR. AZZIZ: Dr. Tiefer?

4 DR. TIEFER: Yes. I wanted to ask about the  
5 concurrent medication issue. You list on your slide that  
6 18 percent of your patients were taking psychotherapeutic  
7 agents and then separately you list that 15 percent were  
8 taking anxiolytics, sedatives, and hypnotics. So, I  
9 wondered are the psychotherapeutic agents SSRIs or what was  
10 going on there?

11 DR. FRESTON: Yes. I think I'll need to ask  
12 Susan Buttler or the statisticians to help us with that.  
13 It's my impression that they were mostly SSRIs and  
14 tricyclics, and the anxiolytics were separate, but I'd like  
15 to get a precise answer for you. Susan, can you help us?

16 MS. BUTTLER: Some of the med classes that  
17 you've seen do overlap a little bit, and in fact, the  
18 percent of patients were taking Compazine also fell into  
19 the psychotherapeutic class. But it also did include  
20 patients who were on SSRIs as well as patients who were on  
21 anxiolytics, went into the anxiolytics group. So, it's a  
22 somewhat complex system of looking at the drug. So, it did  
23 include both of those.

24 DR. TIEFER: I just asked that because I think  
25 the question raised earlier, what is going to be the most

1 | likely combination which I think we could all debate, but I  
2 | think the drug-related erectile problems, drug-related  
3 | libido problems, drug-related ejaculatory problems are a  
4 | growing concern. So, any erection drug, particularly one  
5 | that's supposedly acting centrally, we'd like to know as  
6 | much about the conjunctive psychotherapeutic agents as  
7 | possible.

8 |           MS. BUTTLER: If I could just add a point to  
9 | that, I think you're concerned with something we noted when  
10 | we designed our studies. Thus, we had appendices that  
11 | included many of these medications with known side effects.  
12 | SSRIs are one of them of which are several other  
13 | medications. In fact, it's a very long laundry list. We  
14 | required that patients that participated in our studies did  
15 | not do alterations in their dosages so that we wouldn't  
16 | know what we were measuring. In other words, patients with  
17 | SSRIs were allowed into the study, but they were not  
18 | allowed to be changing their dosage because the simple  
19 | change in dosage could alter their erectile dysfunction  
20 | ability. So, we did address your concern in that regard,  
21 | so we really did measure efficacy of Uprima, not efficacy  
22 | of changing the dose of a concurrent med.

23 |           DR. FRESTON: Anthony has additional  
24 | clarification.

25 |           MR. EDMONDS: Anthony Edmonds with TAP.

1                   Actually I was going to answer the previous  
2 question about comparisons among specific doses.

3                   On this slide, we have a first period analysis  
4 of placebo, Uprima 2 milligrams, 4 milligrams, and 5  
5 milligrams. Although the studies weren't powered to detect  
6 differences between doses, you can see that among the 4, 5,  
7 and 6 milligram doses, there aren't any statistically  
8 significant differences, but the 2 milligram dose is not as  
9 good as the 5 and 6 milligram doses.

10                  DR. AZZIZ: Thank you.

11                  I have a couple of questions that are  
12 addressing some of the FDA's concerns. One is the issue of  
13 the changing in definition of a serious adverse event.  
14 Again, go to Dr. Shames' handout that you have.

15                  DR. FRESTON: Yes.

16                  DR. AZZIZ: On page 5, that last phrase, "or  
17 events that require intervention to prevent impairment or  
18 damage." I want to make sure that this is correct, and if  
19 so, I'd like you to explain that.

20                  DR. FRESTON: Yes. I'm pleased to have the  
21 opportunity to clarify this.

22                  In the collection of SAEs and submission of  
23 such AEs to the agency, the complete definition in all of  
24 its elements was rigorously complied with. Thus, the  
25 agency has received all SAEs by their definition.

1           The problem that happened was inadvertently  
2 some of the summaries and intermittent reports that came to  
3 the agency didn't include all of those elements. It was an  
4 oversight, but all the protocols and case report forms  
5 contained the full definition and we complied with that.  
6 All the SAEs were reported.

7           DR. AZZIZ: The full definition meaning it  
8 includes that phrase, "or events that require intervention  
9 to prevent impairment or damage."

10          DR. FRESTON: Absolutely.

11          DR. AZZIZ: Dr. Hirsch, could you comment?

12          DR. HIRSCH: There is no question in my mind  
13 that there were cases that required intervention which were  
14 not defined as a serious AE.

15          DR. AZZIZ: And that is a difference again  
16 between the numbers of, say, 30 or so versus 15 or so.

17          DR. HIRSCH: I believe so.

18          DR. FRESTON: Could we go back to the  
19 definition? Because to require to prevent impairment and  
20 damage is the full definition, not just requiring any sort  
21 of intervention. And a good illustration of the difference  
22 is in those early cases when patients had syncope and the  
23 investigators didn't know what they were dealing with, they  
24 put in a nasal catheter. That's an intervention. Is that  
25 an intervention to prevent permanent impairment or damage?

1 No. So, I think, Mr. Chairman, that may be the point of  
2 contention.

3 DR. AZZIZ: Well, certainly putting a nasal  
4 catheter may not be to prevent impairment, but the reality  
5 is I think this is where we're splitting hairs. That is a  
6 fairly aggressive intervention. Now, whether the  
7 investigator overreacted or not is a different point, but I  
8 mean, I think that certainly we'd like to hear that as  
9 adverse events.

10 The second issue has been brought up that  
11 perhaps the Cmax or the AUC has been related to side  
12 effects or adverse events. Was there any attempt to  
13 correlate Cmax or AUC in that the higher the Cmax, the more  
14 prone to adverse events? Is there any data in that regard?  
15 Yes, ma'am.

16 MS. BUTTLER: Actually I wanted to address the  
17 prior comments regarding the SAEs. I ran and I was  
18 overseeing all the clinical programs, and I just wanted to  
19 clarify a point about that required intervention to prevent  
20 permanent damage.

21 In fact, it was our investigators' opinion of  
22 that assessment. So, despite the fact we may or may not  
23 have felt that they overreacted to the situation, if an  
24 investigator felt that it required intervention to prevent  
25 permanent damage, it was considered an SAE. It always has

1 | been a part of our protocols. It always has been a part of  
2 | our case report forms.

3 |           And I sincerely apologize for our oversight in  
4 | our clinical trial reports that have obviously caused this  
5 | confusion, but I can assure you that all of those patients,  
6 | regardless of what TAP or any of our consultants or anybody  
7 | thought, if the investigator felt they required  
8 | intervention, those went in as SAEs.

9 |           DR. AZZIZ: Thank you.

10 |           Can somebody answer my other question please?  
11 | The correlation between Cmax or AUC and the incidence of  
12 | adverse events.

13 |           DR. FRESTON: Dr. Bopp.

14 |           DR. BOPP: This slide will show the apomorphine  
15 | Cmax in some of the subjects that experienced vasovagal  
16 | events during phase I studies when we did have the  
17 | opportunity to correlate Cmax with these events. This is  
18 | the Cmax for the individual subject and that is the mean  
19 | Cmax for the group from which that subject was derived.  
20 | So, if you look down that list, you can see that many of  
21 | the Cmax tended to be higher than the mean, but they were  
22 | certainly not on the extreme side.

23 |           In a couple of instances, the event happened in  
24 | conjunction with an ethanol interaction study, and the  
25 | ethanol concentrations are shown in that second column

1 along with the mean for that. Occasionally some of them  
2 are lower. Many of them are around the mean or within 1  
3 standard deviation. It is not occurring in subjects with  
4 very extreme Cmax.

5 DR. AZZIZ: Thank you.

6 Does the committee have further questions right  
7 now for the sponsor or the FDA staff?

8 DR. JARUGULA: Could I add one more point to  
9 that?

10 DR. AZZIZ: Dr. Jarugula.

11 DR. JARUGULA: I agree with the just submitted  
12 analysis, but I would like to clarify a little bit more on  
13 the relationship between the Cmax and the syncope or  
14 hypotension events. Looking at the table you just looked  
15 at, the most subjects had Cmax values that are higher than  
16 the group means. The subjects that did have lower than the  
17 group mean and had hypotension or syncope also had taken  
18 alcohol. So, you have to take the Cmax values of those  
19 subjects and also ethanol values in concentration when you  
20 are looking at the relationship between syncope and the  
21 Cmax.

22 DR. AZZIZ: Thank you.

23 Now we're going to go ahead and proceed to  
24 questions that the FDA has posed to the committee. Dr.  
25 Greene, did you have something?

1 DR. GREENE: Yes, just one question for Dr.  
2 Mann. Two questions actually.

3 DR. MANN: Sure

4 DR. GREENE: It's similar to the question I  
5 asked the sponsor, which is there are several tables where  
6 you include vasodilatation. Again, was that just from  
7 their report to you so there's not a precise definition for  
8 that?

9 DR. MANN: That's correct.

10 DR. GREENE: Then the other question was  
11 several places in your analysis where you state "there was  
12 patient reported hypotension," the patient doesn't report  
13 hypotension.

14 DR. MANN: Yes. When I wrote these down, I  
15 took them directly from the case reports of the patients.  
16 You're speaking, I assume, of the drug-drug interaction  
17 study where I gave individual case reports for those  
18 patients.

19 DR. GREENE: Well, for example, on page 77, it  
20 says, "Comment: It is noteworthy that 4 percent of  
21 patients reported hypotension at the 4 milligram dose."

22 DR. MANN: Oh, I apologize for that, and that's  
23 incorrect writing. You're absolutely right. I believe the  
24 hypotensive events were recorded by the investigator and it  
25 was their judgment. As the sponsor has pointed out, the

1 definition was not clear. Not every patient had a blood  
2 pressure reading. It was just the term they used to  
3 describe the event.

4 DR. GREENE: Okay.

5 DR. AZZIZ: Thank you very much.

6 Any other questions? We won't be muzzled after  
7 this, but I'd like to proceed on because we do need to come  
8 up with some conclusions.

9 Could I ask the FDA staff to please put the  
10 first question up on the screen? You're trying. A  
11 computer glitch.

12 Anyway, the first question is, does the patient  
13 population studied support the proposed indication "for the  
14 treatment of erectile dysfunction"? If yes, we need to  
15 elaborate, and if no, we need to describe our concerns.

16 Any comments regarding this question first from  
17 the committee? Dr. Califf?

18 DR. CALIFF: There are a lot of arguments I  
19 guess about the details of the patient population, but it  
20 seems to me they've got well-controlled studies that show  
21 statistically significant evidence of meeting the endpoint  
22 that was defined. I haven't heard anyone say that the  
23 population is totally unrepresentative of patients that  
24 might be seen in the clinic.

25 DR. AZZIZ: Dr. D'Agostino?

1 DR. D'AGOSTINO: The thing I was trying to  
2 flush out was is it an enriched sample and does that make a  
3 difference, and I didn't hear any negatives.

4 DR. JACOBS: In fairness to the question of  
5 enrichment, it could be enriched the other direction too.

6 DR. D'AGOSTINO: Well, enriched in the clinical  
7 trials sense of enriched.

8 DR. JACOBS: In the clinical trials sense,  
9 there are patients they eliminated that this drug might  
10 work very well in. For instance, I don't know what it does  
11 in MS. Maybe it's a great drug for the MS indication. I  
12 could see they're being enriched both directions.

13 DR. KOWEY: Can I ask the urologists on the  
14 committee, would you be able, as a urologist, to understand  
15 who you should treat with this drug if it were labeled the  
16 way it should be labeled?

17 DR. DONATUCCI: I would say several things  
18 about this. First off, the risk factors -- I know there's  
19 confusion about the question of no organic cause, but the  
20 percentage of risk factors present in the population of the  
21 patients, hypertension, cardiac disease, et cetera,  
22 actually mirrors the data that I have in my own practice  
23 when I went back and looked at the risk factors in my  
24 patient population.

25 Now, they admit that they have eliminated the

1 bottom 10 percent, the most severe, based upon the Male  
2 Massachusetts Aging Study. As far as the severity, they  
3 used the International Index of Erectile Function, and I  
4 believe the breakdown was about 39 to 40 percent with  
5 severe ED based upon that statistically valid parameter.

6 So, I think that the population in my opinion  
7 is representative of the great majority of patients with  
8 erectile dysfunction. It's not certainly 100 percent but I  
9 don't know that it needs to be.

10 DR. AZZIZ: I have a question. Organic versus  
11 inorganic or organic and non-organic. Is that an issue at  
12 this point? Certainly the fact that they had an ability to  
13 achieve an erection obviously tells us that it's not fully  
14 organic. So, is that something we should note here?

15 DR. DONATUCCI: I think traditionally this has  
16 been an issue. It dates back to the point when we really  
17 were pretty ignorant in the pathophysiology, and 90 percent  
18 of patients were thought to be psychogenic. Basically as  
19 we became educated, we realized that psychogenic erectile  
20 dysfunction is becoming less and less common, although  
21 obviously any patient with erectile dysfunction has a  
22 psychogenic factor. I think there are a lot of patients  
23 who we even today label as psychogenic who probably have  
24 some underlying pathophysiologic process we can't  
25 elaborate, perhaps at a cellular level. So, bearing that

1 | in mind, in my own opinion I'm not sure that's a critical  
2 | distinction.

3 | DR. AZZIZ: Dr. Tiefer.

4 | DR. TIEFER: I find that it's a difficult  
5 | question to answer because of how these drugs are used in  
6 | the real world. The official definition of erectile  
7 | dysfunction is now relevant only for a certain proportion  
8 | of people who are interested and who are using these drugs.  
9 | I think in addition to those with official erectile  
10 | dysfunction, we've now got people with erectile worries and  
11 | erectile insecurities who are perhaps making up the lion's  
12 | share of people who are going to obtain and use these  
13 | drugs, which brings me to my particular concern which  
14 | happens to be the alcohol one.

15 | There's now growing evidence of the  
16 | availability of Viagra at least in clubs and alcohol-  
17 | available settings, not to mention the Internet is another  
18 | problem. But if we're thinking about how these drugs are  
19 | obtained, how they're used in the real world, we've been  
20 | sort of discussing this in a very hermetic kind of a way as  
21 | if patients who come into the urologist's office and have  
22 | co-morbid disease and so on are actually the only  
23 | population that's going to be addressed. I think that  
24 | we're too smart to do that, and therefore I'm having just a  
25 | little trouble with the way this question is phrased. It

1 | seems almost dated and old-fashioned.

2 |           DR. AZZIZ: But just to clarify, we do need to  
3 | answer some questions. Obviously, our function will be to  
4 | determine if a population will be automatically treated if  
5 | treat one, but we can't certainly regulate the illicit use  
6 | of this drug on the Internet or in parties and so on. We  
7 | really need to be very careful that we give an answer to  
8 | this first question which is does the patient population  
9 | studied support the proposed indication for the treatment  
10 | of erectile dysfunction. So, I'd like to sort of just stay  
11 | there, not to minimize that question, but --

12 |           DR. TIEFER: I agree to a certain point, but  
13 | we're also interested in the labeling. We're also  
14 | interested in the potential advertising of these kinds of  
15 | drugs because it's something that our concern for patients  
16 | must make us concerned about.

17 |           DR. AZZIZ: Absolutely. We're not going to get  
18 | into labeling yet. We just need to answer the question yea  
19 | or nay, and then we'll move in to elaborate our concerns.

20 |           Any other comments about yes or no? Dr.  
21 | D'Agostino.

22 |           DR. D'AGOSTINO: Did we hear an answer to the  
23 | question if a patient comes into an office, they would know  
24 | who to treat?

25 |           DR. O'LEARY: Well, you heard from one

1 urologist. Dr. Donatucci commented on it. O'Leary. I'm  
2 another urologist. I don't think I have a particular  
3 problem with understanding what the indications for this  
4 medication would be. I still have some concerns about  
5 safety issues. We haven't gotten to that yet, but as far  
6 as does the population that was studied support the  
7 indication, I think I don't have any question that the  
8 answer is yes.

9 DR. AZZIZ: Dr. Hanno.

10 DR. HANNO: I just do have some concerns about  
11 the enrichment of the study. I would say that the  
12 indication should reflect the fact that the studies that  
13 support the drug were enriched. In other words, there's  
14 some loss of face validity if we don't say that this drug  
15 was tested in patients who did show erections in NPT and  
16 were able to get erections on their own, and that's how it  
17 was tested. So, when we put the indication forth, that's  
18 what it should be indicated for.

19 DR. AZZIZ: But, Dr. Hanno, let me ask you  
20 this. It says, "for the treatment of erectile  
21 dysfunction." Would you change that? Is there something  
22 you'd do to that wording?

23 DR. HANNO: I would change the wording to  
24 reflect the fact that it's indicated for treatment of  
25 erectile dysfunction in patients who have erectile activity

1 on NPT and/or are able to get erections on their own.

2 DR. AZZIZ: Well, let's get to the labeling  
3 first. Let's go ahead. We haven't heard from everybody  
4 that needs to comment. Let's go ahead and put that to a  
5 vote. Does the patient population studied -- each of you  
6 has to vote and speak into the microphone and get it  
7 recorded on the Federal Register so that they can find you  
8 at home.

9 (Laughter.)

10 DR. AZZIZ: Does the patient population studied  
11 support the proposed indication "for the treatment of  
12 erectile dysfunction"? Let's start out around the table  
13 here to my right, the voting members. Dr. Jacobs.

14 DR. JACOBS: Yes.

15 DR. AZZIZ: As far as I know, everybody can  
16 vote. That's what I was just told.

17 Dr. O'Leary.

18 DR. O'LEARY: O'Leary, yes.

19 DR. DONATUCCI: Donatucci, yes.

20 DR. LIPPERT: Lippert, yes.

21 DR. CALIFF: Califf, yes.

22 DR. AZZIZ: Azziz, yes.

23 DR. KOWEY: Before I say yes, which I'm going  
24 to --

25 (Laughter.)

1 DR. KOWEY: -- I know you don't want to talk  
2 about labeling here, but I do think that this is in part a  
3 labeling issue in that I think that they have studied a  
4 circumscribed, somewhat selected patient population. So,  
5 as I say yes, it is with the stipulation that we will  
6 discuss somehow in the labeling what that indication is,  
7 that it's not a blanket erectile dysfunction claim. It has  
8 some qualifiers. So, the answer is yes with qualification.

9 DR. AZZIZ: I just want to remind the committee  
10 before we continue. We're just an advisory committee.  
11 We're just putting in our vote and we will give as many  
12 recommendations to the FDA who will then shove them in the  
13 back drawer and never remember them again.

14 (Laughter.)

15 DR. AZZIZ: No, no. But the point is we're  
16 just advisory, so yes, we can give all the concerns we  
17 have. So, we will do it.

18 DR. KOWEY: And I just did. Thank you very  
19 much.

20 (Laughter.)

21 DR. GRABOYS: Graboys, yes.

22 DR. D'AGOSTINO: D'Agostino, yes.

23 MS. SCOTT: Scott, yes with reservations  
24 similarly talked about.

25 DR. TIEFER: I like this new option. Tiefer,

1 | yes with reservation.

2 | DR. GREENE: Greene, yes.

3 | DR. HANNO: And I'll say yes with reservations  
4 | that it's reflected in the label.

5 | DR. AZZIZ: Thank you very much.

6 | Let's go ahead. If the answer is yes,  
7 | elaborate. At this point it seems to be unanimous yes,  
8 | that the population studied supports the proposed  
9 | indication. Now we are ready to bring up some concerns for  
10 | potential labeling changes or changes in population.

11 | Dr. Greene.

12 | DR. GREENE: If I understand the comments of  
13 | some of the urologists, may I interpret what you said to  
14 | suggest that the sensitivity and specificity of this NPT  
15 | testing is sufficiently poor that you wouldn't consider it  
16 | very helpful one way or another in enriching or not  
17 | enriching the population?

18 | DR. O'LEARY: Yes.

19 | (Laughter.)

20 | DR. AZZIZ: Dr. Kowey? You better restate  
21 | that, though.

22 | DR. KOWEY: I am probably the least competent  
23 | person to do this, and I'll defer to my urology colleagues.  
24 | It sounded like that there were some restrictions put on  
25 | patient enrollment which could potentially have an impact

1 on the patients who were entered in the trial. And the FDA  
2 has said this. I mean, we heard it clearly from the staff.

3 So, the question is in the labeling what should  
4 be said -- and I don't know what should be said -- about  
5 the kinds of patients that were enrolled in the trial and  
6 how a clinician who's going to prescribe the drug may be  
7 able, in their clinical practice, to select those patients  
8 to optimize the chances that the drug is going to work.

9 And the reason why I'm being so persnickety  
10 about this is this is not an innocuous drug, and therefore  
11 I would like to see people who are not going gain benefit  
12 not be exposed to it. It doesn't make any sense. So, the  
13 risk-benefit, which we're going to come to is directly  
14 proportional to this question. And if we can't define the  
15 population, then I'm not going to be terribly excited about  
16 letting the drug on the market, voting for approval. If  
17 you can tell me that, yes, we can tell you who the  
18 population is with a reasonable degree of certainty, then  
19 that's very important to me. So, that's up to you guys.

20 DR. DONATUCCI: Clearly there's a spectrum of  
21 disease, and I think it has been stated earlier that based  
22 upon the way the study was designed, those patients who had  
23 total erectile failure were eliminated from consideration  
24 in the study. Based on clinical experience with other  
25 therapeutic alternatives, we know that the more severe and

1 the long lasting the disease process is, the more risk  
2 factors, et cetera, the less likely. In fact, there have  
3 been studies in the literature you can -- this is somewhat  
4 drastic -- biopsy the penis and quantitate the degree of  
5 smooth muscle present. And as that percentage drops,  
6 responsivity and degree of erectile function drops.

7 So, clearly people at the end of the spectrum  
8 are not going to be great candidates for any drug therapy.  
9 For those of us who do it every day, that's pretty obvious  
10 to us, but perhaps that should be spelled out in the  
11 labeling.

12 DR. AZZIZ: Dr. O'Leary?

13 DR. O'LEARY: I think one of the reasons why,  
14 as I'm reading through this data set, NPT was used to begin  
15 with was because it was the only thing that was  
16 "objective." In an attempt to try to include as much  
17 objective data as possible, it was the only thing that was  
18 really available on a large scale. In fact, most of us  
19 don't, as I think you've heard, use NPT very much. It has  
20 very limited value in day-to-day practice.

21 So, while I understand what the goal of the  
22 study was, I've basically discounted it. To me it's not a  
23 particularly important part of the data set or the  
24 inclusion criteria, for that matter. The inclusion  
25 criteria, to my mind, reflect what we see in daily

1 practice, as I said before.

2 DR. AZZIZ: Dr. Jacobs.

3 DR. JACOBS: I know we're going to talk about  
4 labeling eventually, but this sort of fits into it too. I  
5 think a sentence that says there is no evidence that this  
6 drug helps people with total erectile failure, spinal cord  
7 injury, multiple sclerosis, Parkinson's disease, would be  
8 the sort of sentence we would want to see in a label. Not  
9 that it's forbidden. There is no evidence that this helps  
10 those people.

11 DR. AZZIZ: In a second, we will be dealing  
12 with risk-benefit. We have just simply decided that the  
13 population studied seemed to represent the population that  
14 you all see.

15 So, any other comments about the population  
16 studied?

17 (No response.)

18 DR. AZZIZ: Let us move on to the second  
19 question. Do the data presented support an acceptable  
20 risk-benefit profile for the 2 milligram dose of Uprima?  
21 If yes, please elaborate. If no, please describe your  
22 concerns, including additional studies that might address  
23 these concerns.

24 I'd like to hear comments about this.

25 DR. GRABOYS: There is a population of folks

1 out there that all of us in clinical medicine see every day  
2 and that's the coronary artery disease population. In this  
3 population, these folks are biting at the bit for an  
4 alternative. So, there is a huge market -- obviously you  
5 all know that -- for this agent or for any agent, anything  
6 that can help their erections.

7 So, what we are kind of trying to deal with is  
8 the issue of polypharmacy. Since we are pushing in  
9 cardiology nitrates and at the same time we're trying to  
10 deal with folks who have got erectile dysfunction, well,  
11 this is fine in terms of the Viagra. I think the message  
12 is out. But in terms of your drug, the jury is out as far  
13 as nitrates. I didn't hear anything about the dosing,  
14 about the long-acting. I didn't know exactly what that  
15 was. But we have folks that we are increasing their dose  
16 20, 40, 60, 100, 180 milligrams of nitrates, while  
17 simultaneously they may be on your drug.

18 So, I don't know. Do you feel sanguine about  
19 this in terms of how we're going to be educating physicians  
20 to prescribe the drug? What about the patient population?

21 I think that the polypharmacy issue is a  
22 significant one and the nitrate issue is a significant one.  
23 And I couldn't vote on this until it's resolved.

24 DR. FAGAN: Would you like us to respond?

25 DR. AZZIZ: If there is direct data to answer

1 | that question, go ahead. We'll take a short second or two.

2 |           DR. FAGAN: In the long-acting interaction  
3 | trial, the doses of Imdur ranged from 30 to 120 milligrams  
4 | a day in several patients. It was dosed 4 hours before  
5 | they got their drug. Isosorbide was 40 b.i.d. in a couple  
6 | of patients. ISMO was I believe 20 b.i.d. in several  
7 | patients, and there were several patients on various levels  
8 | of patch therapy. So, there were a few that were toward  
9 | the upper end of the spectrum, and I think most of them  
10 | were respectable doses.

11 |           DR. AZZIZ: Other concerns, questions? Dr.  
12 | Califf.

13 |           DR. CALIFF: Specifically with regard to the 2  
14 | milligram dose, it seems to me that as a specific question,  
15 | it's kind of like old-fashioned medicine: It's not much  
16 | good, but it probably won't do much harm either. It really  
17 | seems almost not important relative to the 4 milligram  
18 | issue. The answer to the 4 milligram issue is if the risk-  
19 | benefit ratio is adequate, then it seems like the 2  
20 | milligram, although it wouldn't stand on its own in my  
21 | opinion, needs to be in because of the dose escalation  
22 | issue and the likelihood that some patients may benefit.

23 |           DR. AZZIZ: A question for the sponsor again.  
24 | Just to bring up Dr. Califf's point, the vast majority of  
25 | patients didn't seem to stay on 2 milligrams. 2 milligrams

1 didn't seem to be very effective. I mean, you have a few  
2 percentages above what it was before. The only reason you  
3 are asking for approval is because of the titrated regimen.  
4 Is that correct? I'm unclear as to what the reason for  
5 that would be otherwise.

6 DR. HEATON: There are several points here.  
7 Some patients do respond very satisfactorily and will stay  
8 on 2 milligram. On the basis that patients should remain  
9 on the least drug that is effective, those patients should  
10 have the opportunity of a 2 milligram tablet.

11 The other thing is that the dose escalation is  
12 a logical thing to do and has been shown here.

13 DR. KOWEY: Maybe I can do my Ray Lipicky  
14 impersonation and bring up a point about that. I think  
15 you're right, by the way, that there clearly are people who  
16 respond to 2 milligrams. There might be people who respond  
17 to 1 milligram. Perhaps, Rob, the risk-benefit equation  
18 there -- even though you would argue, gee, you're not  
19 really getting much of an effect at all, some people might  
20 respond at a milligram and get no hypotension.

21 DR. CALIFF: Maybe it would be best to start  
22 with placebo.

23 DR. KOWEY: You get a nice little rise with  
24 placebo. Excuse the pun.

25 (Laughter.)

1 DR. KOWEY: But I agree with Rob. I think that  
2 the 2 milligram is easy in terms of risk-benefit because  
3 there's not much risk. But I do think there is some  
4 benefit, and I would not want to see the 2 milligram not  
5 get approved if the 4 milligram were approved because there  
6 were responders to 2 milligrams.

7 DR. AZZIZ: But again, the majority of the use  
8 with 2 milligrams is part of a titrated regimen.

9 DR. HEATON: The majority is, yes.  
10 There is a factor that has not been identified  
11 in discussion today about dopaminergic agents, which points  
12 out that there is a characteristic of this class of agents  
13 which means to say they are better prescribed in the  
14 appropriate dose than simply going up to the max. This is  
15 characteristic of dopaminergic agents. Otherwise, patients  
16 with ED will always migrate to the max.

17 DR. AZZIZ: Thank you.

18 Dr. O'Leary.

19 DR. O'LEARY: In response to the question, I'm  
20 looking at the data that say that somewhere between 40 and  
21 46, 44, 47 percent of patients respond. Now, I realize  
22 scientifically we're comparing this to placebo, but  
23 clinically I'm comparing it to somebody who doesn't respond  
24 at all. So, if I've got a 2 milligram dose of this drug, I  
25 know that's not what we're supposed to do, but that's what

1 happens in clinical practice. And if I give this to  
2 somebody -- I wish the FDA would approve placebo, but they  
3 haven't approved it.

4 (Laughter.)

5 DR. O'LEARY: Because I would definitely give  
6 that. In all ED trials, it about a 30 percent response and  
7 it's the same, by the way, for lower urinary tract symptoms  
8 with BPH. It's about a 30 percent response to placebo.  
9 Now, maybe urologists are just luckier than other  
10 practitioners in terms of giving a pill to a patient.

11 But to me a 2 milligram dose makes -- that's  
12 where I would start based on the data that I've been  
13 presented.

14 DR. AZZIZ: You're primarily treating men who  
15 are highly susceptible to the placebo.

16 (Laughter.)

17 DR. AZZIZ: Dr. Tiefer?

18 DR. TIEFER: Let me just say that I think what  
19 happens is that in the real world most patients with  
20 erectile problems have very poor sex education, poor  
21 verging on none, no formal sex education, no opportunity to  
22 talk openly about erotic technique, and that the  
23 opportunity to talk with a physician often with the partner  
24 present is the first time certainly since the problem began  
25 and maybe in the person's entire life -- we've heard this a

1 million times. Right? I've never talked to anybody about  
2 this before. I think that's the active agent in the  
3 placebo effect, that the person is actually talking about  
4 with their partner the fact that they want certain things,  
5 they feel certain things, and so on.

6 I would really like to see trials of sex  
7 education before we start throwing drugs at people. We  
8 live in a country where there's no government approval for  
9 sex education, but tremendous institutional support for  
10 drugs. So, when I see here, do the data presented support  
11 an acceptable profile for this drug, to me it's not  
12 acceptable because of the absence of any sort of formal sex  
13 education to the patients with this problem. It's as if we  
14 were discussing a diabetes drug and the food pyramid was a  
15 federal secret and you weren't allowed to talk about these  
16 things with anybody because it just wasn't part of the  
17 medical model.

18 So, again, I feel like the question kind of is  
19 not in the real world where the patients need information  
20 about sexual technique, age-related changes, and so on.

21 DR. AZZIZ: Thank you.

22 Dr. D'Agostino.

23 DR. D'AGOSTINO: I just wanted to say if you  
24 travel from one field of medicine to another, these  
25 differences, placebo versus the drug effect, aren't trivial

1 effects. You may say they're trivial in your mind, that  
2 they don't look big, but getting a 33 percent increase over  
3 placebo is substantial. And we have three studies that did  
4 it.

5 DR. CALIFF: You're talking about the 4  
6 milligram dose.

7 DR. D'AGOSTINO: No, no, even the 2. We have  
8 those three crossovers, didn't we?

9 DR. CALIFF: They weren't significant, were  
10 they?

11 DR. D'AGOSTINO: Yes.

12 DR. CALIFF: All were?

13 DR. TIEFER: Statistically significant.

14 DR. D'AGOSTINO: Well, and clinically I don't  
15 think that this is a trivial margin. It could be  
16 overwhelmed by safety, but I think on the basis here it's  
17 not trivial.

18 DR. AZZIZ: Thank you.

19 Dr. Donatucci.

20 DR. DONATUCCI: Yes. I just want to make one  
21 comment that addresses one of the FDA questions that came  
22 up earlier in the context of what we're discussing now.  
23 This is a very variable condition, and it changes over  
24 time. Patients are very motivated initially and they may  
25 lose their motivation with time. There was a question I

1 believe about long-term dropout. My experience with every  
2 agent that I've used is that they all experience long-term  
3 dropout regardless of what we're using.

4 Michael O'Leary mentioned BPH earlier. A  
5 senior urologist once said that's an unevaluable condition  
6 because all the tests we use to evaluate you can argue till  
7 the cows come whether they're reflective of the condition  
8 or not. In a sense this is also similar. So, it's a very  
9 difficult condition to pin down, particularly for any  
10 individual, but we have to do something and we have to make  
11 a decision. I understand what you were saying earlier  
12 about sex therapy and just discussing the problem, but that  
13 doesn't help you make a decision that you have to make  
14 today.

15 So, I think again that this 2 milligram dose  
16 has been shown to be statistically effective. The clinical  
17 significance may not be apparent to someone who doesn't  
18 take care of these patients, but again I've had patients, I  
19 have literally told them this drug will not work for your  
20 condition, and they'll say I want to try it anyway, given  
21 the other alternatives.

22 DR. AZZIZ: I have a question maybe for the  
23 committee, but also for the sponsor. Risk-benefit. We've  
24 talked a lot about benefits. I have to agree with Dr.  
25 Tiefer that I wish we could really have a study of sexual

1 | counseling. That is an extremely important area that is  
2 | underfunded and understudied. But today we're here to  
3 | study a drug.

4 |           But the risk issue has not been addressed, and  
5 | I have a couple of concerns. That is the alcohol use. I  
6 | can tell you some individuals will have responsible sex and  
7 | not have a drink before they have sex, but most people will  
8 | have more than a couple of vodkas. I am unclear as to how  
9 | safe it is in the face of a couple of vodkas. You had one  
10 | fellow there who had a rye, a bourbon, a vodka, and a beer,  
11 | but that's pretty standard for a lot of the patients.

12 |           (Laughter.)

13 |           DR. AZZIZ: No sex education either.

14 |           (Laughter.)

15 |           DR. AZZIZ: But that is an issue I think I'd  
16 | like to -- if you could just look at that alcohol adverse  
17 | events please and review that because that is a concern,  
18 | particularly because your proposed labeling does not have  
19 | sufficiently explicit the potential for alcohol  
20 | interaction. You asked the patients not to take it before  
21 | they use the drug, and then your alcohol studies were  
22 | relatively modest because, in fact, you got scared when you  
23 | used a good dose.

24 |           DR. FAGAN: Well, there's a couple of things.  
25 | First, the instructions were don't take more than two