

1 discussion.

2 I thought it was a little too early for lunch
3 anyway so I am letting this go, given that I think some of
4 these points are of value to the FDA staff. I know you, Ed,
5 and Dr. Cara would like to make some comments.

6 DR. SAUSVILLE: Yes, I would actually like to ask
7 Dr. Goldstein. Clearly, the results that were both hinted
8 at and potentially are now achievable using the new
9 immunosuppressive regimens are very attractive in terms of
10 using pancreata as the source of islets, but given the scale
11 of need that I think one of the early speakers showed with
12 the little pieces of pies for the potential availability of
13 islets, do we really feel that that is where the field is
14 going to end up or do we feel that entire new technologies
15 of delivering islets or holding islets in various devices
16 are going to actually extend the promise of this to the
17 relatively large epidemiologic population that would
18 potentially benefit from them?

19 DR. GOLDSTEIN: Joan Harmon put up the slide of
20 everything else. So I refer you back to Joan's visual. Our
21 position is absolutely clear. We feel that the most
22 progress in the near term can occur with human islets,
23 period. We are happy and willing to support everything else
24 that was on Joan's slide and people have to, you know,
25 figure out how they want to do that and proceed.

1 With specific regard to xenotransplant or capsule
2 and capsulated approaches to fool the immune system, we
3 would be delighted if one of those many people who have told
4 us that that is going to be ready tomorrow morning would
5 appear with data or with the research grant application that
6 we could fund, etc., etc., etc. So, I won't bore you with
7 the details, except to say that our portfolio of research
8 funding in xenotransplant and capsulated models to
9 accomplish the same task is pitifully small, probably under
10 one million dollars which, given the amount we are willing
11 to provide -- and what is driving that, from our point of
12 view, is lack of scientific progress. So, I don't know how
13 to predict that. I will take any version, however, and my
14 public comments to people who want to study capsules and
15 alternative source material is do it. Send us research
16 applications; we will be happy to look at them.

17 So, the bottom line for us is that the shortage of
18 human islets and pancreas material doesn't bother us today.
19 We are actually mounting a public effort to join many others
20 in organ donation enhancement efforts which we think
21 everybody would like to have and need anyway and that, at
22 the very worst, everything that occurs here in the next
23 three to five years will be practice for what is absolutely
24 necessary to actually implement anything else you are going
25 to do anyway. So, even if it only works for a thousand

1 people we think it is well worth the investment because you
2 will need to have done something exactly like that to gain
3 the experience and knowledge necessary, technique, etc., for
4 whatever next thing comes along.

5 DR. SAUSVILLE: So, then I would offer that as a
6 key point in response to Dr. Auchincloss' comments because I
7 think that transplant per se is probably actually not going
8 to address the epidemiologic need. It should be done. It
9 is an important opportunity. But ultimately one has to
10 generalize the technologies so that, as was stated, what we
11 are experiencing is practice for being able to get this to
12 the larger population that needs it.

13 DR. CARA: I have a comment that I would like to
14 make after Dr. Harmon's and Dr. Goldstein's talk, and that
15 is that one of the most frustrating issues I think for
16 pediatric endocrinologists is that many of the drugs that we
17 use have not been tested or approved for use in children.
18 And, I would strongly encourage you, as we discuss issues
19 related to islet cell transplantation, to think about
20 children in forethought rather than as an afterthought. It
21 would be wonderful, for example, to develop an advisory
22 committee of pediatric endocrinologists that might be
23 thinking about applications of islet cell technology, islet
24 cell transplantation technology for children, or something
25 along those lines. But I think any ways that you can think

1 of for having some sort of pediatric component to the sorts
2 of issues that you have talked about is critical.

3 DR. SALOMON: Dr. Ricordi?

4 DR. RICORDI: I would just like to make a comment
5 related to Dr. Auchincloss' comment. I think it is very
6 important that we address the general area where islets will
7 be regulated because, to me, islet is not a cellular
8 transplant and is not a transplant like you have when you
9 address modified cells, proliferating cells or cells that
10 can be stored in a repository prior to surgery like bone
11 marrow or blood bank. Some people may actually consider
12 islets close to organs. It is a very complex, delicate,
13 multi-cellular structure with 1000 to 2000 cells per
14 cluster. You may be responding to the same law of ischemia
15 and revascularization as in whole organ transplant and, as
16 we saw from the initial experience emerging from the
17 different centers and approaches. The best series of
18 transplants so far performed are with strategies exactly
19 like in organ transplantation. You process the cells. The
20 patient is ready to receive them and they are infused
21 immediately after preparation.

22 So, I see FDA's regulatory component as critical
23 to bring the field together and establish what are
24 guidelines for comparison, product release criteria, how we
25 can better address safety, and post-release criteria to

1 retrospectively see what we can improve. But, at the same
2 time, it would be very dangerous to consider an islet
3 transplant like other cellular transplants or, even worse,
4 like tissue transplants, like bone marrow or transplants
5 that are stored for a longer time. So, if islet
6 transplantation evolves to a form of cell transplantation,
7 like having expanded cell lines, then you will have another,
8 much more complex series of regulatory aspects. But, for
9 the time being, we are still very much closer to an organ
10 transplant.

11 DR. SALOMON: We have been through these arguments
12 with xenotransplantation, that there are always concerns by
13 some in the field that regulation would not be done in a way
14 that would allow them the kind of flexibility to go forward
15 in a new area, and I think that is an issue that the FDA is
16 very sensitive about. I think you ought to understand that
17 is why you are here today -- the FDA is trying to
18 communicate the idea that they do not want to be
19 characterized as preventing this field from moving forward
20 in a reasonable and safe manner.

21 On the other hand, as Dr. Goldstein lists eight or
22 more islet preparation centers, I think our recent
23 experience from our colleagues in gene therapy who have done
24 an excellent job single-handedly setting back clinical
25 research a few years, the public is not going to cut the FDA

1 or the NIH any slack at all. If I set up an islet
2 transplant center on my own in the University of
3 "Buxtebehuda" somewhere, no one is going to cut anyone any
4 slack if I start putting islets in the patients that are of
5 poor quality or even dangerous and there is a complication,
6 and there could well be a complication, and let's face it.
7 So, I think that is sort of the line where you are going to
8 have to accept the fact that when the complication happens
9 everybody quickly turns to the NIH and the FDA and says
10 where were you? What did you do? Joan?

11 DR. HARMON: I would like to address Dr. Cara's
12 earlier questions. Especially for the treatment of Type 1
13 diabetes, we will most likely have to start thinking about
14 treating children. About three or four years ago the NIH
15 made it mandatory that any clinical research, specifically
16 any clinical trials, must consider the use of children.
17 Please remember that the definition of child at the NIH is
18 from birth to 21. So, it is possible to perhaps begin
19 utilizing the protocols that have been addressed this
20 morning on adults to begin with and then move to an 18-21
21 year old age group, and then slowly worked down. I don't
22 think anyone in the room would want to start using islet
23 transplantation on younger children than that at the present
24 time, until it is all worked out.

25 DR. GOLDSTEIN: Dan, I wanted to follow up on your

1 remarks. We fund outside of the United States significant
2 amounts of money, numbers, etc., and we are looking toward
3 the FDA et al. to tell us how to set standards and do this
4 in a rational manner so that we can actually tell people
5 outside the United States that we would like studies done in
6 an FDA-equivalent fashion where appropriate. We would also
7 concur with the need to protect and do things in whatever is
8 the best manner, and we would like somebody else to decide
9 that for us.

10 DR. SALOMON: Thank you, Bob.

11 DR. SIEGEL: We brought you here --

12 [Laughter]

13 -- to figure that out for us.

14 DR. AUCHINCLOSS: I think it is becoming clear
15 with the discussion that lines are being drawn here. I
16 think you understand that I disagree absolutely with your
17 statement and I agree entirely with Camillo. I think the
18 FDA should be very much involved in the regulation of an
19 islet product facility but the product, islet
20 transplantation, should not be called a product and should
21 not be regulated by the FDA, in my view.

22 DR. RICORDI: Actually, I agree completely with
23 Dan's statement and with you. I don't think that we
24 shouldn't regulate at all processing. I think the safety
25 issue for islets is different in that we will have this

1 quality control in this product, and this is what we are
2 here to discuss, to make it as safe as possible but, at the
3 same time, remembering that an islet is not a cell that you
4 can cryopreserve and leave for a couple of months while you
5 are sure that everything is perfect because you may have
6 problems intervening with ischemia as in organ
7 transplantation.

8 DR. AUCHINCLOSS: But, Camillo, I don't have any
9 problem with the FDA wanting to know whether this is a good
10 islet or a bad islet. That is what the FDA should be in the
11 business of and they can help the field out enormously by
12 forcing that to happen, which it hasn't adequately so far.
13 But do you think the FDA should be in the business of
14 deciding who should be the recipient and what the conditions
15 of the clinical trial are?

16 DR. RICORDI: No, but what I am saying is not very
17 contradicting. I mean, there is something in between those
18 two statements.

19 DR. SALOMON: I am going to end that here. Maybe
20 we should have gone to lunch at 12:30.

21 [Laughter]

22 Seriously, I think that this is excellent. I
23 mean, this is what the committee is supposed to do -- to
24 disagree, to get individuals to take a position and to
25 examine critically the dynamics of those different

1 positions, and that is what is starting to happen. I hope
2 that is what we can foster in the next few hours. Jay?

3 DR. SIEGEL: I would just like to clarify a couple
4 of things in response to that last comment. The legal
5 authorities of the FDA are based in legislation which deals
6 with the regulation of products. So, the suggestion that it
7 would be nice if we could regulate the production but not
8 call it a product -- that would probably be difficult to
9 figure out how to do. There are certain aspects of the
10 production in terms of communicable diseases that there are
11 other legal authorities to regulate. But it is, in fact,
12 the legal framework and the FDA's framework to regulate on
13 the basis of products.

14 However, I have to say when we regulate cellular
15 products there should not be an assumption in this group
16 that we fit them into the same holes that we fit drugs. We
17 are very aware, for example Dr. Ricordi, that many of the
18 products we regulate, many of the cellular therapies
19 including not only those that look like organs but those
20 that almost anybody would agree are a manufactured product -
21 - it has maybe genes put into it, expanded, whatever -- many
22 of them cannot suitably be fully tested for infections, for
23 contaminants, for function, and that is readily accommodated
24 through the method of regulation. So, don't necessarily
25 jump to the conclusion about what it means to call something

1 a product. We are here to find out from you what is the
2 right way to regulate this field.

3 Secondly, in response to your comment, Hugh, about
4 -- I think you said -- would you want the FDA to determine
5 who should be the recipient or what would be the right
6 conditions for a clinical trial, we play a very active role
7 in areas that we regulate in clinical trial development.
8 Generally the position is, except where safety issues arise,
9 that we don't tell people you can't do certain types of
10 trials, or you can't treat certain types of patients.
11 Rather, our area is to advise on what is the nature of a
12 trial that will provide the types of evidence that are
13 necessary to make the determinations that are necessary in
14 order to satisfy our public health goals. So, what will be
15 the trial designs; what will be the endpoints, what will be
16 the measures that will answer the critical regulatory and
17 scientific questions that are there. And, I think -- I hope
18 that you will find that as we answer those questions, using
19 the advice of this committee and other groups of experts, in
20 fact, as I think has been the impact of our input in other
21 areas, we are not restricting the options of clinical trials
22 that can be done. It can certainly help focus clinical
23 trials towards addressing clinical questions that need to be
24 answered.

25 DR. AUCHINCLOSS: I think the difference in our

1 point of view will emerge as we come to the particular
2 questions because many of your particular questions deal
3 with an issue of is islet transplantaion a good thing for
4 the patient. That is the outcome that you want to know. I
5 want to know that also, but I don't think it ought to be
6 your question. Your question ought to be is the islet that
7 went into that trial a good islet.

8 DR. SIEGEL: Well, I guess that is a fundamental
9 difference because I can't imagine how you would know it is
10 a good islet unless I know it is good for the patient.

11 DR. SALOMON: Wait a minute, I am going to take
12 the chair's prerogative now to end this and to go to lunch.
13 But I think there are plenty of interesting things and I
14 have no concern that you will not return to this when it is
15 appropriate. Thanks. I want everybody back at one o'clock,
16 please. Thank you.

17 [Whereupon, at 12:10 p.m., the proceedings were
18 recessed for lunch, to be resumed at 1:10 p.m.]

A F T E R N O O N S E S S I O N

1
2 DR. SALOMON: Could everybody take their seats so
3 we can begin the afternoon session? I would like to
4 acknowledge that two more members of the panel have joined
5 us in this afternoon's session, Dr. Sherwin from Yale
6 University -- welcome.

7 DR. SHERWIN: Thank you.

8 DR. SALOMON: And Dr. Kathy Zoon, Director of CBER
9 at the FDA, has joined us.

10 So, starting off, we have Darin Weber from CBER,
11 presenting us an FDA view of the regulatory framework to
12 procurement, processing and characterization of human
13 islets.

14 **Applications of FDA Regulatory Framework to Procurement,**
15 **Processing and Characterization of Human Pancreatic Islets**

16 DR. WEBER: Good afternoon. I hope you had an
17 enjoyable lunch.

18 [Slide]

19 Again, my name is Darin Weber. I am a product
20 reviewer in the Division of Cellular and Gene Therapies and,
21 hopefully, this session will be somewhat educational in
22 terms of providing our regulatory framework of regulation of
23 cellular and tissue-based products.

24 [Slide]

25 The basic goal of this presentation is to describe

1 the regulatory review process which is employed by the Food
2 and Drug Administration, and specifically the Center for
3 Biologics, for cellular and tissue-based products and how
4 this framework can apply to allogeneic islets.

5 [Slide]

6 So, this is an overview of what I would like to
7 talk about this afternoon. That is basically divided into
8 three main parts, the regulatory framework for cellular and
9 tissue-based products and in this part I am going to
10 describe the laws, regulations and guidances that the FDA
11 uses to effectively regulate this field. The second part
12 will be CBER's regulatory approach. Basically, I will
13 describe how biological products such as islets are
14 regulated by CBER and, hopefully, it will be clear at the
15 end of the talk that the regulation of biological products
16 is founded on science and law to assure the purity, potency,
17 safety, efficacy and ultimately availability of these
18 products.

19 [Slide]

20 Before we go too much further, I want to keep in
21 everybody's mind that FDA's primary objectives are, in terms
22 of the regulation of investigational new drugs, foremost to
23 assure the safety and rights of all subjects in all phases
24 of the investigation and, secondly, to assure that the
25 quality of the scientific evaluation of the investigational

1 product is adequate to permit an evaluation of its safety
2 and effectiveness.

3 [Slide]

4 When I speak of a regulatory framework, again,
5 what I am talking about are the laws, the regulations and
6 the guidances that we all are using at the FDA to regulate
7 this area. Just to back up and clarify what a law is what a
8 regulation is and what a guidance is, laws are statutes that
9 are enacted by Congress and, obviously, require an act of
10 Congress in order to form a new law, to modify that law or
11 in some cases to repeal that law. Regulations, again, are
12 agency rules that are promulgated to describe how the law is
13 going to be applied and are, in fact, binding like a law and
14 are enforceable. The third particular aspect we use for our
15 framework is the guidance documents. Guidance documents, as
16 the name implies, are guidances. They represent our current
17 best thinking. They are not binding on you or the FDA.
18 What that means is that alternative approaches are
19 acceptable if they meet the regulatory requirements.

20 Going back to the point of the slide, this
21 represents our regulatory framework. The two major laws are
22 the Food, Drug and Cosmetic Act and the Public Health
23 Service Act. Out of those two laws have come several
24 regulations. Probably the most important is 21 CFR 312 --
25 CFR is the Code of Federal Regulations. So, 21 CFR 312

1 describes the general IND regulations for both drugs and
2 biologics and covers things like safety and effectiveness.

3 Part 610 of that same code covers the biological
4 product standards and, in the case of some cellular and
5 tissue-based products Part 800 may become important if they
6 are combined with medical devices, maybe in an immune
7 barrier for example. So, if that happens we have a
8 combination product of a biologic and a device. So, those
9 regulations also become important.

10 Finally, Part 1270, which covers tissues intended
11 for transplantation, are particularly relevant here because
12 it describes prevention of transmission of communicable
13 diseases from a donor to a recipient.

14 [Slide]

15 Continuing on with our regulatory framework, in
16 1993 the Food and Drug Administration published a Federal
17 Register Notice basically stating that they intend to
18 regulate somatic cell and gene therapies under the
19 investigational new drug regulations.

20 In 1997 there was the proposed approach to the
21 regulation of cellular and tissue-based products, which was
22 an approach that only came about after real extensive
23 discussion and dialogue with both industry and academia.

24 Now, under the proposed approaches come several
25 documents. 1998 saw the proposed rule for the establishment

1 registration and listing which basically asks that
2 manufacturers release types of products registered with the
3 FDA so we have a way to communicate with them.

4 In 1999 there was the donor suitability
5 determination. Again, that basically covers appropriate
6 types of communicable disease screening to prevent the
7 transmission from a donor to recipient.

8 A third document which is currently under
9 development is called the good tissue practices, or GTPs.
10 So, GTPs are going to encompass proper handling, processing,
11 storage and labeling of cell and tissue-based products. It
12 will also include requirements for record-keeping, reporting
13 and establishing a quality program.

14 Finally, the last bullet here is that in 1998
15 there was a guidance for industry and guidance for human and
16 somatic cell and gene therapy, which again are guidances
17 that represent the agency's current thinking about
18 regulation of a specific area.

19 So, the take-home message from this slide and the
20 previous slide is that FDA's regulatory framework for cell
21 and tissue-based products wasn't formulated overnight. It
22 has been evolving for most of the last century and is
23 continuing to evolve today, and we are here to listen to
24 some more of that this afternoon.

25 [Slide]

1 So, let's move on to the second part of my talk
2 which, again, is to discuss what the Center for Biologic
3 Evaluation and Research regulatory approach is. The mission
4 of CBER is to protect and enhance the public health through
5 regulation of biological products and related products
6 according to statutory authorities. So, for the next few
7 slides I am going to describe some of those tools that we
8 use at the FDA and at CBER to effectively do this, to make
9 informed, science-based decisions within this framework.

10 [Slide]

11 As this slide shows, the backbone of our
12 regulatory framework is the regulatory requirements, and I
13 have listed again Parts 312, 610, 800 and 1270. Actually,
14 there are other parts of the regulation that may come into
15 play as well.

16 Secondly, the FDA actually follows its own
17 guidances, such as the guidance for industry for human
18 somatic cell and gene therapies. We also look at other
19 relevant guidance documents, such as the International
20 Conference on Harmonization which covers some technical
21 requirements for a lot of biotech products.

22 Thirdly, of course, is why we are here today.
23 Often when there are new or novel or unique preclinical,
24 product or clinical questions the FDA often has the option
25 of calling together a group of outside experts as part of an

1 advisory committee. The advice we receive from our advisory
2 committees is frequently used to guide the regulatory
3 framework as it applies to a specific class of products.

4 Additional tools that we use in the Center for
5 Biologics is something called the step-wise approach to the
6 application of the regulatory requirements. Really what
7 that means is depending on the state of product development,
8 you have to meet certain of the regulatory requirements and
9 I will discuss that in a little more detail.

10 Finally, as a reviewer in the Division of Cellular
11 and Gene Therapy, we use something called a CMC product
12 review template. This template helps us guide our review to
13 ensure it is consistent; to ensure that we meet all the
14 regulatory requirements at the appropriate stage of product
15 development. So, I am going to talk a little more in detail
16 about most of these aspects on this slide.

17 [Slide]

18 In terms of regulatory requirements, for
19 manufacturers of biological products there are basically
20 four major regulatory requirements that must be satisfied
21 during product development, and they are shown on the slide:
22 product safety, product characterization; demonstration of
23 control of the manufacturing process; and demonstration that
24 you can reproducibly and consistently produce product lots.
25 Especially for cellular therapies, it is going to be

1 important to address issues like proper dosing in order to
2 get efficacious effect. So, again, I am going to be talking
3 a little bit more about each of these four areas in detail
4 in the next few slides.

5 [Slide]

6 In terms of product safety, we are talking about
7 sterility testing, mycoplasma testing, pyrogenicity and
8 endotoxin, as well as freedom from adventitious agents. It
9 is important to note that, again, safety is a major concern,
10 product safety in particular. So, we have the least amount
11 of flexibility with regard to when during an investigational
12 phase a sponsor is going to have to perform this type of
13 testing. So, obviously, it needs to be in place by Phase I.
14 However, the agency is somewhat flexible in the types of
15 tests that must be done to satisfy these requirements.

16 [Slide]

17 The second area of regulatory requirements for
18 biological products and the manufacture of them is something
19 we call product characterization. We are talking about
20 assessments of potency, identity, purity as well as product
21 stability. For cellular and tissue-based products,
22 stability is sometimes a critical factor. When I talk about
23 stability I am talking about the ability to maintain a
24 viable and functional product from the point of the
25 manufacturing site to the clinical site. It could be

1 hundreds of miles; it could be a day or so. So, you need to
2 provide us some data that shows that this product is going
3 to work once it gets to the recipient.

4 Another issue for cellular and tissue-based
5 products -- I alluded to viability but, of course, cell
6 number or the amount of tissue available is also important
7 because often for cellular and tissue-based therapies you
8 must have a certain amount of tissue in order to get its
9 expected clinical effect. This also goes in hand with the
10 development of specifications. So, as you gain more
11 experience we expect that you will tighten your
12 specifications based on data.

13 [Slide]

14 The third area of regulatory requirements for
15 manufacturers is control of the manufacturing process. This
16 is especially important with cellular and tissue-based
17 products since they are generally complex mixtures of
18 several different cell types. So, control is going to help
19 assure product consistency and safety.

20 The first bullet here is labeled cell bank
21 characterization, which clearly is not necessarily
22 applicable to islets at this point but at some point in the
23 future it may well be, and since we are talking about
24 general biological standards cell bank characterization
25 would be an important part of control of the manufacturing

1 process.

2 Characterization of final product by testing for
3 safety, identity, purity, potency, viability as well as
4 others is going to help ensure that the product will meet
5 specifications for lot release and is accepted for clinical
6 use in humans.

7 Qualifying ancillary products, that is, those
8 products such as enzymes or serum products, is another
9 important consideration in assuring the control of the
10 manufacturing process. For example, inadequate testing of
11 ancillary products has resulted in the contamination of the
12 final product with adventitious agents such as viruses which
13 were present in the serum and enzyme preparations from
14 animal sources. So, we certainly are very aware of that
15 issue as well.

16 [Slide]

17 The fourth area is something that I alluded to
18 earlier, the demonstration of reproducibility and
19 consistency of product lots, which is really encompassed by
20 what we refer to as current good manufacturing practices or
21 GMPs. So, a definition of GMP is a set of current,
22 scientifically sound methods, practices or principles that
23 are implemented and documented during product development
24 and production to ensure consistent manufacture of safe,
25 pure and potent products. So, GMPs play an important role

1 in control and regulation not only of the product but all
2 steps of the manufacturing process. Adherence to GMPs
3 provides for quality and safety throughout the process and
4 will ultimately lead to a reproducible and consistent
5 performance of the product lots.

6 [Slide]

7 Some elements of the GMPs are shown on this slide.
8 Elements of GMPs include detailed record-keeping,
9 development of written procedures such as standard operating
10 procedures or SOPs; institution of a formal quality control,
11 quality assurance program, validation which includes assay
12 validation, equipment validation and process validation as a
13 few examples. GMPs also require a program for certification
14 and training of personnel, as well as for environmental
15 monitoring.

16 [Slide]

17 So, for the past several slides I have probably
18 hit you with a lot of information about these four major
19 areas in terms of manufacturing, and I am going to try and
20 summarize this in a graphical slide that hopefully will not
21 be too overwhelming. We are trying to convey a lot of
22 information here but essentially this is what we call a
23 step-wise approach, depending what phase of the
24 investigation you are at. So, the step-wise approach
25 involves a progressive scale of requirements for product

1 characterization and compliance with GMPs which is going to
2 increase as the setting moves from early phases towards
3 Phase III.

4 But I also want to point out that even prior to
5 Phase I you are going to have to have your product safety
6 testing and some basic characterization information already
7 in place before you are going to be allowed to go into
8 humans. So, we recommend that by Phase III you are fully
9 adherent to both full characterization as well as full GMPs
10 in the manufacturing process.

11 When I am talking about the step-wise approach I
12 am talking about refinements in the manufacturing process.
13 We don't expect that you already have a manufacturing
14 process initially developed, but you are going to refine it;
15 you are going to improve your assays; your specifications as
16 well as addressing facility issues.

17 **[Portion not recorded due to electrical**
18 **interference.]**

19 [Slide]

20 So, three major areas include pancreas
21 procurement, such as methods for harvesting and handling;
22 processing of the pancreas and islets and how demonstrate
23 control and consistency of manufacturing; appropriate
24 characterization of islets such as demonstration of safety,
25 identity, purity, potency, viability and others as needed.

1 I am going to talk about each of these specific three areas
2 in more detail in the next few slides. But the real point I
3 want to emphasize here is that the agency believes that you
4 must have control over each of these three areas, beginning
5 with pancreas procurement through release and transport of
6 islets from a manufacturing site to the clinical site.

7 [Slide]

8 The first step in the manufacturing of allogeneic
9 islets begins with the procurement of a pancreas. As with
10 any allogeneic product, testing of the donor for
11 adventitious agents is required to prevent the spread of
12 communicable diseases to the transplant recipient. In many
13 cases, if not all, pancreata are harvested with the intent
14 to perform whole organ transplant, therefore, the organ
15 harvesting, handling and preservation methods typically used
16 may not be suitable for successful isolation of islets since
17 islets are known to be more sensitive to ischemia and other
18 handling aspects, such as time and temperature, than are
19 whole organs.

20 In addition, each pancreas, like its donor, is
21 unique. There will be differences in organ age and size
22 which may or may not impact the ability to obtain sufficient
23 yields of islets, and there may also be other undefined
24 parameters that could impact islet quality. So, procurement
25 issues certainly represent safety concerns but also

1 manufacturing consistency concerns as well since a poor
2 organ is going to yield a poor islet preparation.

3 [Slide]

4 The processing of the pancreas into islets is
5 another area of regulatory concern. Islets are going to be
6 obtained from pancreata by partial digestion of the organ
7 through a combination of enzymatic digestion with highly
8 purified collagenase combined with mechanical dissociation.
9 So, islet yield is going to depend, again, on organ age,
10 organ size, as well as the duration and the conditions of
11 the dissociation used. Thus, the islets isolated from each
12 organ will differ somewhat in yield, size distribution and,
13 depending on whether they are over-digested or under-
14 digested, overall viability and function may also be
15 impacted. The final composition of the islet preparation is
16 also going to depend on what additional purification steps
17 are used and how that purification is done, and whether or
18 not culture is done as well. Obviously, if enzymatic
19 activity from one batch to the next is different the product
20 quality is going to be affected. So, this points to the
21 need to completely understand and control all aspects of the
22 manufacturing to ensure that a reproducible process is used
23 to ensure a consistent product. So, islet processing
24 encompasses the control of the manufacturing process and
25 consistency and reproducibility. However, safety can also

1 be impacted by improper processing such as introduction of
2 microbial contamination or other adventitious agents.

3 [Slide]

4 Finally, islet characterization, like any cellular
5 or tissue-based product, is going to be important to be able
6 to demonstrate that you can safely and reproducibly
7 manufacture the therapeutic product. This is typically done
8 by characterizing the product and establishing
9 specifications for lot release based on those
10 characterizations. That is, the final product must meet
11 certain parameters in order to be used clinically. This
12 includes what safety testing has been performed, like
13 sterility and mycoplasma and endotoxin. How do you know
14 that you have islets? What kinds of identity testing has
15 been done and which ones are appropriate for islets? We
16 know islet preparations are very complex mixtures so
17 identity is a concern -- what else is present in the islet
18 preparation? And, that goes back to purity as well as,
19 again, islet versus non-islet components. How do you know
20 the islets are functional and viable? Again, those are
21 critical issues not only for making sure the islets are
22 going to work but if they are functional and viable when you
23 finish manufacturing them, they still need to get to the
24 recipient. So, shipping, again, is another issue and that
25 is called product stability. Finally, do you know if you

1 have enough islets to give the intended effect? Clearly, a
2 cellular and tissue-based product requires a minimum number
3 of cells or tissue to give the clinical effect. An equally
4 important question might be what if you have too much? Is
5 that an issue? Is that a concern?

6 [Slide]

7 In summary, basically, as I said, the goal of this
8 talk was to describe the regulatory review process which we
9 employ here, in the Center for Biologics, for cellular and
10 tissue-based products, and the take-home message is that we
11 believe that manufacturing of allogeneic islets begins at
12 the stage of procurement of the organ and continues all the
13 way through processing, final lot release and transport to
14 the recipient.

15 So, in summary, the FDA would like the advice of
16 the BRMAC about procurement, processing and appropriate
17 testing and characterization of allogeneic islets that will
18 ensure patient safety and demonstrate control and
19 consistency of the manufacturing. That concludes my
20 presentation.

21 DR. SALOMON: Thank you, Darin. I won't ask for
22 questions at this point since I think Darin's whole point
23 was to introduce the questions that we will actually spend
24 the rest of the afternoon on. So, if there are questions
25 and I certainly hope there are, that is the whole focus of

1 this afternoon's session.

2 At this point, by protocol, I would like to remind
3 our audience that this is a public hearing. We have not had
4 any formal requests for presentations from outside but you
5 are more than welcome at this point to come to the mike and
6 address the committee on anything that is pertinent to the
7 questions Darin has outlined. So, I would like to take a
8 second at this time to invite anyone from the audience who
9 would like to make public comment.

10 [No response]

11 Okay, I would also like to say that in the
12 interest of continuing this as a public meeting, if during
13 the evolution of the discussions that go on now someone from
14 the public would like to come up, I would like to encourage
15 you. I don't want anyone to feel uncomfortable. The idea
16 here is to be inclusive and certainly not exclusive. So,
17 please feel free to join in. Make sure that I recognize
18 that you are there and come to the podium.

19 **Committee Discussion**

20 If it is okay, then I would like to start with
21 this afternoon's questions. I have a document and I would
22 like to make sure that we are all talking about the same
23 thing. It is entitled background information and it
24 consists of six pages -- Oh, I got some things in one
25 format; I didn't realize that it came to the committee in

1 another. Let me repeat, it is under pancreatic islet
2 product questions, and the first question is organ quality -
3 - source material for islets. Let me put it this way, if
4 anyone on the committee doesn't have that in front of them,
5 I might suggest that you notify us, raise your hand and Gail
6 and her staff will make sure that you get it. I am going to
7 assume everybody has it in front of them.

8 So, to initiate the first one, I think that there
9 is a piece of briefing that I have that I would like to read
10 briefly, just because I think it is a good intro into where
11 we should go in this set of discussions on organ quality.
12 This is an FDA impression so if you, guys, don't go with
13 this then your comments are appreciated. Historical data
14 from the International Islet Transplant Registry in
15 published sources indicate that certain practices in
16 pancreas procurement, handling and organ allotment are
17 detrimental for preparation of suitable islet preparations.
18 The FDA is concerned that these practices may result in the
19 use of substandard pancreata resulting in substandard and
20 potentially inconsistent islet preparations. So, to ensure
21 high quality islet preparations can be consistently made,
22 the FDA would like discussion on the point that only the
23 highest quality pancreata should be used.

24 We sort of got a little bit to that when I queried
25 you. You might now know where I was going with that

1 question. When Dr. Shapiro presented the data from Edmonton
2 he was talking about a percentage of pancreata that were not
3 suitable for whole organ pancreas transplantation and I was
4 trying to get you to tell me what percentage. So, keep that
5 in mind as an issue. I also would like to note discussions
6 I have had with Camillo Ricordi and Bernhard Hering about
7 the current practice of how, in the actual operating room,
8 pancreas is procured with respect to multi-organ
9 procurement. I believe, and they believe, that that also
10 institutes a significant problem for the quality of the
11 pancreata available currently for processing of islets. So,
12 that is all I wanted to say on that.

13 Let's turn to this first topic. Based on data in
14 the International Islet Transplant Registry, most
15 investigators recommend that in addition to standard
16 infectious disease screening pancreata be excluded from use
17 for clinical preparations of islets based on the following,
18 and I won't read them but it is a list of donor age, warm
19 ischemia, cold ischemia, history of diabetes, serum lipase,
20 etc.

21 So, the first thing that they would like us to
22 discuss and provide recommendations on is regarding the
23 appropriateness of each of these exclusion criteria, which I
24 guess are up here now. For example, are restrictions on
25 minimum and maximum donor age appropriate? I would also

1 welcome, following the line I already started, discussions
2 about how whole organ retrieval affects the quality of the
3 pancreas as well. So, I am going to open this now to the
4 committee. Mr. Benedi?

5 MR. BENEDI: Yes, my question is for the whole
6 committee. I was taking notes through the presentations
7 this morning, and it deals with what you just mentioned,
8 given that there are so many people on the waiting list for
9 whole organ transplants and given the quality of the organ
10 that needs to be harvested to have, like you say, islets
11 that are good enough for transplantation and for them to
12 work, what criteria are going to be used to take one from a
13 recipient who would receive a whole organ and decide it
14 would be used for islets only?

15 My other point too is that if this is the case, I
16 think one of the studies pointed out that islets that have
17 been used for transplantation aren't as protected from
18 immunosuppressant drugs as if they were inside the whole
19 organ. I think it was your study that pointed that out.
20 So, are we exposing the islets to harm by the
21 immunosuppressant drugs and jeopardizing those who could
22 receive a whole organ, and are we missing an opportunity to
23 save lives?

24 DR. SALOMON: We have had a number of discussions
25 about how to separate the discussion in two days, and I

1 apologize to everyone that you take a two-day discussion of
2 one subject and you split it up. Obviously, that is
3 artificial. So, my apologies. Try to make a judgment here.
4 The judgment we made was that today we are going to talk
5 about the product on the way to preclinical -- safety,
6 quality, manufacture. Tomorrow we are going to start with
7 discussions of preclinical animal models and go on to
8 specific questions about immunosuppression, etc. Now, I
9 know that every time we get into this the conversation is
10 going to evolve and we are going to but up against this
11 clinical and you, guys, are going to be mad at me for
12 stopping you there, but I will a little bit, but not a lot.

13 So, the questions you asked were wonderful. Save
14 them for tomorrow because those are two issues that are
15 critical in how you go toward a clinical trial and who is an
16 appropriate candidate, and that specific question will be
17 addressed again tomorrow.

18 MR. BENEDI: My apology, I thought you were
19 alluding to that difference of whole organ as not islets. I
20 apologize. I will save it for tomorrow.

21 DR. SALOMON: Yes, Carole?

22 DR. MILLER: As an oncologist, I have a question
23 about the malignancy. Is that active malignancy or history
24 of? Pancreas is a relatively uncommon site of metastases
25 for most non-GI malignancies, except for lymphoma of course,

1 but does this exclude anybody with skin cancer or with a
2 glioblastoma who has no chance of having any metastases to
3 the organ? And, I understand that that generally reflects
4 the policy of anybody with a history of malignancy, but is
5 that really necessary in an organ such as the pancreas?

6 DR. SHAPIRO: We used exactly the criteria as for
7 any whole organ transplant. So, if there is a history of
8 malignancy that is limited to the brain, which has zero risk
9 of systemic metastasis, we would accept that. For whole
10 organ liver, kidney, whole pancreas transplantation, then we
11 would use the same organ for other transplant. But I don't
12 think we would compromise whatsoever in terms of using an
13 organ that might potentially risk transmission of malignancy
14 to a recipient because it is just an islet.

15 DR. SHERWIN: I wasn't here earlier so I may be
16 off base but I was sort of surprised that the cut-off begins
17 at 14. I would have guessed that it would be easier to
18 isolate islets of people that were younger, and I would be a
19 little bit concerned, although I am not an expert, that the
20 older you get the harder it might be to isolate islets. So,
21 I would have thought that the lower end would have been
22 lower and the high end might be lower as well. So, I am
23 just curious about that.

24 DR. SALOMON: Camillo?

25 DR. RICORDI: I think that is our proposed cut-off

1 for some of the pilot trials using allo islets for
2 transplantation, and are mainly related to the consistency
3 to achieve purified islet preparation with well-cleaved
4 islets. As you go to younger and younger donors you have a
5 higher incidence of less cleaved or mantel islets and it can
6 be more difficult to purify the tissue. But I actually
7 wouldn't exclude it at all. I think there will be a whole
8 new set of transplantations using no lower age limit.
9 Actually, we are actively investigating in this direction
10 because you have a much higher potential for regeneration
11 and you may have to decrease very much the need for
12 purification because you may have the total volume of islets
13 that you may have to infuse. It actually could be within
14 the safety range to avoid portal hypertension.

15 DR. SHERWIN: Yes, I would assume that your method
16 was set up for adults. It is sort of like when you deal
17 with different strains of mice or rats, you have to change
18 your isolation procedures for that specific situation. I
19 assume if you focused originally on adult islets, using the
20 same techniques might not work as well in children, but I
21 would think -- just the point you are raising, that it is
22 more likely that you won't need the same level of purity and
23 you may have the opportunity to promote regeneration. I
24 would think it would be a big mistake, personally.

25 DR. RICORDI: I completely agree and, actually,

1 the automatic method is set to disperse cluster of cells
2 from any pancreas, and it actually works better on young
3 donors than on older ones. But it is the purification steps
4 -- you may have to do modifications in the next steps of
5 purification but tissue dispersal is actually ideal for
6 young donors and I completely agree with your comment.

7 DR. SALOMON: Jeff?

8 DR. BLUESTONE: Camillo, one of the other reasons
9 why I would imagine the younger the donor is potentially
10 more problematic is how many islets you can get out of a
11 donor who is younger. Is that something that is perceived
12 as being problematic, or are there more than enough islets
13 in the youngest donors?

14 DR. SALOMON: Bernhard, do you want to answer
15 that?

16 DR. HERING: Well, it is not only the number of
17 islets; the insulin secretory capacity is important and
18 there is more data indicating that the insulin secretory
19 capacity is strikingly different with age, and any donor
20 older than 45 or 50 may show a decreased insulin secretory
21 capacity and no proliferative capacity. There is one
22 document in the literature, one patient received islets from
23 a 2.5-year old donor pancreas and this was actually the
24 first patient who ever became insulin independent 12 months
25 after transplantation, presumably after some proliferation.

1 Of course, there is no direct evidence to support this.
2 Basically, we don't know but experimental data indicates
3 that insulin secretory capacity is determined by the age of
4 the donor. That is why I would rather favor a younger donor
5 than an older donor.

6 DR. SALOMON: Bernhard, I just have one question.
7 Part of what I think we are asking is what kind of data do
8 we have right now for the number and the mass of islets as a
9 function of age from, let's say, a 2-year old to a 14-year
10 old?

11 DR. HERING: The available information would
12 suggest that the number of islets you can get per donor
13 pancreas is much higher with an older pancreas, the total
14 number of islets that you can isolate.

15 DR. SALOMON: Just to be clear now, the total
16 number you can isolate or the total number if you sectioned
17 the pancreas and calculated the number of islets by volume?

18 DR. HERING: In addition to the number, the
19 configuration of islets is different. In a younger pancreas
20 you may have single cells scattered throughout the pancreas.
21 With increasing age you have well-defined, solid islets
22 present in the pancreas that can be isolated using
23 techniques that have been developed, and can be purified
24 with available purification techniques.

25 I completely agree with what Dr. Ricordi mentioned

1 before, the techniques must be adjusted but it is basically
2 possible to proceed with younger organs if you adjust the
3 techniques.

4 DR. SALOMON: Dr. Sherman?

5 DR. SHERMAN: Another issue, history of diabetes -
6 - I assume that means Type 2 perhaps because obviously it
7 will be easier to pick up Type 1. But about a third of the
8 people in the United States have Type 2 and don't know it.
9 So, it is conceivable that you may end up with people
10 without a history of diabetes who actually have Type 2
11 diabetes. So, I think it is important to think about that
12 in the equation and to take that into account. I recognize
13 that a lot of the donors have stress and their glucose
14 levels may be elevated at the time you remove the pancreas
15 but I think some consideration has to be -- you know, just
16 put in a check mark to think about that issue because there
17 are a lot of people in this country that do have Type 2
18 diabetes and it is occurring at a younger age now than ever
19 before. So, I think, particularly in the obese population,
20 particularly when you are dealing with high risk
21 populations, it is something that one should consider.

22 DR. HERING: The beta cell itself may be intact to
23 a point where you can transplant and succeed.

24 DR. SHERMAN: That is right but these islets may
25 not be as good. In other words, when you are subjecting a

1 patient to a procedure you want the optimal outcome. You
2 know, we have never really studied mild Type 2 diabetic
3 islets. Obviously, part of the problem with beta cell
4 function relates to the metabolic milieu that the islets are
5 placed in, but it may be more complex than that. For
6 example, they have amyloid deposits in the islet; there are
7 other things that may, to some extent, interfere with
8 function and it is conceivable too that the capacity of
9 those islets -- we don't know enough about diabetes to know
10 whether they are really normal islets. So, I think it is
11 important to think about, and if I was being transplanted I
12 would not want to have islets from somebody with mild Type 2
13 diabetes.

14 DR. HERING: Well, I think I couldn't agree more
15 with your point. One strategy that has been discussed today
16 and has also been detailed in the briefing material that we
17 received is to start with the highest quality of donor
18 pancreata, then establish the principle feasibility and
19 document the benefits is probably the right strategy to
20 take, and once you have established this you may discuss how
21 to extend donor criteria. I think this is a reasonable
22 approach.

23 DR. SALOMON: Camillo?

24 DR. RICORDI: Yes, I believe this is an important
25 difference to make, that some of these criteria are selected

1 based on the fact that we have to do an initial pilot trial
2 and prove the principle of equivalence like with the results
3 that can be obtained with a pancreas transplant. Then, it
4 is important not to introduce new, untested variables that
5 may lead to failure of your initial trial. But I would
6 never exclude a mild Type 2 pancreas in the absence of
7 severe beta cell dysfunction as a potential alternative
8 source of insulin-producing tissue for expanding the number
9 of organs available from human donation sources. I would
10 keep the two issues separate -- what we need in the
11 beginning to test and what could be the potential of other
12 sources.

13 DR. AUCHINCLOSS: I think it is important to
14 recognize that organ procurement agencies have worked out a
15 far more detailed list than this of criteria for what would
16 be a reasonable pancreas donor for whole organ pancreas.
17 That includes things like how high does the blood sugar go
18 under periods of stress, etc. I would simply adopt in
19 general terms those guidelines for which are acceptable
20 pancreases and then turn to the experts on islet isolation
21 and ask what additional features would lead you to not want
22 a pancreas, or what things that we exclude a pancreas for
23 whole organ transplantation do you not feel need to be
24 exclusion criteria.

25 DR. RICORDI: In some cases this could highlight

1 areas where you can access organs that are not suitable for
2 whole organ but would be suitable for islet separation, like
3 in the case of whole organ transplant high BMI donors or
4 like obese donors are not considered generally suitable
5 because of the peri-pancreatic fat that could lead to
6 complications. I understand your concern. The problem is,
7 is this a non-diagnosed Type 2 patient, but experience-wise
8 some of the best islet preparations can actually be obtained
9 by high BMI kind of donors. So, we may highlight some
10 categories of donors that are specifically suitable for
11 islets without creating competition with allocation of
12 organs for whole organ transplants.

13 DR. LEVITSKY: It sounds to me like you are
14 isolating islets from OB mice or something like that. They
15 are probably quite large. I guess I would like some data
16 which I haven't heard. You are talking about all the
17 criteria for age and body weight, but has any laboratory
18 that has really experienced a human islet transplant
19 actually plotted the data? Have you got it plotted so you
20 know the correlation with age and the correlation with
21 transplant, and if you do have a younger age but that means
22 you get fewer islets and smaller ones but they have more
23 progenitor cells, is that better or worse?

24 DR. LAKEY: In 1996 our group in Edmonton
25 published a paper in Transplantation reviewing the variables

1 in the multi-organ donors that affect our ability to isolate
2 the islets. There were six factors that were deemed to
3 significantly affect your ability to isolate the islets.
4 Donor age, body mass index, and the blood glucose in the
5 donor prior to death were all positively correlated with
6 islet isolation recovery. Duration of cardiac arrest, who
7 actually did the procurement and the duration of storage
8 prior to islet isolation were identified as negative factors
9 precluding islet isolation.

10 I guess other factors that we have identified
11 include hospitalization stay in excess of four days, the
12 uncontrolled blood glucose which I mentioned and warm
13 ischemia. If there is any warm ischemia, it very much
14 affects our ability to isolate the islets.

15 DR. LEVITSKY: Can I just ask, that means that if
16 you have a higher BMI or you are older it is easier to get
17 the islets or harder?

18 DR. LAKEY: Yes.

19 DR. LEVITSKY: It is easier to get the islets?
20 Are those better islets or are they not better islets?

21 DR. LAKEY: No, in our study we looked at the
22 functional viability of those islets, and we found a
23 significant decrease in the functional viability of the
24 islets isolated from those donors less than 18 years of age
25 as compared to those donors that are greater than 50 years

1 of age. So, the islets from the younger donors had a higher
2 stimulation index compared to the islets from the older
3 donors, yet, the ability to recover the islets was higher in
4 the older donors as compared to the younger.

5 DR. LEVITSKY: Well, me thinks I hear a paradox.

6 DR. BLUESTONE: I was actually going to ask about
7 a paradox too, although I can ask but I can't talk about it
8 today, until tomorrow --

9 [Laughter]

10 -- so, what I am hearing is that Hugh, perhaps
11 being the most extreme, says let's put the most stringent
12 criteria possible on the donation, getting the best possible
13 pancreas to work with without necessarily knowing that all
14 of those criteria are critical for whether you are going to
15 get good islets out the other end or not, and that just
16 abuts us then right up against the issue of when do you do
17 pancreas transplant and when do you do an islet transplant,
18 which I am not allowed to talk about until tomorrow.

19 But, I think if we are going to have a discussion
20 of what the criteria are for islets we have to keep in mind
21 the fact that the more stringent the criteria are to make it
22 look more like what the criteria are for a pancreas
23 transplant, the further those two areas in transplantation
24 abut each other. We might at least want to be careful not
25 to make the restrictions so stringent if we don't have the

1 data, such that we force a reduction in the number of
2 available pancreases rather than making it the most
3 appropriate pancreases.

4 DR. SALOMON: That is a good point. To Dr.
5 Levitsky, I think one of the things you have to understand
6 is that the mechanical, enzymatic processes involved in
7 isolating these cell clusters could be favorable in an older
8 pancreas, right? But that doesn't mean that clusters that
9 come out are as functional as in the younger pancreas.

10 DR. LEVITSKY: Well, that was actually the point I
11 was trying to make. I mean, there was a reason why OB
12 islets were isolated first. Because you have insulin
13 resistance, you get a big, fat islet but that doesn't mean
14 it is a better islet. I am concerned that by setting these
15 limits you may be isolating islets that look great but do
16 they work great? I don't know. It sounds like you say they
17 don't work as well.

18 DR. SHAPIRO: It would be important to emphasize
19 that the multivariate analysis that Dr. Lakey carried out
20 was carried out with older collagenase enzyme blends and
21 now, with the availability with liberase, that may change
22 somewhat the characteristics of an ideal organ donor. So,
23 we shouldn't necessarily exclude donors based on those
24 previous characteristics. Would you agree?

25 DR. LAKEY: Yes.

1 DR. RICORDI: And, also the testing to assess
2 functional integrity of younger islet preparations may not
3 be adequate as they are for more adult islet preparations
4 because, for example, if you go to the extreme of fetal
5 islets they may require four months or three months in vivo
6 before they start properly functioning, and if you go to
7 neonatal islets and younger islets, they are surrounded by a
8 ring of exocrine tissue and when you put them in an in vitro
9 infusion system they might not be as responsive to glucose
10 variation as you would expect from any adult islets. So, I
11 wouldn't buy completely that younger islets are less
12 powerful than adult or older islets. I actually think that
13 will become a very important source in the future of
14 insulin-producing tissue for transplantation.

15 DR. CHAMPLIN: Is there any test that you can do
16 in vitro to assess the functional viability of the cells,
17 other than trypan blue? I mean, some way to test the
18 quality of the islets that have been collected.

19 DR. SALOMON: Before you get into that, I think
20 that is going to come up in a few minutes. It is a perfect
21 question, it is just going to come up in a minute or two.
22 Carole?

23 DR. MILLER: Is there any evidence that high dose
24 Decadron to the donor, as treatment of increased
25 intracranial pressure, damages the pancreas at all?

1 DR. SHAPIRO: I have no evidence.

2 DR. SHERWIN: I bet it does though because it does
3 interfere with beta cell function. Even though you make
4 more insulin, it actually is an inhibitor, has an inhibitory
5 effect on beta cell function and the likelihood is that it
6 is not good.

7 DR. MILLER: Well, that could be a reason for the
8 increased blood glucose having an adverse event because that
9 could be a marker potentially for Decadron, or stress -- a
10 lot of these people -- you get them from patients who are
11 brain dead because of a closed head trauma, etc. and my
12 understanding at least, and I am not this type of
13 transplanter, is that many of those patients actually get
14 Decadron.

15 DR. SHERWIN: It still is making it very insulin
16 resistant which increases your insulin production but, at
17 the same time, it is negative on the beta cell as well and,
18 consequently, the compensatory response is not as good as it
19 should be.

20 DR. AUCHINCLOSS: My first question is about the
21 warm ischemia. You mean ischemia prior to the onset of
22 cooling? How are you using the word warm ischemia here?

23 DR. LAKEY: At the time of procurement.

24 DR. AUCHINCLOSS: Again, before or after cross-
25 clamping?

1 DR. LAKEY: Before cross-clamp in the concept of
2 non-heart beating donors.

3 DR. AUCHINCLOSS: So, non-heart beating donors
4 have not been good donors in your experience?

5 DR. LAKEY: That is correct, from our limited
6 experience.

7 DR. AUCHINCLOSS: Jeff's second point, these
8 criteria that exclude pancreases for whole organ pancreas
9 are really not all that stringent. They had to do with high
10 blood sugars in response to stress and evidence of active
11 pancreatitis, things that by and large the islet people
12 would want to exclude also.

13 Camillo brought up one example which I thought was
14 quite fascinating, the moderately obese people who are
15 candidates for islet isolation who are often turned down for
16 whole organ pancreas transplantation. I agree with you, it
17 would be nice to identify more of those but I have a fear
18 that we won't be able to hear of more examples of organs
19 that are turned down for whole organ pancreas that the islet
20 isolators would like to have. Are there any?

21 DR. RICORDI: Well, younger donors, but now this
22 limit keeps getting less and less defined. It used to be
23 that less than 15-year olds were not considered for whole
24 organ but now more and more centers are using younger and
25 younger donors also for whole organ transplants.

1 But I think you made an important point on the
2 warm ischemia because the only warm ischemia that we have on
3 records from pancreas procurement procedures is at the
4 cross-clamp time, but we don't have any information on
5 actually how long the pancreas has been sitting there maybe
6 without local cooling before it was actually procured.
7 Those are all potentially very important variables in
8 improving the quality of the pancreas available.

9 DR. AUCHINCLOSS: I agree that they are important
10 variables. Just recognize that the term warm ischemia in
11 the transplantation and organ procurement business does not
12 ordinarily refer to the time prior to cross-clamping when
13 there is warm ischemia or down time. It doesn't apply to
14 the time after cross-clamp to organ removal. It refers to
15 something quite different at the other end of the operation.
16 So, if you start looking for "warm ischemic" times you might
17 get data that has nothing to do with what you want. I agree
18 that there is a whole lot of data that you would like to
19 have.

20 My very last point on this subject I think is a
21 general statement but, again, I would challenge the
22 committee to disagree with this. That is, there is no firm
23 evidence that leads us to know of any criterion that you can
24 use to predict which islets are better for human
25 transplantation and which ones are not.

1 DR. SIEGEL: I seem to recall somebody stating
2 this morning that lacking significant numbers of patients
3 with durable insulin independence or other outcome measures
4 you really can't, at this point, validate what will predict
5 that. You might look at what will predict numbers or
6 insulin secretion but not that.

7 DR. SALOMON: It is always hard to know how long
8 to let these discussions go on to try to get to five other
9 questions this afternoon, but I think we have generally
10 heard a pretty good discussion of this first point. Would
11 it be fair to summarize this by saying that in general we
12 hear the idea that you have to set some sort of limits
13 perhaps at this early time so that based on your empirical
14 experience up until now these ranges of excluding under 14
15 and excluding over 60 represents your best in the lab
16 experience right now with getting fairly reasonable quality
17 islet preparations, but that there continues to be concern,
18 I think on everybody's part including the islet purifiers,
19 that there may not be such rigid reasons not to go under age
20 14 or to go over.

21 Yet, to temper those, we have heard from others
22 suggesting that as one stretches the limits on either side
23 of these criteria guidelines for the product may actually
24 change. So, you might need a certain set of guidelines for
25 islets from donors over the age of 50 and another set

1 entirely for those under the age of 14.

2 So, would that be a pretty good summary of this
3 first part of the discussion? Again, I am only summarizing
4 for the sake of the FDA staff. So, please correct or add.

5 DR. BLUESTONE: Well, what I heard from Hugh and
6 over here in the last comment is that one has to be careful
7 that the criteria, whatever they are, aren't so arbitrary as
8 to be limiting. It is the same comment as I made before,
9 that you need to have a good reason to set criteria and if
10 there really isn't a good reason, then one has to be careful
11 because whatever criteria you set will place on the
12 community a set of restrictions. So, I haven't heard any
13 compelling argument yet for the age criteria, period. I
14 have actually heard arguments on both sides. So, it is not
15 obvious to me why you would set the age criteria if there is
16 no data to support it.

17 DR. SALOMON: I specifically was saying that there
18 was no arbitrary reason for either of these age limits so I
19 agree with you. And, I think we have to be careful there; I
20 don't think we have to worry so much today that the FDA is
21 going to leave here with a set criteria and everybody's
22 going to have to deal with them. I think that what we are
23 trying to do today is give them some guidelines, give them
24 some discussion. I think we are telling them that you could
25 set some criteria in the first studies based on the evidence

1 of the people who have been doing it, that they are at least
2 comfortable within these criteria but that there are
3 certainly good reasons to think that we could go the full
4 gamut of ranges, that there is no absolute here.

5 DR. SIEGEL: In addressing this, I would like to
6 clarify something. It is correct that we are not eager to
7 set criteria in areas where there are no data, and it may
8 well be that approaches that are not currently considered
9 the norm are, in fact, the optimal approaches, but
10 underlying this question, perhaps not quite as explicitly
11 clear, are a couple of areas of concern that we do want to
12 make sure are addressed. One is in order to move from a
13 state of lack of knowledge to a state of knowledge a couple
14 of things have to be decided, and one is that we need to
15 know what sorts of things need to be routinely measured. To
16 some extent, the reason we don't know about all of this
17 impact is that not everybody is measuring the same things
18 the same ways.

19 I guess the other issue, sort of implied by Hugh's
20 comment, is that if you are going to do an experiment to
21 look at one or two factors you probably want to keep the
22 other factors relatively standardized in an area that you
23 think is relatively optimized so you can get good data on
24 those factors.

25 So, we are trying to get a feeling for are these

1 the right factors and are there others. I heard about body
2 mass index, for example. Is that data that should be
3 collected, and what are norms of practice, more than what is
4 the only allowed practice at this point in time?

5 DR. MILLER: From what we heard this morning, the
6 plan for this is to look at reproducing initially some very
7 good data that, regrettably, we didn't get to hear about
8 this morning. So, I think the test that is going on now is
9 to see whether you could take one center or institution to
10 go to multiple other centers. So, I think it is imperative
11 that you don't change two things at once. So, you have to
12 use for whatever is initially going out the same criteria
13 for the donor selection that were used in what is the best
14 available data. It would be different if you had 100
15 percent insulin independence and you could say, okay, now we
16 are going to whittle it down. But I think for the first
17 experiment you have to try and reproduce as closely as
18 possible what has worked in the past and then, if it works
19 in the centers you could say, okay, what don't we need. So,
20 I would caution at least initially of changing anything
21 about what was done.

22 DR. AUCHINCLOSS: Can I make the comment that we
23 who were participating in that trial feel very strongly that
24 that is true. So, we will, in fact, try to emulate what
25 Edmonton is doing for its isolation protocol. That makes

1 sense as a trial. But the FDA is trying to set guidelines
2 that are more general than the first trial, and the only two
3 criteria that make sense to me beyond standard organ donor
4 criteria are that the islets should look like they probably
5 don't come from a Type 2 diabetic and that there not be
6 substantial pancreatitis because I think those guys would
7 tell you that they can't get islets out. Now, if they tell
8 me otherwise we will drop that one.

9 DR. SHAPIRO: These are guidelines for optimal
10 islet isolation and, clearly, donors should not be excluded
11 if they fall outside of these limits and we have a number of
12 donors that we used for transplantation successfully, in
13 Edmonton, the oldest of which was 71 years old. I mean, we
14 wouldn't expect to get good islets from that but we did.

15 DR. AUCHINCLOSS: Is pancreatitis okay?

16 DR. HERING: Pancreatitis is certainly okay. The
17 islet autografts are successful because we can say they are
18 isolated from a pancreatitis pancreas. Those islets
19 definitely work.

20 DR. AUCHINCLOSS: It turns out there is another
21 one out there where you can get some organs that the whole
22 organ people don't want.

23 DR. SHERWIN: That is graft pancreatitis, isn't
24 it? When you do autotransplantation, that is chronic
25 pancreatitis. If you have acute amylase elevations you are

1 talking about acute pancreatitis.

2 DR. HERING: This amylase may be related to the
3 administration of starch as part of the treatment of the
4 donor in the ICU, and not because of pancreatitis.

5 DR. SHERWIN: Sure, it is a non-specific test.
6 Right. But the issue is whether acute pancreatitis would be
7 a problem, not chronic.

8 DR. RICORDI: We have cases of the graft
9 pancreatotomy with acute pancreatitis where we extract
10 islets from a pancreas with acute pancreatitis and infuse
11 them in that patient and they function immediately.

12 DR. SHERWIN: It is amazing actually --

13 DR. RICORDI: Actually, it is helping the
14 digestion. If you think what we are doing in the process,
15 we are infusing an enzyme blend --

16 DR. SHERWIN: Well, it helps the digestion,
17 surely, but the problem is you have all these cytokines that
18 are released --

19 DR. HERING: But maybe this is a good mechanism as
20 well because islets have faced inflammation already and have
21 built up defense mechanisms and may survive even better.
22 So, you can argue either way. There are no data to support
23 this. I guess that is why the statement has been made over
24 and over again that we should not limit ourselves too much
25 but for any given clinical trial I think it is a good way to

1 limit inclusion/exclusion criteria to really address a very
2 specific question, but in general there are no data to
3 exclude a pancreatitis pancreas.

4 DR. SHERWIN: I am just surprised because if you
5 expose an islet to a cocktail of cytokines the islet dies,
6 not immediately but over a few days. I just think in the
7 early stages of this therapy we want it to work and I think
8 anything that potentially -- at least experimentally you can
9 induce pancreatitis in animals and see what the outcome of
10 those islets would be. If, indeed, you could convince me
11 that those islets function perfectly normally I would accept
12 that. But I would want to have more data before I would
13 want my patient to be exposed to islets that might be
14 damaged.

15 DR. SALOMON: I would like to pick that one up. I
16 don't buy that either. I mean, for all the reasons Dr.
17 Sherwin said plus from an immunobiological point of view, it
18 is concerning. You did make one interesting point, the idea
19 that the islets may have some sort of compensatory response
20 that might protect them from apoptosis or that sort of thing
21 after the transplant, but I would like to see the data for
22 that. But I would say if we are really trying to give some
23 guidelines I would draw the line on taking acute
24 pancreatitis. You guys can do science on that but I don't
25 see that as a clinical part. That would be my personal

1 opinion.

2 DR. CARA: I actually have another question --

3 DR. SALOMON: Maybe they want to respond.

4 DR. CARA: Okay.

5 DR. SHAPIRO: I would just comment that you
6 shouldn't necessarily exclude serum amylase as a marker of
7 acute pancreatitis in the trauma situation because often the
8 high amylase can be related to direct trauma to the salivary
9 glands.

10 DR. SALOMON: That is fair, so let's make sure
11 that we don't give the wrong message on this specific point.
12 Serum lipase greater than 500, that shouldn't be on this
13 list, guys?

14 DR. SHERWIN: I think the lipase would be a lower
15 number than that but I don't remember the exact number.

16 DR. SHAPIRO: I have never see a donor with a low
17 lipase. They are all high lipase.

18 DR. AUCHINCLOSS: We are getting mixed up again
19 between your job of designing good clinical trials, which we
20 will do and we will use islets that are the best quality
21 islets you can come up with, compared to the FDA's job of
22 regulating islet procurement. There is no reason to have
23 pancreatitis on this list.

24 DR. RICORDI: Actually, if you put pancreatitis as
25 an exclusion criterion, it means we couldn't have islets any

1 more from failing organ pancreas transplantations and be
2 able to reinfuse them as has been done.

3 DR. SAUSVILLE: I really feel that why I recognize
4 concerns that could be raised about pancreatitis -- I am
5 seeing a disconnect here between the people who presumably
6 do this for a living and what we might preconceive of as the
7 best science. So, I mean, I return to the point that if the
8 folks who are proposing to go forward with this multi-center
9 trial feel comfortable, within the context of that trial,
10 using pancreatitis-derived pancreases or pancreata, whatever
11 the correct plural is, I guess I could be persuaded on that
12 point because I think it becomes then an endpoint as you get
13 experience as to how you do.

14 I would be more interested in the discussion that
15 came up before about what level or evidence of prior
16 diabetes is going to go into this because you can have
17 ultimately pretty subtle changes described as evidence of
18 diabetes, or you could actually require full-blown prior
19 clinical history. That seems to me to be a somewhat more
20 problematic thing to put on the list.

21 DR. SHERWIN: I just think that you have to show
22 me the data. If you can show me the data that patients with
23 pancreatitis have a great outcome, or no different than any
24 other islet, then I will accept it. But I think you need to
25 show the data, and show it to the FDA and convince the

1 people there that that is okay because I still think that if
2 you subject someone to an islet graft instead of a pancreas
3 graft, you have to do the best you can, and you need the
4 data to show that. I may be wrong, but if you have the data
5 I would be happy to accept that view.

6 DR. SALOMON: Jeff?

7 DR. BLUESTONE: I just want to support the way
8 Bernhard put it because I think it really is the way, which
9 is that we need to make good islets and safe islets to put
10 into people but we shouldn't design the clinical trials, and
11 where the exclusion and inclusion criteria can have the
12 biggest impact is on the precise clinical trial -- keeping
13 the variables, asking the questions and things like that. I
14 don't see why, unless there is a clear documented example
15 where a criterion is detrimental, we should exclude it a
16 priori. It just doesn't make sense.

17 DR. SHERWIN: I think it does if you receive the
18 islets. I mean, I think it doesn't theoretically but if it
19 was my child I would want them to have what I feel
20 theoretically would be the best in the beginning stages.

21 DR. BLUESTONE: And I don't disagree, but if the
22 islets came out of a patient and you were blinded to whether
23 it was a pancreatitis patient or not but all the other
24 criteria which we are talking about -- the insulin secretion
25 capability, the number of islets, the other quality controls

1 were all absolutely fine, why would you a priori, just
2 because it came out of an acute pancreatitis patient, say,
3 well, it can't be any good?

4 DR. SHERWIN: Well, first of all, if you have
5 acute pancreatitis your beta cell function is not normal in
6 vivo. Now, it is possible that when you take the islets out
7 of that pancreas you might be able to reverse the
8 phenomenon. I am not saying it is not so; I would just like
9 to see experimental data to show that, and if I don't have
10 that data I just -- you know, I am just giving the other
11 side, but it just theoretically doesn't make sense because
12 in vivo those islets don't function well. One of the
13 complications of pancreatitis is diabetes.

14 DR. SAUSVILLE: Yes, but this returns to the
15 Decadron issue that came up before. I mean, we will
16 stipulate that prior Decadron exposure likely is going to
17 induce "abnormalities" at some level of beta cell function.
18 But if you exclude patients who received Decadron, then you
19 are out of business because the nature of the patient
20 population is going to do that.

21 DR. SHERWIN: I agree with that but I think there
22 is a level of magnitude difference between steroids and a
23 pancreas full of inflammation.

24 DR. SALOMON: Okay, Camillo?

25 DR. RICORDI: I think we are confusing two sets of

1 issues. One is the multi-center trial that is going to take
2 probably four patients per center, and in this setting I
3 don't believe anyone will select acute pancreatitis or take
4 any chances because the centers that will show failure in
5 two consecutive transplants will be in a serious problem of
6 reassessing their ability to purify and obtain islets. In
7 this case there may be more strict criteria, but what I
8 would be very worried about is if you say a pancreatitis
9 donor cannot be a suitable donor for transplantation in
10 general, again, because there is now clinical experience.
11 Actually, this morning one of the best category lists was
12 pancreas from pancreatitis that reversed instantly the
13 diabetes from graft pancreatectomy when you have to remove
14 the pancreas because of the pancreatitis. Maybe that may
15 not be an instant exclusion criterion, like younger donors,
16 but I completely agree that I don't think any of the centers
17 participating in this initial multi-center trial will try
18 their luck and say, okay, let's try an 11-year old or
19 pancreatitis for these initial two or three patients.

20 DR. SALOMON: I think that we haven't settled this
21 pancreatitis issue but if the FDA is happy that they did get
22 a balance of opinion, I don't believe that we want to get
23 into voting at this point. Right?

24 DR. SIEGEL: I do want to clarify, not because of
25 Dr. Ricordi's comment but an earlier comment just so

1 everybody is on the same page, we are focused only on
2 criteria for allogeneic donors. So, were we to indicate
3 that there should not be pancreatitis, that would not impact
4 the ability to do autologous transplant in pancreatitis
5 recipients.

6 DR. RICORDI: I wasn't referring to allo.

7 DR. SIEGEL: If you are studying a recipient of
8 allo islet cells, is it acceptable that those allo -- and
9 this is the question that was being addressed here, you
10 know, should that only be restricted to non-pancreatic
11 islets or should it be broader? I am not arguing for a
12 specific answer, I just want to make sure that we are all
13 focused on that.

14 DR. BLUESTONE: You have to understand the last
15 category that I was talking about was the situation in which
16 you would be working with allogeneic islets because it is a
17 patient who got an allogeneic pancreas, has pancreatitis and
18 then they remove the allogeneic pancreas, make the islets
19 and put it back so it would be an allogeneic islet
20 transplant.

21 DR. SIEGEL: Oh, I see.

22 DR. SALOMON: A comment from the audience?

23 DR. OLACK: It is Barbara Olack from Washington
24 University. Just for clarification for a lot of people, I
25 have been involved in probably 1200 human islet isolations

1 and I can remember one pancreatitis isolation that we have
2 done in those 1200, and the case, like Camillo was talking
3 about, is also a very specific, uncommon isolation point of
4 pancreases. So, I think the question, even though it is
5 scientifically relevant, is not realistically relevant.

6 I have one other comment. As far as the criteria
7 that were listed up there, if Edmonton's protocol is at this
8 point the gold standard, and we know gold standards change,
9 are any of the Edmonton criteria different? You had
10 mentioned the age; you had a 71-year old. What about your
11 ischemia time? Your cold ischemia time? That is something
12 that was brought up.

13 DR. SHAPIRO: Just for the purposes of that trial,
14 we tried to optimize every step in the process. We don't
15 know how long we can use cold ischemia. We know for whole
16 pancreas transplantation we can go out to 30 hours. We
17 prefer not to do that. But for islet isolation, for the
18 moment, we have tried to minimize that and tried to keep it
19 at least under 12 hours and in many cases, because of the
20 local donors or donors that are procured by our team, the
21 cold ischemic time is around 3 or 4 hours.

22 DR. SALOMON: Dr. Cara?

23 DR. CARA: Are there any other medications, other
24 than the issue of dexamethasone or steroids, that you would
25 particularly be concerned about a potential transplant donor

1 having received, and would you be worried about the
2 transplant donor perhaps having cystic fibrosis?

3 DR. HERING: Those are all very important
4 questions that can be discussed on a case by case basis. I
5 think the general picture is that we have no data to exclude
6 use of any donor beyond accepted criteria in solid organ
7 transplantation. Any clinical trial that is designed, I
8 guess, should discuss lists of criteria that serve the
9 purpose of this particular clinical trial. I accept that
10 there are many questions that could be studied and many
11 drugs or clinical settings that could favor or disfavor the
12 isolation of islets, but at this point in time I guess every
13 clinical center is addressing donor selection very carefully
14 and we will generate data, but at this point in time we
15 don't have any scientific basis to exclude any given donor,
16 besides accepted donor criteria in solid organ
17 transplantation.

18 DR. SALOMON: Kathy?

19 DR. ZOON: Yes, I find the discussion actually
20 very interesting because there seems to be a variety of
21 opinions and, while I am not going to pose a question right
22 now, I think it is important tomorrow when we look at the
23 preclinical information to understand what animal data is
24 out there, and how that substantiates or doesn't
25 substantiate the variables that we have talked about today,

1 and has the appropriate research been done in animals prior
2 to proceeding to humans as the investigational model.

3 DR. SALOMON: One question that I know the FDA is
4 interested in, and it was raised by someone on the panel --
5 I believe it was diabetes history; I know Dr. Sherwin kind
6 of segued into it a little bit. Before we leave this donor
7 organ criteria, what is it that the committee wants to tell
8 the FDA regarding how much history should be gathered on the
9 patient? Let me just throw out an argument -- a 50-year old
10 guy, 110 kilos, with mild postprandial hypoglycemia.

11 DR. AUCHINCLOSS: Centers vary but a general rule
12 of thumb for solid organ pancreas transplantation is that if
13 your donor in the ICU, on steroids with lots and lots of
14 fluid gone because the pituitary is knocked out, gets a
15 hyperglycemia requiring insulin treatment you probably don't
16 want that pancreas. Okay? So, that is a rough rule of
17 thumb. Is it perfect? No.

18 DR. SHERWIN: It is not unreasonable really at
19 this point. I mean, my guess is that you would probably
20 like it a little better than that but I don't see a reason
21 at this point to stop. But I think that these questions
22 need to be studied experimentally so that we really know
23 what we are doing beforehand.

24 DR. SALOMON: Now the 110 kilogram guy was put on
25 a little DiaBeta about two years ago and he is doing great,

1 and he tapered it off about six months ago and then he got
2 hit by a car.

3 DR. SHERWIN: I probably would not use those
4 islets at this point, and I am sure you would -- I don't
5 mean it in a negative way because I don't know the answer to
6 the question, but I just think that those islets have had
7 some insults, and from a number of different glucotoxicity,
8 lipotoxicity, amyloid deposits, blah, blah, blah -- although
9 they still function, those islets probably are not going to
10 give you as good a result. They might but I doubt it. So,
11 I would be careful at this stage, and if you could take DBDB
12 mice and transplant islets and show me that those islets
13 work just as well or better, you know, perhaps I would back
14 away but at this point I just think that you want to have
15 the best results in the beginning.

16 DR. SAUSVILLE: But then the point that comes up
17 from this morning is that in the event that this clinical
18 experience fails we have put the person back on insulin. In
19 other words, the downside of not proceeding is potentially
20 missing a really important opportunity. The upside is that
21 if it doesn't work --

22 DR. SHERWIN: No, there are other treatments of
23 diabetes. I mean, if there weren't any other treatments of
24 diabetes I would say you are right.

25 DR. SALOMON: Again we have started to do what I

1 said we were not going to do today, this is a real clinical
2 trial issue. It is okay, I mean I knew this was going to
3 happen. We discussed this with the FDA staff.

4 DR. SHAPIRO: I would just point out that the ITN
5 funded multi-center, prospective trial will record and
6 define some of these variables and criteria and will provide
7 very important data for this discussion in the future.

8 DR. SALOMON: The question that I raised at the
9 beginning and I wanted to make sure that I offered it for
10 comment is that currently, because of the competition
11 between whole organ pancreas procurement and pancreas
12 procurement for islet preparations, there is a potential for
13 pancreata for islet preparation to be substandard. How much
14 concern do you have for that, and what kind of guidelines,
15 if anything, need to be considered on that one?

16 DR. HERING: I think this is a very important
17 issue and we have to learn how to interact with the UNOS
18 kidney/pancreas allocation committee so that the islet
19 transplant community has access to choice organs for initial
20 studies. So, we are dealing with two steps. One is proof
21 of principle in multiple centers and the second one is
22 increasing the availability of islet transplantation once
23 the concepts have been developed. So, I agree. We have to
24 learn how to interface and the UNOS committee is very
25 receptive to our proposals, and I think with better and

1 better data and better protocols, such as developed at
2 Edmonton, we have access to more choice organs.

3 DR. SAUSVILLE: I think that question actually
4 verges towards something that came up this morning, and is
5 it now appropriate to discuss it or will we talk about it
6 later this afternoon, and that is that I would be very
7 interested in extending the criteria for acceptable islets
8 away from simple morphologic criteria into some functional
9 assays that may actually provide the scientific evidence
10 that Dr. Sherwin might like to see as we make these
11 different preparations. When shall we talk about that?

12 DR. SALOMON: Yes, we will get into that in a few
13 minutes. So, let's hold that. That is a key point.

14 DR. RICORDI: Can I just make a comment? The
15 number of donor variables that we are considering if we are
16 considering Type 2 subliminal diabetes or drugs that can
17 interact will go way beyond the ones that were listed on
18 donor age, organ size, ischemia or ischemia time. You have
19 all the variables introduced from cause of death, duration
20 of treatment in the intensive care unit before organ
21 procurement, drugs used, and we can probably come up with a
22 list of 50 or 60 variables, all interacting with each other
23 for several hundred combinations, and this is why it is so
24 difficult to exclude a priori or to define which one is the
25 best condition. That is why I would suggest not to get too

1 much into the definition of pancreas conditions besides the
2 general safety rule of organ donations. The issue of
3 pancreas for islets is really critical also in consideration
4 of all these variables.

5 DR. SALOMON: Well, in ending this first part, I
6 would like to put to the NIH and to the FDA, for the record,
7 that in parallel to defining and calling for the highest
8 quality islets in these preparations I think it is incumbent
9 for you to join with the islet purification groups and the
10 islet transplant groups with UNOS and straighten out some of
11 the procurement issues that obviously are not on the table
12 today. I think we cannot emphasize enough how critically
13 what is happening in multi-organ procurement of a pancreas
14 organ is affecting the quality of the islets and the quality
15 of the pancreases these guys have gotten. We are going to
16 need some help since there really is a competition right now
17 between the whole organ programs and the islet programs --
18 unless somebody disagrees with that.

19 DR. RICORDI: Actually, I think it is a very
20 important point because right now we are in a situation in
21 which many very suitable pancreases or ideal pancreases may
22 be lost. There are organ procurement organizations that by
23 choice do not procure pancreases because it is so much
24 trouble to try to allocate them. So, I think it is not
25 something that even FDA can solve with all the power of the

1 FDA. It would require a coordinated effort of several
2 organizations to see how we can actually maximize the
3 quality and harvesting of pancreas because we must begin to
4 create an awareness that the pancreas is a potentially life-
5 saving organ and cannot be wasted, and that procuring the
6 highest quality pancreas must be a priority for every organ
7 procurement organization, not just an option that they can
8 do if everybody is in the right mood and there is adequate
9 support. These are very important issues and I don't know
10 if this would be a site where we could really address them,
11 but it could be a beginning.

12 DR. SALOMON: Yes, I said that is not on the table
13 now but I think we have to point out that a quid pro quo
14 today is that if we help you define how to optimize and
15 characterize the quality of islets, something that makes
16 these guys a little bit nervous, the quid pro quo I am
17 pointing out is that you should join us in making sure that
18 the message gets out clearly to UNOS that this has to also
19 happen on the table where it is really important, which is
20 the operating room table.

21 The second direction we are going to go into is
22 entitled appropriate types of identity testing. An islet
23 equivalent is defined based on both insulin content and
24 morphology and size. However, a beta cell is only one of
25 several other cell types needed to constitute an islet, for

1 example, we have the glucagon and pancreatic polypeptide and
2 somatostatin producing cells. Should measurements of these
3 molecules also be made? Are there additional assessments
4 that should be used in addition to an islet equivalent?
5 Does everyone like islet equivalence? I have never been
6 completely comfortable with that, parenthetically.

7 DR. SHERWIN: I guess I will start. My own bias,
8 but I have much less experience, is, one, that the function
9 of the islet needs to be assessed better than we do at the
10 present time. Morphology is valuable information surely but
11 I would assume, just because of my own bias which may not be
12 correct, that perfusion of islets to look at function would
13 make sense to me.

14 The other question is in terms of how we assess
15 viability of cells. Sometimes they may look normal but in a
16 couple of days they are really not very normal and,
17 obviously, once you have set up apoptosis and motion it may
18 take a little bit of time before the cells actually stop
19 functioning. So, it might be nice to have better ways of
20 assessing mitochondrial function, whether apoptosis exists
21 in these islets and whether there is a functional test that
22 might be done. Those would be the kinds of things I would
23 think about. I am less concerned about other cells,
24 personally. Even though my area of interest is the alpha
25 cell, I don't know yet -- you know, I don't think we know

1 enough to say that that kind of assessment would be very
2 valuable. I tend to doubt it, although that may be wrong.

3 DR. AUCHINCLOSS: I guess I would ask a question
4 of the experts. My impression is that isolated beta cells
5 are less good than isolated islets, but we have no evidence
6 to suggest that that is so because of the presence of the
7 other cell types as opposed to disruption of architecture.
8 Is that true?

9 DR. RICORDI: It is in part true. I think the
10 reason we still would like to characterize the content of
11 beta cells is to have an idea --

12 DR. BLUESTONE: Well, Hugh, the one thing you
13 should remember is that Danny Pipeleers isn't here and he
14 might actually argue the opposite, that getting isolated
15 beta cells is the best way to go. So, I think that is not a
16 fully --

17 DR. AUCHINCLOSS: I think we are going to end up
18 saying the same thing -- there is no reason to think that
19 the presence or absence -- so let's move on to the next
20 question.

21 DR. SAUSVILLE: No, wait, to pick up on a point
22 that Dr. Zoon made, it seems to me that this is an ideal
23 question where animal models could be quite informative. I
24 mean, I just can't believe that people haven't thought this
25 through or maybe even have some conclusions on this.

1 DR. SALOMON: I agree with you but the problem
2 with the models is that when one dissociates the islet into
3 its constituent cells you down-regulate gene transcription
4 for endocrine hormones and you lose the function really
5 quickly. So, it is a very difficult study to do. It seems
6 like it would be real easy to just dissociate an islet, do,
7 let's say, a flow-cytometry separation for insulin, positive
8 beta cells, and then transplant only those as opposed to
9 some kind of mixtures but those kind of studies actually
10 don't work.

11 DR. SAUSVILLE: I am actually asking a much more
12 rudimentary question. In other words, the process here is
13 that there are going to be islets isolated and then given
14 back to people in some site, and we heard this morning in
15 the Edmonton protocol that it is to liver. It would seem
16 that some criteria for how islets performed in a model, just
17 going that far, could then allow this statement of how much
18 or what level of function we might want to regard as the
19 standard islet, as it were.

20 DR. SALOMON: Do you want to comment on that?

21 DR. HERING: The first topic that we are
22 discussing right now is cell identity, and we are not
23 discussing potency, viability or other issues. I guess for
24 identity the available assays that we have are the DT zone
25 staining and histology determining the cellular composition

1 of the graft. So, this is what can be done in this
2 direction. I completely agree, we have to look into
3 improving our potency assays but for identity we only have a
4 few assays that are, I guess, well established.

5 DR. SALOMON: I will take the chair's prerogative
6 to now move potency into this identity question because I
7 think that they go enough hand in hand. So, I think you are
8 back to what Ed is asking you, and that is identity and
9 potency. I don't know of any data suggesting why today you
10 should measure glucagon levels or pancreatic polypeptide
11 levels. So, I want to make sure that is clear.

12 DR. SIEGEL: I would accept Hugh's comment
13 certainly that we don't have data that suggest they need to
14 be high or low but as far as your comment that you are not
15 aware of any data as to why you should measure them, one
16 might respond, well, as long as we continue not to measure
17 them, then we can ensure that there never will be any data
18 as to why we should measure them. So, what I am trying to
19 do here is to make clear what the question is, which is not
20 should we set specifications here or throw out islets
21 because they are high or low in glucagon or not, but is it
22 useful to collect this information or to consider it as part
23 of an assessment of a quality pancreas? Should this be the
24 type of information that we ask or require be collected, or
25 is it, as many other things we could measure, too peripheral

1 to be worth requiring except for those people who
2 specifically want to study it?

3 DR. AUCHINCLOSS: That is a superb way of phrasing
4 the question. I mean, it does make it clear to us what it
5 is you are asking because, again, really FDA playing such a
6 critical role by forcing us to get the information that we
7 have not obtained over the past ten years.

8 DR. SALOMON: Jeff?

9 DR. BLUESTONE: Yes, I think it is a good segue
10 into what I was going to raise, which is when you start
11 putting potency into it, I agree with Dr. Sherwin that, of
12 course, the more we can learn about the function of the
13 islets the better, but there is a clear question about
14 whether or not we need to be doing this prospectively or
15 retrospectively at this point. One of the things the
16 Edmonton protocol does is it does culture the islets, and
17 that provides opportunity but --

18 DR. SALOMON: I think a correction, Jeff, there is
19 no culture done.

20 DR. BLUESTONE: That is right, I am sorry.

21 DR. RICORDI: But you can culture an aliquot.

22 DR. BLUESTONE: Right, that would make it
23 retrospective and my point is that we don't want to put so
24 many criteria on functionality right away that we force
25 people to culture if, in fact, the best protocol right now

1 does not require culturing even though other people do
2 culture. So, I would agree with the FDA's comment that we
3 don't want to lose data; we want to generate data. We don't
4 want to put too many restrictions on what is going to be
5 considered a functional islet before we put it in so that we
6 force a certain type of protocol in the process.

7 DR. SALOMON: Bernhard, do you have a comment?

8 DR. HERING: I can only assure you that I would be
9 very, very interested in learning the answers to all the
10 questions, and we are very grateful for the support that the
11 islet transplant field is receiving right now to address the
12 questions. Our proposal is to establish as many reference
13 laboratories that are well-equipped and positioned to help
14 address questions and so bring more experts into the field.
15 Whenever we do a transplant we send an aliquot to reference
16 lab A that is studying the cellular composition of the
17 transplant that was actually performed. For example, as we
18 are talking about identity, the Edmonton group has
19 established a very wonderful assay to study the cellular
20 composition so at the end of the day you know exactly what
21 is the percentage of alpha, beta, delta, pp cells etc. that
22 were transplanted. This information is available. This is
23 how we like to approach this so that we have the data at the
24 end of the day to address all the questions. It may be
25 beyond the expertise of any given lab but there are

1 reference labs that are interested in studying this, and
2 this is how we like to approach it.

3 DR. MILLER: It seems like some of the questions
4 are mixing what is research and what is needed for the
5 development of guidelines for the clinical use of these
6 products. I think it is important from both a funding
7 standpoint as well as a regulatory standpoint that you
8 separate the two. As Bernhard was just saying, those are
9 things that, you know, the people who are doing these really
10 want to know but that is research and I don't think that
11 should be included into your guidelines or what is required
12 for centers who are going to do that. We always want to
13 encourage research so we know more for the future but I
14 don't think that that is an appropriate guideline.

15 DR. SALOMON: Right, and that actually goes back
16 to what I was saying, that I think in general right now,
17 aside from clearly some value in the research, there is no
18 data that I am aware of, and again I look to the audience
19 for other input, that we should be asking anyone to be
20 measuring, as criteria of an islet preparation, glucagon,
21 pancreatic polypeptide or somatostatin.

22 DR. BLUESTONE: I am sort of in the middle on
23 this. I am not that extreme actually. I think we have been
24 asked over and over again where is the data and not being
25 able to come up with it. So, if we ever want to get the

1 data I think we -- we, the FDA -- need to at least be
2 somewhat proactive in making sure that at least a minimal
3 level of data is accumulated. Although we don't want to
4 design people's experiments for them, I think you certainly
5 want to ask groups who are going to participate in these
6 early phases, as part of the guidelines, to collect data
7 that will help the FDA and others come up with better
8 criteria in the future. So, I wouldn't want to say, you
9 know, you don't have to do anything in terms of
10 retrospective analysis. I think some retrospective analysis
11 should be part of the guidelines.

12 DR. SALOMON: I totally agree with that.

13 DR. RICORDI: I think there is a difference
14 between research and this in between stage of collecting
15 data, and I agree with Jeff that it is very important that
16 we take this opportunity to learn retrospectively what may
17 have been important for viability and functional assessment.
18 Right now there is no single assessment that per se can give
19 us a prediction of what will be a good islet transplant,
20 whether it is an in vitro perfusion assay -- there are
21 perfusions that work very well and don't work in vivo and
22 vice versa. But the gathering of all this information
23 together will allow us, hopefully, to retrospectively see
24 what components put together make a requirement for a
25 successful transplant. The research component is beyond

1 this, like all the new tests for apoptosis or like if you
2 have elements where you can take a sample and see which
3 cells are dead today and which ones will be dead in six
4 hours, etc., and maybe have a procedure that may replace in
5 vitro testing for insulin release. That is the research
6 component. There is still an incredible need to develop new
7 things.

8 DR. SALOMON: Right. But, Camillo, what I want to
9 get back on track here is what isn't acceptable. I don't
10 believe it is to say, well, we have no idea; suggest there
11 are no criteria; we are going to do islet transplants in
12 human patients and we will do research but don't ask us to
13 set any criteria for the product. I mean, maybe you want to
14 start to argue that, and that is what you should be doing if
15 you believe that but I don't believe that. I think that we
16 have to come to grips with some reasonable criteria for a
17 quality islet preparation with all the caveats that are
18 scientifically appropriate right now.

19 DR. RICORDI: And you know there has been an
20 entire workshop dedicated to this subject and there are
21 consensus papers that set up some very imprecise guidelines.
22 There is a consensus paper co-signed by some 14
23 investigators from different institutions and these are the
24 general criteria that are not perfect, but like islet
25 equivalence that was established several years ago are

1 imperfect. It is an imperfect way so that you can compare
2 islet preparations, say, if you normalize your islet count
3 for hypothetically equivalent islets of a diameter of 150
4 microns and you add this to morphology so that you know
5 which cell you transplanted to some kind of functional
6 assays and you may have a picture. We are not saying study
7 everything that is in the catalog for testing biologic
8 systems and one day we will get some information, but
9 something in between.

10 DR. AUCHINCLOSS: I was actually thinking back to
11 Jay's question of forget about whether this should be
12 criteria for an acceptable islet but should we gather the
13 data. I was just going to make the comment that amongst the
14 kinds of data that you might want to gather, information
15 about the alpha and the delta cell would not be the
16 information that I would think was terribly important, but
17 you might get some more expert opinions from the committee.

18 DR. NOGUCHI: To try to focus us back on what we
19 are doing here and this is a scenario -- in a year if we
20 come back and meet I don't think any of us would be
21 satisfied with having this same discussion. Here we have
22 asked specific questions because we are wondering if these
23 are appropriate things that you should be looking for so
24 that you can be making incremental progress in a reasonable
25 amount of time. If, in fact, they turn out to be the kind

1 of research development that needs to be done in a different
2 arena, that is fine but we are asking you as the experts in
3 the field that surely after this time you have some ideas
4 about what is important and what isn't in the case of
5 clinical trials, and that is what we are looking for, that
6 kind of advice.

7 DR. SALOMON: So, picking up on what Dr. Noguchi
8 said, can we go back to discussing a series of specific
9 islet function tests, whether it be from dithiazone
10 staining, insulin content to clamp insulin release assay,
11 and just try and put that into perspective for the FDA in
12 terms of how practical that would be, where it would be
13 valuable and where it wouldn't be?

14 DR. SHERWIN: I was just going to comment before
15 about the point you made. My guess is that it is not the
16 highest priority to look at the other cells, even though I
17 am interested in the other cells. If I was going to bet, it
18 is probably not the highest priority. It may have a mild
19 effect but, given all the other things going on, probably it
20 is not the most important. There is no problem in getting
21 retrospective data but that would be my bias.

22 In terms of the other issue that you raised about
23 testing, and I am naive in that area because I don't work in
24 it, but it just seems to me that there are tests that are
25 evolving that allow us to predict whether cells are going to

1 die, and my guess is that islets that function in vitro are
2 better than ones that don't, even though it is true that a
3 functional islet, when you put it in, may get exposed to an
4 environment which kills it anyhow. So, my guess is to
5 optimize that you would like some way of rapidly assessing
6 function at least for the future, even though it is a
7 retrospective analysis. Even if you want to do things
8 quickly, you can rapidly do some in vitro assessment and
9 then do your study, and then retrospectively look at the
10 data. I would think that area would be far more important
11 than the glucagon side of it.

12 DR. SALOMON: Again, just so we can get to
13 specifics, let me divide it up into descriptive assays and
14 functional assays. Start with descriptive assays, of the
15 following, which do you want to do? Do you want to do
16 insulin content, dithiazone staining, the islet equivalence
17 alone or some other descriptive assay?

18 DR. HERING: We have two sets of criteria, product
19 release criteria and product post-release criteria. I guess
20 we are studying all the different things within this trial
21 and in other trials, and we will collect this information,
22 and types of assays as far as potency is concerned are
23 glucose-stimulated insulin release in vitro using static
24 incubation or dynamic perfusion assay. Then you can move on
25 and do insulin biosynthesis studies which are difficult and

1 demanding. Then you have your in vivo diabetic nude or SCID
2 mouse BO assay. Those are the potency assays that many labs
3 have established, and this is the type of information that
4 will become available as product post-release data.

5 DR. SALOMON: So, if you had a preparation in
6 which you counted the islet equivalents and it turned out
7 that you had a reasonable number of islet equivalents but
8 they were all 50 microns or less, would that be as good a
9 preparation as the equivalent number of islet equivalents
10 though less islets per se though they were all 150 micron
11 structures?

12 DR. HERING: I think it would be difficult to
13 accomplish a suitable islet mass with islets less than 50 in
14 micron diameter. This is virtually impossible. To have
15 5000 or 10,000 islet equivalents per kilogram body weight
16 available with islets less than 50 micron in diameter, this
17 is something that may not happen.

18 DR. SALOMON: Can we make a criterion, Bernhard,
19 that if a certain percentage of the islet mass is less than
20 50 microns that might not be an islet preparation suitable
21 for a clinical trial at this early stage?

22 DR. HERING: I think there is no evidence. Again,
23 you could argue maybe islets that are smaller have more
24 access to oxygen and may engraft much better and survive
25 much better. I think this is a very general point. We will

1 collect the data. We will make every effort. We have
2 reference labs; we have expertise in the field of islet cell
3 physiology that we have available now. The question is to
4 what extent and in what detail should that be part of an IND
5 application, whether this should be mandatory or optional.
6 I think it is in our very best interest, and we will deliver
7 the data. It is a question of what type of mechanism should
8 we have to make sure that the data will be available in one
9 year.

10 DR. SAUSVILLE: So, what islets would you not use?
11 Let's put the question that way because I am disturbed that
12 I am not hearing any box that you can fit things into or out
13 of that will or will not be the subject of clinical
14 investigation.

15 DR. HERING: We would not use islets as long as
16 the islet mass is considered completely inadequate. If it
17 is a single-donor protocol, anything less than 5000 islet
18 equivalents per kilogram is considered inadequate. If you
19 are doing a multiple donor transplant, sequential
20 transplants, anything less than 4000. That is the first
21 point.

22 The second point is the viability because this is
23 an assay that can be done on site, immediately, anything
24 less than 50 percent or less than 70 percent would be
25 considered inadequate.

1 The third point is Gram stain positive would also
2 be considered unacceptable. Those are the three criteria
3 that we have in place, and it can be discussed whether it is
4 an immediate transplant or whether this is a transplant
5 after a two-day culture period. This is what I guess we
6 consider the minimal requirements.

7 DR. SALOMON: So, Bernhard, if you had an islet
8 preparation that equalled 5000 islet equivalents per kilo
9 but had 30 percent non-viable percent that would fall into
10 the 5000, would that be a non-usable preparation?

11 DR. HERING: This would be acceptable as long as
12 the viability is in excess of 50 percent or 70 percent and
13 you have the islet mass that would be considered acceptable.

14 DR. SALOMON: So, even though you would only be
15 putting in something like 3000 or 4000 or even less, 2500
16 islet equivalents per kilo in actual viable cells?

17 DR. HERING: You are asking the question what is
18 the minimum number of viable cells that you transplant.

19 DR. SALOMON: Yes, well, you answered it then.

20 DR. SAUSVILLE: To pursue the point, the
21 definition of an islet equivalent is what?

22 DR. HERING: This is an islet having a biomass of
23 an islet with a diameter of 150 microns.

24 DR. SAUSVILLE: So, the 50 micron issue then
25 becomes more of the criteria, right?

1 DR. RICORDI: Equivalent islet is considered an
2 islet with a diameter of 150 microns, which has a volume of
3 1.767145 cubic microns, and then you normalize all your
4 counts divided by 50 micron increment to that equivalent
5 islet, with an imperfect algorithm, meaning if you have a
6 50-100 cluster you need 6 of those islets to make 1 because
7 the volume is connecting with the cube of the array like in
8 a sphere equation. That is imperfect because islets are not
9 perfect spheres. But if you have bigger islets you have a
10 positive factor in your total count. So, the reason this
11 was introduced is because in the early days of improvement
12 of islet isolation technology you could have a tube with
13 100,000 big islets and then shake it 3 times and make
14 200,000 islets.

15 [Laughter]

16 So, if you don't normalize to average islets you
17 could keep improving isolation technology just having a
18 mechanical, more disastrous procedure. There have been
19 groups reporting 20 million islets from a human pancreas,
20 just counting fragments. So, it was the first, very
21 primitive way for guys from multi-centers saying let's try
22 to normalize our count to some equivalent islet. It will
23 probably be replaced by a better analysis system to count
24 the volume in 3-dimensional appearance of islets.

25 DR. AUCHINCLOSS: The comment that we are not

1 hearing what defines the box of good islets from the bigger
2 box of bad islets is right on the mark because the fact of
3 the matter is, I believe, you could spend all afternoon here
4 talking about what defines a good islet and the information
5 that does so is essentially not available. That made the
6 conversation, at least as I understood it, that we began
7 this afternoon not all that interesting because, no, that is
8 not an adequate criterion for excluding islets, no, no, no,
9 but they have asked us now another much more interesting
10 question, which is what data should we start getting so we
11 will know what defines a good islet.

12 We have heard about some good stuff about the
13 functional in vitro assays, but there are two other
14 approaches that you do want to consider. One is new imaging
15 techniques, and I think there is perhaps something there but
16 I don't have a clue what it is. The other is gene
17 expression, are anti-apoptotic genes on or off in this islet
18 preparation? Presumably in the course of the next to or
19 three years you will be able to define a panel of 25 genes
20 that you could quickly determine -- hey, this is a good
21 islet or this is a bad islet.

22 Now, the fact of the matter is that no one of us,
23 or few of us, will have the resources to that routinely on
24 every islet isolation prep. But I would think that it would
25 be perfectly reasonable for the FDA to require that every

1 islet isolation set aside one aliquot to go to some separate
2 specialized facility, say funded by the JDF --

3 [Laughter]

4 -- to determine is this assay a good assay for
5 picking out a good islet.

6 DR. BLUESTONE: Hugh, if you back up a step,
7 couldn't you pick three or four criteria not knowing what
8 the best assay today is going to be? For instance, you
9 could say that part of the guidelines are that you have
10 determine your percentage of apoptotic or dead islets?
11 Today that may be by one technique and then a year from now
12 there may be another technique. But we want to know what
13 the viability both immediately and after 24 hours is. We
14 want to know that. We want to know their dynamic function.
15 We want to know their static function, and we want to know
16 their dynamic function. Today that may be by looking at
17 insulin, tomorrow it may be by calcium, and we need to work
18 on that. But are there four or five criteria that we could
19 say we just really need to know that we get that data, and
20 then these guys can tell us what the state-of-the-art today
21 is and what we are moving towards. It is my sense that
22 knowing the number of alpha cells wouldn't be among the
23 list, but knowing the absolute number of beta cells and the
24 volume of beta cells might be on the list. So, can we come
25 up with a list of five or six general criteria and then

1 worry about what the best state-of-the-art is to get that
2 data?

3 DR. AUCHINCLOSS: Yes, I agree but my point is
4 that I think the only number I would use to exclude an islet
5 prep is viability. The cells are dead; don't put them in.
6 Okay? But I don't even use the 5000 islet equivalents
7 anymore because that means just putting in two, three or
8 four transplants so it is not even a total cell number.
9 Viability, yes. Is there anything else after viability that
10 you can use to look at a prep in front of you and say I am
11 going to use it or not?

12 DR. BLUESTONE: You are blurring a point, Hugh. I
13 agree with you up to the point where you said, well, just
14 put in three or four. It is okay. Maybe that is part of a
15 clinical trial. We will discuss that tomorrow. But the
16 issue now is defining the quality of a preparation. So, the
17 prep that you can put 5000 in is a damn more valuable prep
18 to me than one where you have to do five islet preparations
19 from five different pancreata. I don't think we disagree on
20 that.

21 DR. AUCHINCLOSS: Anything else besides viability?

22 DR. SAUSVILLE: The Gram stain issue.

23 DR. RICORDI: The total volume of what you are
24 infusing for a safety consideration. I think the reason we
25 estimate purity -- I don't actually have any criteria for

1 purity or minimum purity required, and it may be that
2 unpurified or partially purified islet transplants may work
3 better than islet purified. But what we are trying to
4 define is a range of an acceptable volume that you can
5 infuse safely in a patient in the portal system without
6 having risk of portal hypertension or severe complications.
7 So that is why we would still like to look at the total
8 volume and not just the islet number or the beta cell
9 content. Viability, of course, I agree. If someone does a
10 fluorescent dye test and sees that more than 50 percent of
11 the cells in the preparation are dead, nobody would feel
12 comfortable infusing that preparation. But I think there
13 are very general guidelines at this stage. I don't see any
14 specific, more sophisticated test that has been validated
15 yet.

16 DR. AUCHINCLOSS: Jeff, I think we are making
17 three lists. One is things that would exclude a preparation
18 at any center right now. The second is tests that every
19 center ought to be able to do itself that seem like
20 reasonable tests that might turn out to be predictive in the
21 future. Third would be your wish-list of tests that would
22 get done somehow.

23 DR. BLUESTONE: Yes, and obviously one and two are
24 essential and the FDA should focus on one and two. Number
25 three is going to be a constant moving target because one

1 man's ability to isolate RNA effectively is not going to be
2 the next person's. So, I would say we could focus -- you
3 are focusing on number one which, to me, I thought was
4 actually pretty straightforward. We don't know enough to do
5 much to exclude, except something like a dead islet doesn't
6 work.

7 DR. AUCHINCLOSS: Yes, but they told us a couple
8 of other things.

9 DR. BLUESTONE: A couple of other things but I
10 think number two is what I was trying to focus on, which is
11 what are the things that we really could ask now as a source
12 of guidelines to make sure that any islet center that is
13 going to get into the business thinks ahead of time that
14 they have to be able to develop the expertise to do it. I
15 think that we want to have enough of those criteria that we
16 learn something in the end when something is done. I have
17 read Bernhard's protocols and it takes 15 islet equivalents
18 just to do 3 of the assays. So, again, you can do
19 everything under the sun but there must be a subset of
20 things that we could all agree on that would give us enough
21 information that a year from now, or whatever, we are going
22 to maybe be able to have a conversation that is a little bit
23 more sophisticated than this one, and I am trying to get a
24 handle on what those four or five things are, not what the
25 15 or 20 things are.

1 DR. SALOMON: Following on that discussion between
2 Jeff and you, can you comment on what kind of things, like
3 for example insulin content, which is a static assay that
4 can be immediately determined, would help you?

5 DR. RICORDI: I don't think it would help us but I
6 would rather do a static incubation after overnight culture
7 and put into aliquots in low glucose and high glucose and
8 measure the stimulation index.

9 DR. CHAMPLIN: What is the standard for viability
10 testing? Are you doing assays of apoptosis or is it dye
11 exclusion?

12 DR. RICORDI: Right now it is just dye exclusion,
13 but we are working with a combination with apoptotic markers
14 and also a different time because some of these tests are
15 too late a marker to be introduced probably immediately
16 after isolation but there are more and more sensitive tests
17 being developed. But there is nothing that has been
18 standardized in islets, or validated. But viability
19 exclusion dyes are dithiazone, isolation of islets,
20 determination of the total volume, assessment of viability
21 by exclusion dyes and then the day after static incubation
22 for insulin release and measuring stimulated insulin release
23 and getting an index of stimulated versus basal is another
24 test that is generally performed.

25 DR. SALOMON: Jim, I know you have a limit on what

1 data you can share with us, but you have now had a series of
2 patients, albeit small, that have had success. Do you have
3 any data based on, let's say, dynamic insulin release assays
4 such as the ones we are discussing now that might correlate?

5 DR. SHAPIRO: We have been using static
6 incubations. They are all post hoc. If we have an optimal
7 pancreas where the yield is high, we go and transplant that
8 immediately so the post hoc analyses tell us down the line
9 if the islets worked, and in all the cases the stimulation
10 index ranges from about 2 to about 7.7, which I think is the
11 highest.

12 DR. SALOMON: Have you had any that didn't work,
13 that had below a stimulation index of 2 and that worked in
14 vivo or didn't work in vivo?

15 DR. SHAPIRO: Not that I am aware of, no.

16 DR. SHERWIN: I just think that is a very critical
17 component. It is very important to assess the function of
18 the islet because islets may be on their way to die and you
19 don't know it but their function will be impaired as a
20 result. So, it seems to me that there are simple -- as you
21 did -- functional assays that can rapidly be -- I am sure we
22 can get to the point where ultimately you can get answers
23 very quickly with an insulin assay. It is not there yet.
24 So, it requires retrospective analysis but ultimately, if
25 this was a critical criterion, I am sure there would be ways

1 of developing insulin assays that are much quicker that
2 might not be quite as perfect but would be adequate for your
3 purposes. So, I think the development of a rapid insulin
4 assay would be something that would be important but right
5 now I would retrospectively look at function and I would
6 retrospectively use mitochondrial dyes and tunnel assays as
7 what we can do now in retrospect while you are doing your
8 regular viability. So, the criteria should be, I think,
9 assays of function beyond death at this point and dynamic
10 insulin responses as being the two issues that need to be
11 addressed in retrospect while you are moving along in the
12 initial stages, just focusing on viability.

13 DR. AUCHINCLOSS: I am sure your comments make
14 lots of sense a priori, but my understanding, again not
15 being an expert in the field, is that the functional tests
16 of islet secretion by islet preparations have not correlated
17 very well with function in vivo in experimental models, but
18 I am going to turn that comment back to the experts to see
19 what they say. Has the data actually supported what Dr.
20 Sherwin said?

21 DR. HERING: So far there is no data but maybe
22 this is because other donor criteria were not defined to the
23 point that you could actually generate the data. It is also
24 because one given center may have done maybe just two or
25 three transplants and it is completely unlikely that you can

1 identify any single assay that is predictive. So, we should
2 learn from our past mistakes and collect the data but it is
3 because of the history of islet transplantation, maybe some
4 anecdotal transplants in one given protocol, maybe two or
5 three cases, and then the centers moved on to the next
6 protocol so there was probably no way that you could
7 identify parameters.

8 DR. KENYON: We did start about six, seven months
9 ago to do the static incubation and try to compare it to the
10 post-transplant outcome, and we started to see a trend that
11 there was a correlation if you got some stimulation in the
12 static incubation that the transplant did work but it is not
13 100 percent. So, I would say there was a trend that it
14 correlates but it is not 100 percent and we haven't done a
15 lot of animals that way yet but, obviously, we are
16 interested in this question and we are studying it.

17 DR. CARA: It seems like we are narrowing down
18 some of the criteria that you think are important in terms
19 of the actual transplant material itself, the islet cell
20 transplant material itself. Is there anything in the
21 recipient that you think might be worth looking at? For
22 example, anti-islet cell antibody titer, age, medications,
23 etc., etc.? I wouldn't want to just focus on the
24 transplanted material only. I think there are probably
25 issues that need to be looked at in the recipient that I

1 want to make sure that we are not going to overlook.

2 DR. SALOMON: I think tomorrow we are going to
3 talk about those kinds of measurements in the recipient as
4 part of a clinical trial design, unless you are talking
5 about something that you would measure in the recipient
6 within 24 hours of the transplant that would be a measure of
7 the islet quality, which is kind of an interesting idea.

8 DR. WEBER: Dr. Salomon, can I just intercede?
9 Perhaps I failed in my presentation to make it clear that
10 many of the things that we are asking about, like purity,
11 potency, viability, those are actually regulatory
12 requirements. So, all of these are going to be important to
13 address at some point in this conversation, and we do
14 appreciate your discussion but we would like to get some
15 feedback on some of the specific issues, if we can, as we go
16 through.

17 DR. SALOMON: Actually, I thought we handled one
18 with specifics, and I was going to take two, figuring that
19 we are pretty close to getting done here, albeit I don't
20 know if I can cut off discussion, and I will summarize it
21 again and answer these two specifics, and if you don't have
22 the specifics then you need to tell me, but I agree with
23 that as a point.

24 DR. SAUSVILLE: Although one thing I would point
25 out, I mean in this definition of islet equivalence based on

1 insulin content and size, I really only heard morphology and
2 size; I didn't hear that you actually use now insulin
3 content for defining that islet equivalent. Is that
4 correct?

5 DR. HERING: Yes.

6 DR. SAUSVILLE: So, that is at least one point
7 that can lead to a change here.

8 DR. NOGUCHI: I think if we try to go through the
9 regulatory terms it will help because the first question is,
10 after you do all the isolation, how do you know you have an
11 islet preparation? What are the tests you did? Or, if you
12 had another person coming in and claiming that they could
13 make islet cells through a different method you would
14 certainly ask them, well, how do you know they are islet
15 cells?

16 Then the second question, when you get into terms
17 of viability as a measure of the quality of that
18 preparation, if it is only half viable you would rather have
19 one that is three-quarters viable.

20 Then, the third and more difficult issue is
21 potency. How assured are you that if you actually give the
22 product it may have any effect in the patient? So, that
23 starts to get into some of the functional assays.

24 But in terms of the first one of identity, I think
25 Ed brings up a good point, above and beyond morphology and

1 perhaps staining, do you really care if there is insulin or
2 not if you want to be assured that it is an islet cell?

3 DR. RICORDI: Well, the reason we don't count
4 insulin content at the time of isolation is also because
5 this is probably the worst time to assess potency because
6 you are doing through the death, organ procurement, cold
7 preservation, several hour processing with mechanical
8 enhancement of an enzymatic digestion, plus steps of
9 purification and multiple washing, etc. So, that is why we
10 rely more on the next day, the post-release criteria, the
11 stimulation index of insulin release from those islets when
12 challenged with low and high glucose levels when you give
13 the aliquot of islets a chance to recover after overnight
14 culture, and that is our best measure so far of potency
15 before going to biologic testing, like diabetic nude mice in
16 which you can transplant an aliquot of islets.

17 So, to respond in part to Phil's question about
18 how you find out if someone can actually get islets, this is
19 the morphologic characterization of your product and is
20 clearly something that has to go beyond just DT zone
21 staining and the visual impression that you have during
22 islet processing, like if someone has to publish a new
23 method for islet isolation or an improved procedure you
24 would ask them to have also immunohistochemical
25 characterization of the cells that you retrieve. But here,

1 again, we are at the two levels of pre-release criteria and
2 the post-release criteria.

3 Right now we know that there is a pretty good
4 correlation, like if you visualize islets by DT zone
5 staining, this doesn't tell you exactly what the percentage
6 is of beta cells, if it is 82 percent or 76 participant beta
7 cells, but it gives you a good estimate of islet content.
8 If you see in your final product that you have only 5
9 percent of the cluster of cells that are staining with DT
10 zone you know that you are in trouble with your final islet
11 preparation. If you achieve like 70 percent or 50 percent
12 or 40 percent, then for safety considerations, that is when
13 you go and look mainly at the total volume of the islet that
14 you are planning to infuse in these patients and whether you
15 are within the safety range, typically less than 10 cc
16 islets to tissue, and maybe we can bring it to less than 8.
17 But those are mainly safety considerations.

18 Then you have viability. You can do instantly a
19 Gram stain to make sure that this is a safe infusion to
20 perform. But then, if you want to really know that new
21 groups or that new methods have islets, you could ask them
22 to characterize their product before they start a trial,
23 which would include product release criteria that are less
24 stringent, but you can say are you sure? Can you document
25 that with your islet isolation method you can actually

1 obtain islets which you see are viable at day zero is
2 actually still viable after overnight culture? You know,
3 you can ask some more questions as general criteria of
4 validation maybe of an islet isolation facility or of a new
5 method that is proposed. But for a standard procedure I
6 think we are still at the step of testing that is a little
7 more primitive.

8 DR. SALOMON: What I want to do is try and bring
9 this part together and then take a break, and then come back
10 and start with question three, which is viability. So, can
11 we establish a specific answer to the question of what are
12 minimum criteria for the identity of an islet in the context
13 of defining a product? You made a lot of generalizations,
14 Camillo, but can we be more specific? What would you say
15 would be a minimum set of criteria that I had to show you a,
16 b, c, or whatever before you would believe I had purified an
17 islet?

18 DR. RICORDI: Before transplant?

19 DR. SALOMON: Before transplant.

20 DR. RICORDI: Before transplant the only release
21 criteria that we can ask, if you want to transplant islets
22 within two hours, is that these are DT zone positive
23 particles and you characterize your islet number and your
24 percent purity based on staining with dithiazone.

25 DR. SALOMON: Okay, let's start there. Within a

1 two-hour period I have to demonstrate to you that I have
2 dithiazone positive cell clusters of a specific size and
3 mass -- right?

4 DR. RICORDI: Normalized by islet equivalent --

5 DR. SALOMON: Normalized by islet equivalent --

6 DR. RICORDI: Characterized with all the different
7 clusters so you know how many of those 50 micron islets you
8 have.

9 DR. SALOMON: Right, and we will get to viability
10 in a minute. So, that is the first thing within two hours.
11 Now, by the next morning what else do I have to demonstrate
12 to prove to you that the quality of the product that went
13 into the patient were islets and were of high quality?

14 DR. RICORDI: The next morning or the day after I
15 think it would still be very valuable to have the
16 confirmation by immunohistochemistry of the beta cell
17 content, with the option if someone wants to see all the
18 other cell types --

19 DR. SALOMON: Okay, that would be histochemistry?

20 DR. RICORDI: Yes.

21 DR. SALOMON: Okay, that is one. How about
22 insulin content, or insulin content per beta cell which is a
23 little different than insulin content per IEC? I am trying
24 to be real specific now.

25 DR. RICORDI: Yes, the insulin content that is

1 done the day after is insulin release, which is a better
2 test because you actually have a secretion test. We measure
3 stimulation index, stimulated versus basal release by the
4 transplanted islets. Then you normalize or you do per DNA
5 content so you have a measure of DNA and insulin release
6 from that aliquot.

7 DR. SALOMON: All right, so if you do insulin
8 release per microgram of DNA, then you can make a rough
9 calculation back to your IECs, then you still are short of
10 insulin content per beta cell or number of beta cells per
11 islet but that may vary enough between donors that you don't
12 see that as a measure. Am I paraphrasing you right?

13 DR. RICORDI: I mean, I wouldn't have any problem
14 collecting at the end on the aliquots that you are doing the
15 stimulation and having another set of data that says insulin
16 content per DNA of that aliquot.

17 DR. HERING: As I have said many times, we are
18 collecting the data, and we are doing this, and I think
19 insulin DNA ratios is acceptable and we do it. Also, we all
20 understand it is not a perfect answer but we are not arguing
21 here. We do this because it may give us some information.

22 DR. SHERWIN: Just a question, when you do the
23 functional assay when do you get the results? Isn't it
24 after the patient has gotten their transplant?

25 DR. SALOMON: Yes.