

1 brought it up, you know the possibility of
2 something like this ending up with NIH funding,
3 was that an --

4 DR. MILLER: A real life example.?

5 DR. NEREM: Was that an FDA person?

6 DR. ANDERS: NCTR staff person.

7 DR. NEREM: I guess my bias about NIH
8 would suggest that the kind of things that NIH
9 would ultimately be interested in in fact would
10 not be the kind of things that we're talking
11 about, maybe this program ought to be targeted.

12 DR. SCOLNICK: Well, no, it's -- it's
13 too short a time to understand it all, but I'm
14 not convinced of that at all. I mean, if you
15 talk about issues of pharmacokinetics and drug
16 metabolism, things like that, there's so much
17 basic science going on in that world outside of
18 the FDA that -- I'm not at all convinced that
19 that's not already going on; all the FDA has to
20 do is take advantage of that body of
21 information and what they have to fund
22 separately.

23 DR. NEREM: Oh, I agree.

1 DR. SCOLNICK: So I don't really have
2 a good sense for the distinctions here and what
3 really should the FDA's purview -- I can tell
4 you that I echo Harold's comments.

5 DR. MILLER: Right, but we'll look at
6 that, we'll look at this, how is this going to
7 impact our regulatory decision-making
8 processes. How will this influence our label-
9 writing, how will this influence our --?

10 DR. NEREM: I would be more interested
11 in that than I would be whether it had the
12 potential for NIH funding.

13 DR. MILLER: But as you notice, I've
14 had three goals, and one was fill gaps. The
15 second was new directions; and actually Jill
16 James fell under new directions of research.
17 That was something we would put a little bit of
18 money in, see if it leads anywhere, has a
19 potential.

20 DR. SCOLNICK: It really relates to
21 what Marty Rosenberg said. If you're going to
22 be funding research into womens health without
23 the kind of infrastructure of peer review with

1 investigator-initiated best ideas for womens
2 health that NIH has, then I think it's really
3 like it's a very different process. And it
4 really needs to be scrutinized.

5 DR. LANGER: Let me, as we close the
6 session, make two comments that might be good
7 to go over at a future session that I heard.

8 One is to try to put together some
9 statements that make, I guess the lines less
10 blurry. And the second I think this issue of
11 peer review; I agree. I'm concerned that when
12 I hear this, Bob's comment about asking your
13 friend -- in other words, the question is how
14 do you maximize this objectivity? And I think
15 that maybe needs to be given some thought.

16 So what I might suggest is if you at a
17 future meeting, those two issues I think
18 highlight a number of important points that
19 people make.

20 DR. MILLER: So how do we focus in on
21 FDA issues only.

22 DR. LANGER: Well, I don't think it
23 has to be worded like that. I think --

1 DR. NEREM: On FDA's needs.

2 DR. MILLER: On FDA's needs.

3 DR. LANGER: Yes. And the second
4 thing is, I think a greater way of ensuring
5 peer review and objectivity -- I mean really
6 his point about, you know, how do we really
7 make sure you get the best things done?

8 DR. MILLER: Great. Thank you very
9 much for your attention.

10 DR. LANGER: Thank you.

11 So what we'll do now is I guess we'll
12 take a lunch break, and I'd like to meet back
13 at 1. And we can do some more work over lunch.

14 [Whereupon, at 12:20 p.m., the meeting
15 recessed for lunch.]

A F T E R N O O N S E S S I O N

[1:08 p.m.]

Joint FDA and Industry Training

DR. SCHWETZ: This example is an effort that involves training and outreach. One of the things that we struggle with as an agency is the rapid pace of changing technology, and this example of training programs that actually take place out with the industry, joint meetings between the industry and FDA scientists and others, is meant to move us up on the learning curve faster, so that we learn about technology before the submission actually comes to us, and we maximize the interaction between us and industry early on.

Susan?

[Slide]

DR. FITZPATRICK: As we heard earlier today, one of Dr. Henney's chief initiatives is to increase the science base at FDA. So she asked us to begin this project about ten months ago to look at joint FDA-Industry technology training as one way of not only leveraging our

1 resources but helping to increase the science
2 base at FDA.

3 [Slide]

4 The specific objectives of this
5 training were, as most people -- this is for
6 our review scientists that are reviewing the
7 petitions that are coming in from the industry
8 or our field investigators that are out in the
9 field, actually going on inspections.

10 They need a way or a mechanism of
11 staying up to date with the fast-paced change
12 in technology, and recognizing that most of the
13 technology that we -- new technologies that we
14 deal with are developed in industry rather than
15 in some other setting.

16 We decided to try to see if we could
17 set up a program that would have the industry
18 help us train our FDA review scientists and
19 field investigators in some of the new
20 technologies before they see those technologies
21 in some type of petition or in a field
22 inspection setting.

23 So the specific objectives were to

1 have the industry talked about the
2 technologies. For FDA to talk in general terms
3 about what types, for those technologies, what
4 types of assurances they would need to make
5 sure it was well-controlled and adequately
6 validated. And also, we thought this would be
7 a good way for FDA scientists and lab analysts,
8 field investigators and the industry scientists
9 to be able to discuss technologies, the
10 technology and the science apart from the
11 regulatory arena.

12 [Slide]

13 Most of the time when we're dealing in
14 meetings with industry, it's probably over a
15 regulated product. Those aren't always the
16 most collegial meetings, and this was a way to
17 be able to talk about science without the
18 regulatory aspects of a particular product.

19 [Slide]

20 I think one of the important things
21 that we envisioned in this program was, we
22 wouldn't just be talking about it; we would be
23 going out and actually observing it. And when

1 I talk about the specific courses that we've
2 done, I think it will become a little bit more
3 apparent.

4 Now only do we have people talk to us
5 about the technologies, but we've actually gone
6 to the laboratories or the manufacturing
7 facilities of the industry and viewed the
8 technology firsthand and had a chance to really
9 talk to their scientists, but questions that
10 come up as we actually view what's going on.

11 [Slide]

12 Our anticipated outcomes in this type
13 of training, we thought, you know to give our
14 scientists and our field investigators a more
15 in-depth knowledge of the technology may help
16 facilitate more expedient scientific reviews
17 and more expedient field inspections. A lot of
18 what goes on in the review process is back and
19 forth, trying to understand what's in a
20 submission; and if we can give our scientists a
21 heads up on some of the new things that are
22 coming in, that might make the whole process
23 more timely. And in addition, it might help

1 these technologies enter the marketplace
2 sooner.

3 I think the other thing that's
4 important is that FDA and industry will gain
5 new perspectives on each other's concerns,
6 especially in the area of new technologies.

7 [Slide]

8 We just started this program last June
9 and we had several training mechanisms that we
10 used to implement the program. The first was
11 because originally this program focused on the
12 field investigators, and then we brought the
13 center scientists into it, we had to choose --
14 we chose the topics and new technologies based
15 upon a technology interest survey that we did
16 of our senior field investigators.

17 We went out to all the district
18 offices as well as headquarters and asked our
19 senior investigators what technologies they
20 thought that they might need training on. We
21 wanted the training to cover a wide variety of
22 scientific issues in the different centers and
23 we wanted the training to take place in

1 different geographical regions of the country.

2 And of course we needed some way of
3 making an agreement with industry in order to
4 be able to do this type of training, which was
5 a new endeavor, a new way of thinking for the
6 Food and Drug Administration. So we used
7 what's called a cosponsorship agreement as a
8 mechanism for developing or making an agreement
9 with industry to do joint training; and in that
10 agreement we talk about the responsibilities of
11 FDA, we talk about industry responsibilities.
12 There's no money that exchanges hands between
13 the two parties.

14 We make a specific point that we're
15 talking about science, and we're not talking
16 about specific regulated products. In other
17 words, if we go in from the industry, this
18 isn't sort of their way of having a private
19 meeting with the FDA to discuss products that
20 they might have pending; we really want to keep
21 it to the science. I must say that the
22 industry has been really wonderful about just
23 keeping to the scientific issues.

1 We have our cosponsorship agreement
2 looked at by our ethics department as well as
3 our general counsel, and of course the industry
4 has their lawyers look at it; and the
5 information that they give us, the confidential
6 information that they give us is treated as if
7 it was a submission given to the FDA under any
8 other circumstances; it's not public knowledge,
9 it's kept confidential; we're bound by 2061
10 which is our confidential and trade secret
11 agreement. That binds us in these courses and
12 in any material that they might give to us.

13 We have been putting that into the
14 cosponsorship agreement so that it's made clear
15 that if they're talking about confidential
16 things, that they're not going to have to worry
17 about those getting out to the public.

18 And for our own sake, so to speak, we
19 also, in addition to making a cosponsorship
20 agreement, we look at the regulatory history of
21 the place that we're going to train. If we're
22 in the middle of a regulatory disagreement, so
23 to speak, with that firm we probably wouldn't

1 go there to train on some new technology at
2 this point. So that's an additional safeguard
3 that we look at when we're developing these
4 programs.

5 [Slide]

6 Our first course took place last
7 September, and it was cosponsored by the Food
8 and Drug Administration and Merck. And it took
9 place September 29th in West Point,
10 Pennsylvania.

11 One of the things that a lot of our
12 field investigators wanted to find out was
13 about the new technique of barrier isolation
14 technology. It's a new sterilization
15 technology, and we knew that Merck had a new,
16 state of the art barrier isolator that was just
17 coming on line in their West Point facility.

18 So we jointly put together the agenda
19 with the Merck scientists, with the Office of
20 Science, the CDER, CBER and CVM sterilization
21 experts; these were our senior scientists put
22 the agenda together with our senior ORA field
23 investigators, and we went up there on

1 September 29th.

2 Not only did we listen to them talk
3 about the scientific aspects of the barrier
4 isolator, we talked about our validation
5 concerns; but we actually spent three hours in
6 the barrier isolator itself, and I don't think
7 that there's anything that you can do -- not
8 inside. But in the room -- you have to really
9 see it; it's a \$40 million piece of equipment
10 that takes three or four rooms, and 600 vials
11 go through in a minute, and I think by actually
12 seeing this type of technology stimulates a lot
13 more questions in your mind if you're an
14 investigator or if you're a scientist reviewing
15 the nationally -- reading about it on the
16 paper.

17 They actually got to see it and we had
18 an interactive-type session; and then after
19 that we came back, we talked about, some more
20 questions. One thing that we did prior to
21 having this course is that we exchanged a list
22 of questions. Merck gave us some questions
23 that they were interested in having us answer,

1 and FDA, the different parts of the FDA that
2 attended the course gave Merck some questions
3 that they like covered as sort of a starting
4 point.

5 If anyone has been to an FDA meeting,
6 and you comment and hit people cold, it's often
7 not the best way to get answers from the FDA.
8 So this was one way of exchanging questions in
9 advance that we'll be able to know and think
10 about what we wanted them to talk about.

11 So I think that was very successful.
12 We took about 25 FDA people up there, then we
13 had a lot of industry people go, too; and I
14 must say Merck was really wonderful in hosting
15 this activity.

16 [Slide]

17 Our next course that happened this
18 past January was how the application of ELISA
19 rapid screening methods to the FDA field
20 investigations. Now, ELISA rapid screening
21 methods probably doesn't look like a new
22 technology to anybody here, but it is a new
23 technology in FDA field investigations. And

1 this course was cosponsored by the FDA, the
2 National Center for Food Safety and Technology,
3 which is our Moffett Center; and the Grocery
4 Manufacturers of America, and it took place in
5 Minneapolis, Minnesota and General Mills. Our
6 participants were CFSAN and CVM scientists from
7 headquarters, the ORA headquarters and field
8 investigators include several of our import
9 investigators.

10 We had the NIST scientists and we had
11 the Minnesota Department of Agriculture
12 scientists also attended the course, and really
13 what it was was not only did we learn about the
14 theory of ELISA rapid screening methods, or
15 they talked to the investigators about it; but
16 we actually went into the General Mills labs --
17 they ran them themselves.

18 They ran microbiological assays and
19 they ran chemical assays, and they got a chance
20 to interact with some of the General Mills and
21 the Pillsbury scientists about the pros and
22 cons and pitfalls and questions, and got a good
23 sense of what, if they had to either evaluate

1 these out in the field or use them out in the
2 field, what kinds of things that they would run
3 into that -- that you might not get from just
4 reading about it on a paper. Anyone that has
5 tried to duplicate someone else's technique has
6 to almost go into that lab and learn it,
7 because they don't write down all the little
8 nuances that you need to be able to do some of
9 these things successfully, without going over
10 and over and over again.

11 [Slide]

12 Our next course is coming up in a
13 couple of weeks. This is a course on
14 Microarray Technology. It's cosponsored by FDA
15 and the Bay Area Bioscience Center. It's May
16 16 through 18 in the year 2000, and it's going
17 to take place in San Francisco, California.

18 The Bay Area Bioscience Center is a
19 biotech association located in the San
20 Francisco Bay Area. They have pulled in -- and
21 with it, we have -- what's more, Dr. Schwetz,
22 who comes to all of these and actually, there's
23 great support and it's really appreciated. I

1 think by all of our field folks and scientists
2 for endorsing this type of training and showing
3 the importance of it.

4 But we have our, some of our people
5 that are really knowledgeable in the area of
6 microarray technology, from CDER, CBER, CDRH
7 and NCTR attending, as well as our San
8 Francisco and San Diego field compliance
9 officers and investigators.

10 And the course agenda, it looks really
11 interesting. It's going to take place the
12 first day at Stanford. We have five different
13 companies from the San Francisco area that are
14 to present their technology. We're going to
15 have three companies that are not developers of
16 microwave technology, but they're users of the
17 technology talk about what they've seen, and
18 then the next day, we're going to -- two of the
19 developers of the company; I think it's Heisic
20 and Insite (ph), to look at their actual
21 microarray systems and talk to them about
22 quality control issues.

23 Then we're going to go to Roche, who

1 is an end user, and look at the way they're
2 using these technologies, their applications of
3 it; and then the following day we're going to
4 go to Afametrics (ph), because they just built
5 a new manufacturing facility and we want to
6 view that and really talk about quality control
7 issues, but talk about them with just that one
8 firm so that they can feel a little more free
9 to talk about those issues.

10 In addition, NCTR is going to present
11 to San Francisco some of the research that
12 we're doing in the microarrays.

13 [Slide]

14 So I think this is going to be a
15 really exciting course; and then the following
16 week, May 22nd, we have a course on Nucleic
17 Acid Amplification testing. This is a new way
18 of screening blood that many of our field
19 investigators as well as our center scientists
20 wanted to learn more about.

21 Before, it's actually required in all
22 of our blood banks. And we're cosponsoring
23 this with these five companies which are

1 actually developers of the technology. They're
2 not the ones that are going to be doing the
3 screening in the blood banks, but they have
4 developed -- four of them have developed
5 different NAT testing procedures and
6 instrumentation; and that's Gene Probe, Roche
7 Molecular, Chiron/Bayer Diagnostics, Organon
8 Teknika, and then the National Genetics Lab
9 actually has not developed the technology, but
10 it has -- it uses a lot to be doing screening,
11 samples are sent in and they screen them.

12 So they are actually, they're going to
13 be here in Rockville for May 22-25, and each of
14 these four companies are bringing in their
15 instrumentation and their technology and
16 they're setting them up in one of the CBER
17 labs, and all of the labs are -- we're
18 providing four different laboratories; they're
19 all secure facilities so that we're treating
20 their instrumentation and technology as if we
21 would treat a submission; we're to our best
22 effort making it as confidential as possible.

23 One of these companies, I can't

1 remember which one, has some giant robots they
2 might be bringing in, too, as part of their
3 system; so that will be interesting to see.
4 The participants are, once more Dr. Schwetz,
5 and we have CBER and CDRH scientists and our
6 national blood experts are going to be coming
7 to this course.

8 CBER and CDRH, the scientists who are
9 actually doing the reviews, are going to be
10 talking to each other about their different
11 perspectives on this technology, because one
12 thing that we've learned in this endeavor,
13 we've picked topics that are sort of
14 crosscutting; they affect more than one center.
15 And it's a way of, and a stimulus for our
16 different -- center scientists from our
17 different centers, to actually be talking about
18 and sort of agreeing on common approaches to
19 these -- and common thoughts and perspectives
20 on new technologies.

21 So they'll be talking to our field
22 investigators; then we're breaking them down
23 into groups of eight or nine, and they'll spend

1 a half a day at each one of these laboratories.

2 Actually, I don't know if running or
3 seeing run or actually viewing firsthand all
4 the different ways to do the NAA testing in
5 anticipation of actually having to either go
6 and be an investigator in a blood bank, looking
7 at the technology; or in anticipation of having
8 to review this technology.

9 [Slide]

10 And the last course that we're working
11 on for this year is called, New Trends in
12 Sterilization Technology. And this is going to
13 be cosponsored by the FDA and Johnson &
14 Johnson, and it's scheduled right now for
15 September 5th through 8th of this year, and
16 it's going to take place in New Brunswick, New
17 Jersey.

18 The course -- we're going to take up
19 to New Brunswick are probably, our senior
20 sterilization experts from CDRH, CVM, CDER and
21 CBER and our ORA field investigators. But we
22 are also going to try to broadcast the lecture
23 part of the series out to our district offices,

1 and then we're going to go to the different
2 labs, either Johnson & Johnson or some of their
3 other manufacturing people in the New Brunswick
4 area and actually view some of these new
5 technologies in the laboratories and have a
6 chance to talk to them about it. That part, I
7 don't think that we're going to film because I
8 don't know technically. If we can, we may. If
9 we can't, we can't.

10 But then we're going to follow up with
11 a lecture series for more of the beginning
12 reviewer on just general principles of
13 sterilization. We're going to try something
14 where we broadcast it; the person may be there
15 in one of our -- here in the headquarters, but
16 we're also going to try to broadcast that to
17 the district and maybe have that as a follow-up
18 every week for a few months after that.

19 [Slide]

20 That's really what we put together in
21 last ten months. I might say that this program
22 has been met with just very positive
23 enthusiasm, not only from all of the centers

1 have given us great support in running this
2 program, been really cooperative in developing
3 the agenda and helping us design the courses.
4 But I think it's been met with very positive
5 enthusiasm from the industry. I think Dr.
6 Henney has been really applauded for her
7 foresight in being willing to support something
8 like this; and we're hoping that we'll be able
9 to continue it in the following years.

10 We feel like, for one thing we've been
11 able to train a lot of scientists. Really, the
12 cost to FDA is getting our scientists there.
13 And I know for example on the barrier isolater
14 course, I saw an announcement for one of the
15 trade associations giving a course. It was an
16 all-theoretical, it had a very hefty price tag,
17 and I don't think you can match what Merck did
18 for us in actually letting us go in and viewing
19 the instrumentation right up front.

20 That was just so much more valuable
21 than sitting there and listening to it for two
22 days, about the theory of it; actually seeing
23 it and watching it run and stop, and seeing all

1 the things you might have to think about if you
2 were trying to decide if it was actually doing
3 what it was supposed to do.

4 So in anticipation of additional
5 funding for our program next year -- and it's
6 nice to see Dr. Suydam here listening to this
7 as we talk about that part of it -- I have a
8 couple questions for the Science Board.

9 [Slide]

10 One of the questions that we're
11 talking about is what were the other mechanisms
12 to select new topics or technologies for this
13 program? I told you earlier that we did a
14 survey of our senior field investigators,
15 because that was the emphasis at first with Dr.
16 Henney, was to get our field investigators
17 really competent in all these new technologies
18 are on board.

19 And actually, we have expected our
20 field investigators to have the same level of
21 scientific rigor in taking these courses as are
22 center scientists.

23 What would be other ways -- because

1 not every technology we're going to find from
2 the field and if we continue, we want to have
3 maybe less of an ad hoc way of selecting
4 topics.

5 Then, what recommendations do you have
6 for improving the program? Every time I give
7 one of these courses, I try to analyze what I
8 can do better on the next one. What ways can I
9 get people to talk more, can I get people to
10 interact more. What are some follow-up
11 activities that might come of the training
12 instead of having just a one-time deal. For
13 example, for our ELISA test, the investigator
14 said "Maybe we can have a checklist to go in
15 to, when we go in to, on an inspection, a
16 checklist of what we should be thinking about
17 if we're evaluating this kind of study." And
18 that's one of the reasons we've had this
19 follow-up lecture series on sterilization.

20 How can we train all of our scientists
21 and have as broad an impact as possible? And
22 work with industry on that type of program.

23 So with that, I'll turn it over to the

1 Science Board and the audience for questions.

2 DR. LANGER: So, comments or answers
3 to the questions?

4 DR. DAVIS: One point. When it says
5 that industry scientists were present, like at
6 the merck one and also the General Mills, does
7 that mean people, scientists outside of Merck
8 got invited, or just Merck people? What does
9 that mean.

10 DR. FITZPATRICK: At the Merck
11 facility, only Merck scientists were there.

12 DR. DAVIS: Okay.

13 DR. FITZPATRICK: Because of the
14 location and because of the --. But we felt
15 that we weren't really giving an undue
16 advantage to Merck because by training our
17 scientists in this technology, we're really
18 anybody, any manufacturer who's bringing this
19 technology in to the FDA. Because they'll all
20 benefit for a reviewer or a field investigator
21 that really understands the technology better.

22 DR. DAVIS: No argument; I just wasn't
23 sure --

1 DR. FITZPATRICK: But at General
2 Mills, there were scientists from about nine
3 companies.

4 DR. DAVIS: The reason I asked that--

5 DR. FITZPATRICK: There was Hormel and
6 Frito and Pillsbury --

7 DR. DAVIS: The reason I asked is the
8 -- that's in the Bay Area?

9 DR. FITZPATRICK: Yes.

10 DR. DAVIS: -- I'm assuming, because
11 that's a host of companies --

12 DR. FITZPATRICK: Right.

13 DR. DAVIS: -- but there will be a
14 host of companies represented.

15 DR. FITZPATRICK: Right.

16 DR. DAVIS: And actually, we went on
17 the web at my company just the other day under
18 Biocom to see if we could find something out
19 about the meeting. And there was nothing
20 there.

21 And that's why I was a little confused
22 whether that was going to be open or not open,
23 or -- do you know what --

1 DR. FITZPATRICK: Well, they're not
2 generally open to the public. They're not
3 public meetings and we don't -- they're really
4 training sessions. We haven't done a Federal
5 Register notice or anything like that, opening
6 it up to -- because we're dealing with people's
7 scientific -- it will be open, I think, for
8 those firms that are interested going through -
9 - we've left it up to the Bay Area Bioscience
10 Center, as we left it up to Merck, to decide
11 whether they -- who was going to represent them
12 at the industry.

13 DR. DAVIS: So it's not unusual to you
14 that it's not on your web page?

15 DR. FITZPATRICK: No. No.

16 DR. ANDERS: I think Ed provided a
17 partial answer to new topics in your comment
18 this morning when you're evaluating staff;
19 what's the most exciting new findings or new
20 technologies in the field? And that can
21 certainly be, guide you in selecting topics for
22 this program.

23 DR. LANGER: Yes.

1 DR. ROSENBERG: One way you may do
2 that is through Pharmala or Bio. You can use
3 the trade associations; that way you can get
4 access to all the pharmaceutical companies.
5 I'm sure there might be things that, each of
6 the companies that would be of interest to you.
7 Those kinds of things.

8 The other comment, though, is also
9 that -- make sure that for some of these
10 things, for example what you're doing now with
11 array technology, that's such a new technology,
12 and not yet firmly established. You're going
13 to have to make sure that you reassess as you
14 go along that you don't kind of do this as a
15 one-off and think you know or understand the
16 technology. I think there's an incredible
17 amount of development.

18 And my sense, and I'm just getting
19 into my own personal opinion, is that when you
20 get four biotech companies, you'll get four
21 info-commercials about their technology. And
22 therefore, you'll need to follow up with end
23 users even moreso on a new emerging technology

1 to make sure that you're really seeing the
2 problems, the caveats, the upsides and
3 downsides of these technologies, and so on and
4 so forth. So that was just a general comment
5 about circumstances.

6 DR. LANGER: Good point.

7 DR. FITZPATRICK: I almost sound like
8 a broken record when I'm in these planning
9 meetings because I say continuously, "Now we
10 really don't want a commercial on your product.
11 You know, we're talking about science and
12 technology there, and I understand that any
13 company that comes before a large body of FDA
14 may want the tendency to do it," but we kind of
15 build that into our cosponsorship agreement.
16 That we're not talking about your products --

17 DR. ROSENBERG: No, it isn't that;
18 it's just that any scientist will always
19 present the positive side, and tends to limit
20 some of the negatives and caveats, particularly
21 if it's their technology. And it's sometimes
22 the end user who is trying to use it, who
23 figures out all the problems with it.

1 It seems to me some of the things
2 you're looking at are more established
3 technology, some of them are earlier
4 technology.

5 DR. FITZPATRICK: Yes. And we did ask
6 that we didn't want any presentations on the
7 microarray systems from any company that's more
8 than a year away from having a product, an end
9 product.

10 But we do have three end users on that
11 are going to talk to us about how they view EoS
12 - Roche and one other; they're going to be
13 talking about -- they've used a lot of the
14 different microarray systems.

15 DR. LANGER: Michael and then --

16 DR. DOYLE: Marty just addressed my
17 point.

18 DR. LANGER: Okay. Harold?

19 DR. DAVIS: I think that as an end
20 user myself, one of the things that concerns us
21 in industry, if I can speak somewhat more
22 globally, is that when FDA sees these new
23 techniques, they get convinced that they are

1 ready to be applied. And then the question
2 comes back to us when we're standing there with
3 a dossier is "Why don't you use this technique
4 to answer a question" et cetera, because these
5 new biotech companies will convince you that
6 this is ready, that it's validated and it will
7 answer all the questions.

8 We are fearful that we will run up
9 against the FDA, saying "Well, why didn't you
10 do X, or you need to do Y." So I think one has
11 to be careful that every new thing that comes
12 down the pike will, over time, prove to be a
13 very useful tool, doesn't become a regulated
14 tool necessarily so quickly.

15 DR. SCOLNICK: I also think you ought
16 to reach out to universities for this program.

17 DR. FITZPATRICK: The problem with
18 going to universities is that under the
19 cosponsorship -- and actually, they are going
20 to have some university scientists speaking
21 from Stanford at this. The FDA cannot pay for
22 university people under the cosponsorship
23 agreement mechanism.

1 The only thing we can pay for is FDA
2 employees traveling to that facility. We
3 can't even pay for a special government
4 employee coming to that.

5 DR. SCOLNICK: No, but I know --

6 DR. FITZPATRICK: So the industry has
7 to be willing to fund that.

8 DR. SCOLNICK: But you can try to
9 gather information from the leading science
10 departments in the leading universities as to
11 what technologies they think are interesting,
12 and then go to someplace like Pharma and try to
13 get them to sponsor it.

14 DR. FITZPATRICK: Right.

15 DR. SCOLNICK: The course, what visits
16 -- you know. Because all this stuff starts in
17 a university somewhere.

18 DR. FITZPATRICK: Right.

19 DR. SCOLNICK: And it's just keeping
20 you up.

21 DR. LANGER: And the FDA can have
22 courses here. I remember doing that myself a
23 number of years ago in drug delivery. So I

1 think that Ed's point is good; you know, you
2 could probably expand it -- bring people here.
3 It's not the same thing as seeing it; it's just
4 a complimentary.

5 Other comments or questions?

6 Okay. Well, thank you.

7 Now we have a session for open public
8 comment. Are there any people from the public
9 that would like to speak?

10 [No response.]

11 DR. LANGER: Okay, that's I think what
12 we anticipated.

13 So now I'm going to turn this over to
14 Bern for really essentially the rest of the
15 meeting, whereof he's going to lead a
16 discussion on strategies for maintaining
17 quality of science at the FDA.

18 **Strategies for Maintaining Quality of Science**
19 **at FDA**

20 DR. SCHWETZ: One of those measures
21 that we have been working on to help to
22 strengthen our science base to reach out and
23 gain access to people who could help us with

1 mechanisms other than hiring them, this whole
2 topic of leveraging and how we manage that,
3 what the directions are.

4 Linda Suydam has provided leadership
5 and focus on this, as you've all in the last
6 couple of years, and Linda is going to lead us
7 through some thoughts on where it is currently.

8 Linda is the Senior Associate
9 Commissioner.

10 **Leveraging Resources**

11 DR. SUYDAM: Thank you. I am very
12 pleased to have this opportunity to talk to you
13 about leveraging, one of our major priorities
14 in terms of mechanisms for being able to get
15 FDA's job done.

16 There are a couple of points that I'd
17 like to make about why leveraging, what is
18 leveraging, why now, and what we're hoping to
19 accomplish.

20 Let me start out by saying that the
21 FDA budget at the present time is clearly not
22 enough to meet all of the competing needs that
23 we have for our resources. And the other

1 limiting factor on that budget is that about 70
2 percent of it is tied up in salaries and
3 benefits for the people in the organization.

4 So we have very limited resources to
5 go outside of the agency to do things, but we
6 felt very strongly that we needed to focus more
7 on, how can we expand with others mechanisms of
8 getting our job done?

9 So we took on a leveraging initiative.
10 Starting last fall I chaired a working group
11 that was across the agency, and I think we've
12 had tremendous successes. Now leveraging is
13 not new, leveraging is something that we've
14 been doing for a long time; but what we're
15 doing is changing the emphasis.

16 So let me start by saying what is
17 leveraging? Leveraging is the creation of
18 relationships and/or formal agreements with
19 others outside of the FDA that will ultimately
20 enhance our ability to be able to meet and to
21 do our public health mission.

22 Leveraging amplifies that public
23 health impact, it emphasizes a proven way of

1 doing business. We have a number of successes
2 in this area, including our Moffett Center out
3 in Chicago, and a number of programs like our
4 *Take Time to Care, Use Medicines Wisely* program
5 that was operated out of our Office of Womens
6 Health.

7 It also capitalizes on existing assets
8 that we have, both intellectual and structural,
9 and it values the capability of others in
10 supporting our common goals. And we want it to
11 be a primary strategy.

12 I think one of the reasons that FDA
13 has not focused on this is that we've tended to
14 be in the past a relatively insular agency that
15 has believed that we can do it all. And
16 unfortunately, we can't do it all, and that
17 doesn't say that people aren't doing their jobs
18 or they're not doing their -- they're giving
19 120 percent. It just means that in order to be
20 most effective we have to use resources that
21 are outside of the agency.

22 And why now? Well, it's very clear to
23 us that the expertise and ideas and solutions

1 no longer reside in either one person, one
2 organization, or at any moment in time. We
3 think that the value of what we do is
4 determined to a greater extent by the
5 stakeholders to go outside of the agency and
6 the FDA Modernization Act of 1997 actually
7 called for it; it said consult with outside
8 experts.

9 We also believe that effective
10 responses to public health threats require an
11 involvement of a diverse group of key players.
12 So we can't do it within FDA alone.

13 Then finally, science, technology,
14 global economics and communicating information
15 and public expectations for safety all demand a
16 leveraging type of professional alliance.

17 As I mentioned, we have a number of
18 examples of very successful leveraging
19 projects. The Moffett Center, which was
20 established more than ten years ago in Chicago
21 in order to look at the safety of food
22 technology. The Mammography Quality Assistance
23 Program which is run out of our Center for

1 Devices is another good example of how you can
2 use FDA resources to get things done through
3 other bodies.

4 Recently we've established our Product
5 Quality Research Institute within the Center
6 for Drug Evaluation and Research, which is a
7 consortium of lots of people outside through
8 the American Association of Pharmaceutical
9 Scientists, who are putting money into a
10 centralized project. And that project with its
11 Board of Directors then decides which projects
12 are going to be funded for research that is
13 common to all of the people in the
14 pharmaceutical industry.

15 Our Joint Institute for Food Safety
16 and Applied Nutrition at the University of
17 Maryland is another example; and I mentioned
18 briefly our *Take Time to Care, Use Medicines*
19 *Wisely* program, which is run out of our Office
20 of Womens Health, which has been an incredibly
21 successful program using the National
22 Association of Chain Drug Stores to distribute
23 the materials that we developed, and to use a

1 variety of other organizations to partner with
2 us to get the messages out.

3 In doing this, we're really talking
4 about changing a culture at FDA; and when you
5 change a culture, you need to provide people
6 with the tools that they need to change that
7 culture; and as a result we have put together a
8 whole variety of mechanisms that we think will
9 be helpful to people in the future.

10 So we've developed a leveraging action
11 plan, we worked on the priorities in that
12 action plan. We developed a vision statement
13 and guiding principles. We have a leveraging
14 handbook, which is available for all FDA
15 employees on line. We have an FDA Internet
16 website. We have a compendium of leveraging
17 examples.

18 We've recently held two stakeholder
19 engagement meetings on leveraging, one out at
20 Stanford at the end of March and one at Duke,
21 just last week -- I think it was just last
22 week; it seems like it. It must have been last
23 week.

1 Both of those were incredibly
2 successful meetings in terms of people coming
3 forward with ideas about how FDA can work with
4 a variety of partners to help get our public
5 health mission completed.

6 Then in addition to that, we're
7 building the infrastructure within the agency
8 so that we have center contacts, we have a
9 leveraging task force group that will be
10 working as a consultant panel; so that if you
11 have a leveraging idea as an agency employee,
12 you go to the consultant panel and they will
13 give you advice on how in fact you can get that
14 done.

15 Then we're finally building leveraging
16 into our performance plans, both individual and
17 organizational, and also building it into our
18 budget.

19 I think the handout you have shows a
20 couple of different figures of how FDA's
21 supports are leveraging initiatives, and we
22 really believe that the circle of innovation
23 that we're trying to accomplish can start

1 either with FDA scientists, it can start with
2 people outside. It can start with any of our
3 partners, professional organizations,
4 universities, industry, consultants, consumer
5 groups, state, local or federal government, are
6 all partners and people that we can work with
7 to leverage the resources we have.

8 I think we're very enthusiastic about
9 this project, and we really are hoping that it
10 will help to build relationships and also
11 expand the intellectual capital that's
12 available to the FDA in order to get our job
13 done. And leadership is needed because right
14 now sometimes the ideas are ill-defined. Our
15 partners are also not always very organized.
16 The power and resources may not be even and so
17 we have to have the kind of principles that we
18 have. Problems can be scientifically or
19 technologically complex. Sometimes different
20 perspectives can also breed adversity, but we
21 think we can work through all of these things.

22 So we think that leveraging can be a
23 variety of things, and want people to know

1 that. It can be formal or informal, it can
2 have short-term results or long-term results,
3 it can be a project where we have high control
4 or where we have little or no control, a
5 project where we have great oversight or where
6 we have little oversight; it can be a project
7 where we work with one organization or with
8 many organizations. It can be a project where
9 we're working with partners that we've known
10 for a long time that we've worked with in the
11 past like our state counterparts within all of
12 the state governments, or it can be a project
13 that will be with new partners, people that we
14 have not yet worked with.

15 And then it can also be something that
16 is a proven approach somewhere where we already
17 have a model in place, or it can be a totally
18 innovative effort. And I think the project
19 that Suzy talked about just previous to this
20 presentation certainly points out that this is
21 a way FDA is in fact leveraging its resources,
22 by using these types of training programs that
23 we're working with industry on.

1 We've asked our senior staff to be
2 incredibly supportive of this program; we've
3 asked them to be champions for it; we've asked
4 them to help build the infrastructure; to
5 promote it as an option early on. So not to
6 think when they're presenting new ideas or new
7 programs that it means we need more people to
8 get the job done. It's a project that we want
9 them to think of, how can we leverage resources
10 by taking some dollars and applying it outside
11 the organization?

12 We expect our senior staff to monitor
13 and track progress at the projects, to reward
14 and recognize efforts and to report the
15 progress periodically to the commissioner.

16 So at the Science Forum last year, Dr.
17 Henney said "We leverage so that we can bring a
18 wider range of scientific thinking to bear on
19 public health issues. It's a smart way for us
20 to do our business." And I think that's the
21 message we're trying to get across about this
22 project.

23 I'll be happy to take any of your

1 questions.

2 DR. DAVIS: In the material in the
3 book, and several times mentioned this morning,
4 you mentioned Moffett.

5 DR. SUYDAM: Yes.

6 DR. DAVIS: And also there is JIFSAN,
7 two different leveraging programs. Is it the
8 same thing just on different places, or two
9 totally different programs? Could you explain
10 the difference, if they are different?

11 DR. SUYDAM: I think I can explain,
12 the initial Moffett Center was started out in
13 Chicago in 1989, and it was focused on,
14 primarily on food packaging, as I understand
15 it, you know? And processing. Packaging and
16 processing.

17 JIFSAN's focus is much broader in
18 terms of food safety, and I think those are the
19 differences, although Bern may have a better--

20 DR. DAVIS: So is Moffett still in
21 processing and packaging, or has it broadened
22 itself?

23 DR. SUYDAM: No.

1 DR. SCHWETZ: But they're also, I gave
2 the example this morning of, the research
3 that's going on there to figure out how to do a
4 pasteurization of products without destroying
5 them; cold pasteurization, other -- there may
6 be other people in CFSAN who could say a lot.

7 DR. SCHWETZ: Sam, you can help me.
8 Work of that kind that has a direct
9 problem associated with it for health, that we
10 know there is a problem with contaminated
11 juices; and the work can go on there to try to
12 figure out exactly how to do it; the work that
13 Joe mentioned on sprouts, to make sprouts
14 safer.

15 Sam Page is the director of JIFSAN.

16 DR. PAGE: I think another major
17 difference is that JIFSAN is a virtual
18 organization. We have a very limited amount of
19 staff involvement with a liaison between FDA
20 and the University of Maryland and private
21 sector. Whereas there's actually a major
22 branch of the Food and Drug Administration that
23 is part of the Moffett Center in Chicago, in

1 our Office of Plant and Dairy Foods and
2 Beverages.

3 The other part of that in fact that
4 JIFSAN is one of the major if not the major
5 initiative is in the integration of FDA
6 research components with the university. We
7 have a new building under construction at
8 College Park adjacent to the University of
9 Maryland that we're quite excited about.

10 We are also integrating our major
11 analytical instrumentation; our NMR group is
12 already there, for example. We will have an
13 unveiling of a new electron microscopy suite
14 which is at the University of Maryland which
15 incorporates both FDA and University of
16 Maryland staff actually working there at the
17 university. We're starting to design a mass
18 spectrometry facility.

19 We're quite excited about the Center
20 for Drug Evaluation move, a new lab that will
21 be under construction shortly at White Oak,
22 which is the other side of the campus from the
23 Center for Food Safety. So we're starting to

1 explore options there with the joint
2 utilization of equipment.

3 But what we're looking for in the long
4 term is a substantial integration with the
5 university system in both training, training
6 programs, continuing education program as well
7 as in the research efforts. But certainly
8 there will be much more integration with the
9 regulatory and research programs through the
10 Joint Institute at Maryland because of the
11 close proximity with the centers.

12 DR. SCHWETZ: Sam, the clearinghouse
13 is also a big difference between JIFSAN and the
14 Moffett Center.

15 DR. PAGE: Yes. As brought up by Bern
16 and Joe, one of the major points of emphasis
17 here is risk analysis, including risk
18 communication and part of the president's Food
19 Safety Initiative, put the Risk Analysis
20 Clearinghouse in JIFSAN at the university; and
21 this is a consortium among all of the federal
22 agencies involved in risk assessment. This
23 database will be available for people doing

1 risk assessments, both in the public and
2 private sector.

3 DR. DOYLE: Linda, one of the examples
4 you use is a public education campaign using,
5 addressing safe drug use.

6 DR. SUYDAM: Yes.

7 DR. DOYLE: And I know FDA and
8 U.S.D.A. and the industry has also been
9 involved in a similar campaign with *Fight Back*
10 and food safety. GMOs is a major issue right
11 now where we need a public education campaign.

12 Has the FDA thought about some sort of
13 a collaboration with industry, U.S.D.A.,
14 others, to do a similar thing?

15 DR. SUYDAM: We have thought about it,
16 and in fact we do believe that there is a major
17 public education component to the issue of
18 GMOs, but we have to build that into our
19 overall strategy and how we're going to deal
20 with genetically modified organisms in food.
21 And I think it's just not right here at this
22 moment that we're ready to do it.

23 VOICE: The L word.

1 DR. DAVIS: The L word?

2 VOICE: Labeling.

3 DR. SUYDAM: We thought the L word was
4 leverage.

5 DR. DOYLE: I'm talking about just a
6 general education of the public so they know
7 what GMOs are and basic principles. Not
8 getting into those types of issues.

9 DR. SUYDAM: Good suggestion.

10 DR. LANGER: Yes, that's good.

11 Other comments?

12 DR. DOYLE: What's happened to *Fight*
13 *Back*? You don't see much about it or hear much
14 about it.

15 DR. SUYDAM: It's still being used
16 extensively in public schools, and has received
17 excellent evaluations.

18 DR. DOYLE: Great concept. Novel.

19 DR. SCHWETZ: What we would like to do
20 from the standpoint of interaction with the
21 Board is to continue to have dialogue with you
22 on where we're going with leveraging, what the
23 successes are, what problems we've encountered,

1 and to get your reflections, your advice, your
2 help, on how we can do this bigger and better
3 and get more of an impact from it.

4 So you will be hearing more about this
5 as we go along.

6 The next topic is one that I know is
7 going to be brought back to you from time to
8 time; because while the mission of the agency
9 is, and we've talked about it in terms of
10 safety and efficacy of products and protection
11 of public health, the most important part of
12 the agency in being able to do that is the
13 people we have, obviously. It isn't the laws,
14 it isn't the other things, it isn't the brick
15 and mortar; it's the people we have.

16 One of the things we realize in the
17 agency, and Mary may be talking about this some
18 more, is that because of major hiring practices
19 in the agency and earlier years, we have a
20 bulge of people coming forward right now who
21 are ready for retirement. And on the one hand
22 that represents a major concern of ours,
23 because there's a huge amount of institutional

1 memory in this bulge of people whom we will
2 lose, but on the other hand it represents an
3 opportunity for us to structure the agency of
4 the future.

5 I think a lot of hiring activities,
6 especially in -- well, in good times, too; not
7 just tight times -- we tend to think of
8 replacing people one at a time. And at least I
9 guess I would admit that I haven't thought, in
10 the past when I have had the opportunity to
11 hire people, that I'm hiring the leadership of
12 the agency in twenty years, or the leadership
13 of NCTR or NIEHS or whatever it was I was
14 involved in.

15 I think in reality we need to look at
16 the hiring activities that are in front of us
17 in the next few years as nothing short of the
18 expectation that we need to hire these people
19 so that they are in fact the best leaders that
20 the agency could have in 20 years and not just
21 that we're filling vacancies.

22 And as a result, I wanted to have you
23 get a feel for what is the profile of

1 scientists in the agency today? In a lot of
2 different ways, not just expertise but other
3 characteristics; and Mary Babcock is going to
4 present some of that information. She is the
5 Director of our Office of Human Resources and
6 Management Services.

7 So you've got a lot of information in
8 the packet that you received earlier and
9 additional today, and it isn't our intent to
10 have a one-time pass-through of all this detail
11 and never come back to it. What we hope is
12 that we open the door for some questions today
13 as opposed to put things to rest, because this
14 is so important that we want to talk about
15 recruitment and retention on an ongoing basis,
16 and have you help us with those things that in
17 effect will determine more what the agency is
18 like in 20 years than guidances that we write
19 today or products that we approve individually
20 or whatever else.

21 So this is something that we think is
22 of major importance. Mary, will you lead us
23 through this?

1 MS. BABCOCK: Yes. Thank you very
2 much.

3 Retention and recruitment of scientists
4 Flexibility of the profile of scientists
5 Training, retraining of scientists
6 Peer Review of Scientists

7 [Slide]

8 MS. BABCOCK: I hope it's all right
9 with you if I sit down; I have a lot of paper
10 to shuffle, and I was afraid it would fall of
11 the podium and embarrass me.

12 Well, we're going to sort of shift
13 gears from science to our scientists, and I
14 have a lot of detailed information in your
15 notebook that you might want to look at while
16 I'm talking. I've just put some highlights on
17 the slides for the people in the audience.

18 I also, in the blue packet I just
19 handed out, I put an organizational chart of
20 the Food and Drug Administration. I'm not sure
21 what kind of an orientation you had before you
22 came here about what the organization looks
23 like.

1 And I know in FDA -- I'm sort of
2 considered a newcomer -- that we throw a lot of
3 acronyms around, and you might be sitting there
4 saying, "Now what is CVM, or CFSAN?" So
5 hopefully with the organizational chart, it's
6 going to be easier to follow along.

7 One other thing I'd like to offer, if
8 anyone's interested, and it might help in some
9 of your quality reviews of our organizations
10 is, we have further organizational charts that
11 go down to every single organizational layer;
12 it's a package that's about a half an inch
13 thick. But if anyone's interested, I certainly
14 can provide that at some later date.

15 The part of my organization that says
16 management services is the organizational piece
17 of FDA.

18 [Slide]

19 The first handout in your package, and
20 the next slide that I have is the overall
21 onboard strength of FDA science. And I've
22 tried to highlight on the board here the major
23 occupations that we work with in FDA. In your

1 first handout in your notebook you'll see down
2 to the detail of every last individual
3 scientific position we have.

4 So this only highlights the major
5 occupations. I think you'll notice, the
6 consumer safety officer is our biggest
7 occupation; that's really the field
8 investigators, for the most part. We have
9 consumer safety officers in every organization,
10 but the bulk of those 1800 positions are the
11 ones that are located in our field
12 organizations.

13 The require there, it's not
14 necessarily a degree in science although we
15 prefer a degree in science, they have to have
16 at least 30 hours of science to qualify for
17 that position. All of our other scientific
18 positions require a degree. The majority of
19 our scientists have a master's or Ph.D. degree.

20 If you look in your notebook, you'll
21 find any number of sorts of detail. I wasn't
22 going to bore you with all that, unless you
23 have any questions on -- I've sorted it by

1 center and by occupation.

2 You'll notice, at the bottom of first
3 chart there's a percentage for each one of our
4 centers. The total percent of FDA, 78 percent
5 of our positions that we have are in fact
6 scientific positions. They're scientists or
7 support positions for scientists, which only
8 leaves 22 percent administrative staff.

9 [Slide]

10 The next major group of charts there
11 is our attrition rates. We have some issues
12 with attrition. We have high turnover in
13 several of our scientific positions; one that's
14 really critical to us is pharmacokineticist. We
15 basically can only keep a pharmacokineticist
16 three years and they're out. So we lose one
17 third of that every year. That's a problem in
18 our recruitment and retention.

19 For the most part, though, our
20 attrition rate is fairly normal, typical
21 government attrition rate. Every year we
22 recruit about 500 people to backfill the 500
23 people that we lose. Unfortunately what we

1 thought the board could help us with is
2 identifying new and different places to recruit
3 employees.

4 Now, we've compared our attrition rate
5 to the whole Department of Health and Human
6 Services and also to NIH and CDC; and actually,
7 FDA's attrition rate at least today is lower
8 than the other organizations. So we're doing
9 as well as can be expected, I think in that
10 area.

11 One chart I did put together is the,
12 kind of looking at the attrition within one of
13 our centers. The Center for Drug Evaluation
14 and Research, this is 1999 they took a look at
15 it, -- and those numbers might be hard to read
16 I'm sorry, that was the best --.

17 The majority of the people we lose in
18 the Center for Drug Evaluation go to private
19 sector. What we're finding, they come into
20 FDA, they learn our business, they learn how to
21 do drug reviews, and then they become very
22 valuable to industry, and they can command huge
23 salaries.

1 So we're sort of learning to live with
2 that and just -- we're trying to change our
3 culture a little to, instead of bringing people
4 in and trying to keep them 30 years, we're
5 trying to figure out how to take advantage of
6 new, young people coming in with some technical
7 knowledge, keeping them for five years, and
8 then bringing a new group in behind them. So
9 it's sort of a change in the way we've been
10 doing business.

11 It kind of matches the way the younger
12 generation is coming into the work force;
13 they're not prepared to stay 30 years in a
14 career any longer, they'll be moving around.

15 [Slide]

16 As far as projected hiring, in
17 addition to the 500 positions that we normally
18 hire in a year, that's just our normal
19 attrition. We're doing some projection for the
20 year 2001. In the year 2001, we've put
21 together some budget strategy that we're going
22 forward to Congress with to hopefully get some
23 new money in FDA, and right along with the

1 budget strategy we've tried to identify what
2 kind of people and how many we would be hiring
3 if in fact we're successful with Congress.

4 So this just gives you highlights of
5 the new positions we would be hiring.
6 Altogether, we're projecting another 450 to 500
7 positions if we get the increases we're asking
8 for. So next year we might be looking at
9 hiring 1,000 people.

10 So just to pique your interest, if you
11 know people who are going to be looking for
12 jobs out of the universities.

13 [Slide]

14 I thought maybe I'd talk a little bit
15 about our hiring authorities. I don't want to
16 bore you with the federal issues but FDA is
17 lucky in a way, as a federal agency we have a
18 lot of flexibility other government agencies
19 don't have.

20 Normal federal government hiring is
21 done under what we call Title 5, which is the
22 laws, rules, regulations that govern hiring.
23 It's a very tedious process, it takes a long

1 time. If we started a vacancy announcement, it
2 might be six months or more before somebody
3 walks in the door using the normal federal
4 hiring process.

5 I know it's long, but it's just the
6 way it goes; we also deal with veteran's
7 preference laws and things like that.

8 FDA has the advantage of having two
9 other laws that govern hiring. One is our
10 Title 38, which is for medical doctors. Title
11 38 is really for the Veterans Administration,
12 for their hospitals hiring doctors, but they've
13 expanded it to the Food and Drug
14 Administration.

15 So we're able to hire medical doctors
16 without going through a whole lot of
17 competition and paperwork, and we also are able
18 to pay them much higher salaries than the
19 normal government employee would be hired.

20 So our retention with medical doctors
21 under this new Title 38 authority has been much
22 better. In the past we were losing one doctor
23 for every one we hired, so we never got ahead

1 with the number of doctors we had on board.
2 We'd hire one and one would leave, so we were
3 always like a hundred underneath.

4 With this new pay and hiring
5 authority, with Title 38, we've been able to
6 add 100 doctors just this year. So the medical
7 doctor is really key to our drug reviews, in
8 particular; they're kind of the final reviewer,
9 so it's important for us to have them on board
10 and have them trained in the drug review
11 process.

12 The Center for Drug Evaluation is
13 really where most of our medical doctors are
14 located.

15 We also have the advantage of the
16 Public Health Service Act, the Title 42, we're
17 calling it, where we can really go out,
18 identify good people, and bring them on board
19 immediately. We don't have to go through much
20 of any process.

21 Title 42 gives us authority to pay up
22 to \$2 00,000, which is the current cap on the
23 federal government. That's what the

1 president's salary is, so we can't go beyond
2 \$200,000 at this point. We are not authorized
3 to pay that much, but at least we have this
4 authority to expand some of our current
5 salaries.

6 So that's pretty exciting that we have
7 this kind of flexibility; so that if we can
8 target our recruitment, if we can find the
9 right schools or the right places to go, we can
10 in fact offer jobs a lot faster than the normal
11 federal process.

12 We also have several what I call
13 contract authorities. This is where we can pay
14 stipends to bring students on board, or faculty
15 from academia or other places where we can
16 train them, we can bring them on board to work
17 in our lab; we have over 50 labs across the
18 country where there's a lot of education and
19 sharing we can do, bringing postdocs or just
20 professional documents on board to work in our
21 labs and work with our employees, and learn our
22 business, and we can learn from them in the
23 state of the art.

1 We used that, particularly in the
2 NCTR, we use the ORISE program, where it's the
3 Oak Ridge Institute for Science and Education,
4 where we partner with the University of
5 Arkansas.

6 One thing we've put together for you,
7 and I hope at some point between the next
8 meeting you'll have a chance to look at, we
9 actually went through every single person we
10 hired, every scientist we hired last year in
11 FDA -- and I believe it's the last handout in
12 your notebook. And we did a profile that
13 includes the grade level, and I'll give you a
14 chart with the grade levels. The degree, the
15 school they came from, prior experience and any
16 honors and associations that the person had.

17 What I think we'd like to ask the
18 Science Board, and I think this is what Bern
19 had in mind is, to just quickly look through it
20 and see, are we targeting the right schools?
21 Are we getting the right quality of science in
22 the organization? Should we be doing something
23 different. To me it looks pretty impressive

1 that the level of people we get, the quality of
2 the person we attract into FDA; but I don't
3 know all the scientific -- I don't know if
4 hiring a medical officer from certain schools
5 is a good thing, or may be a mediocre thing.

6 So I think what we'd like to do is
7 have some kind of a response back from the
8 Science Board saying, "You're really hiring A
9 quality students or B quality students" type
10 feedback.

11 Do you all see that in the back of
12 your notebook? Okay.

13 The other couple things I've done, as
14 far as recruitment of scientists; this is our
15 brochure that we use when we go to college
16 campuses or job fairs or other kinds of
17 recruitment at the entry level. It's just
18 information about FDA; it's got our web site,
19 it's got our offices listed, tells a little bit
20 about the organization, and we have inserts
21 about individual occupations that we have.

22 So you can take that or leave it,
23 whatever. One of the things we have found is,

1 with the jobs that we've advertised over the
2 last year, we now put them up on the Internet
3 through the Office of Personnel Management,
4 which covers the whole federal government.

5 And the response to our announcements
6 has been maybe triple-fold over what we used to
7 get, as far as people we see. Unfortunately,
8 even though last year we looked at 12,000
9 applications, we only selected 250 people. So
10 we're doing a lot of work; we're reviewing a
11 lot of applications but we're not necessarily
12 reaching the people that perhaps we need to
13 reach.

14 So that's just the recruitment
15 material we use. The other thing I put in the
16 blue folder, and I apologize, I didn't get this
17 into the book before it was time. Just some
18 examples of the kind of vacancy announcements
19 we use. There's one for a medical officer, and
20 it talks about all the medical specialties and
21 how people apply for a position, if you're
22 interested in looking at something like that.

23 One of the things we've talked about

1 for a long time is how to simplify the process
2 to get into the federal system. So any
3 suggestions you might have on that would be
4 appreciated.

5 Are there any questions about
6 recruitment before I go -- I thought I'd talk a
7 little bit about our peer review process.

8 DR. NEREM: Just a couple comments.
9 As far as whether you're hiring from the right
10 schools, one of the things you might do is talk
11 to some of the bigger companies that bring
12 products before you, and find out where they're
13 recruiting from. Because in some sense, you
14 ought to be recruiting from the same places.

15 The other thing I was going to comment
16 on, if you add up all the engineers you have, I
17 think it's 179. I'm actually surprised you
18 might not have more. But one of the emerging
19 things in education is the obvious
20 attractiveness of young people to the bio-
21 world. A lot of those people are going into
22 either biomedical engineering or
23 bioengineering, and it's not clear to me that

1 there's in fact enough jobs in industry to take
2 care of them, all these bright young people,
3 and that might be a good area to recruit in
4 because these people are coming with a
5 combination of biological background and a more
6 engineering product-oriented background, and
7 that might be a good match for FDA.

8 DR. LANGER: Go ahead.

9 DR. DAVIS: As I thumb through the
10 sheet here looking at the schools, what's
11 strikingly obvious to me is that you're not
12 doing a great job of recruiting from historical
13 black colleges. Now whether it's that you
14 aren't giving them or they aren't showing up --
15 but as I just look through here, the only
16 school I see you consistently getting people is
17 Howard, which is located right here in the
18 area, and that sort of makes sense.

19 I know when Dr. Sullivan, past head of
20 HHS was here, that was a program he started
21 down at Moorehouse Med School, I think it was
22 called EMS, that he was involved in. There's a
23 consortium of historical black colleges who

1 have medical programs of some persuasion; med
2 schools, dental schools, veterinary schools,
3 pharmacy schools, et cetera. And I know he has
4 gone back to Moorehouse, and his brother's
5 there, and they're still very active in that,
6 and I've been involved. I would say if you're
7 going to find people from sources you don't
8 routinely recruit from, you're going to have to
9 go get them, and that would be a good place to
10 look.

11 MS. BABCOCK: Actually, we have quite
12 an active program for reaching out, for
13 diversity. Right now we have a major effort
14 going on in the Hispanic area. We are
15 seriously underrepresented in the Hispanic
16 community, so we've been working with
17 partnering with the universities, University of
18 Puerto Rico, University of New Mexico, and a
19 university in Texas that has a high Hispanic
20 population.

21 We do routinely go to the historically
22 black colleges and universities to do
23 recruitment.

1 DR. DAVIS: Well, that's actually an
2 organization, so even just a one-off --

3 MS. BABCOCK: Right. We have a list
4 of them; I think there are 150.

5 DR. DAVIS: -- that's a great way --
6 they normally bring about 8,000 college
7 students together for a medical forum every
8 year, so it's sort of a one-time sort of
9 everybody's there.

10 The other point I'd make is you made
11 the comment that you're going to lose one-third
12 of your force due to just people going out
13 because of age. And that it sounded like you
14 were saying that you were sort of acquiescing
15 that that was just going to happen, but you
16 were going to try to -- you're changing the
17 mode of operation that, we're going to try to
18 get people in and keep them for a few years,
19 knowing they're going to go away. We're just
20 going to lose a lot of these young people.

21 That scares me as a person who brings
22 an application for it, because it sounds like
23 there's always going to be people sitting there

1 fairly new. You know, that these reviewers, at
2 least that I see, are going to be people with
3 two years, three years' experience. Just keep
4 turning over all the time. And that's sort of
5 frightening.

6 MS. BABCOCK: One of the things we've
7 talked about is, if we identify somebody who's
8 really on a fast track, who is really an
9 excellent reviewer, figuring out ways to pay
10 them more money to keep them in FDA longer.

11 We figure it takes two to three years
12 to train somebody to be a really good reviewer,
13 to follow drug from the beginning to the end.
14 So it hurts us when we lose people that
15 quickly. So we're trying to come up with
16 incentive programs where we can keep people
17 longer.

18 Unfortunately I think part of our
19 problem is that industry is able to pay so well
20 for some of these people that we can't compete
21 within this federal system.

22 Now my comment was more that 30 years
23 ago people started a career and expected to

1 stay when placed for 30 years. It was kind of
2 a lifelong career and we've had a lot people in
3 FDA who have been here 30-35 years. And now
4 that's not the model anymore; at least all the
5 data shows that people are more inclined to
6 have seven or eight or nine careers throughout
7 their 30 years.

8 So I think it's just a matter that we
9 have to kind of rethink how we treat our
10 people, and try and keep the best ones as long
11 as we can, but just face the fact that that's
12 going to happen.

13 DR. DAVIS: We have the same problem
14 in industry, and when I first came in there
15 were a glut of people who were on that site who
16 might have been there 30 years. But now people
17 move from company to company to company, so
18 industry fights the same battle, "How do we
19 keep our good people without throwing up our
20 hands?"

21 MS. BABCOCK: We've also talked about,
22 how can we attract people who have had their
23 career in industry, at the end of, when they

1 retire bring them back into FDA? One of our
2 issues is, the ethics issue of a lot of private
3 sector people's retirement is in stock holdings
4 that they have, and of course we have very
5 significant rules that govern how much -- you
6 really can't hold any stock in regulated
7 industry.

8 So we have a couple issues there that
9 we've been talking about. You know, is there
10 anything we could do if we wanted to attract
11 somebody who retires from an industry after 30
12 years and bring them onto FDA? So we're trying
13 to be creative as we can with some of these
14 issues.

15 DR. LANGER: Ed?

16 DR. SCOLNICK: It looks like you're
17 getting Ph.D.s from local schools. And you're
18 not getting a wide variety of schools
19 represented. So the question is, you know I've
20 found over the years in recruiting that the
21 best recruiters are not -- don't take this
22 wrong -- they're not the HR people, they're the
23 scientists.

1 So what is your program where people
2 who run your divisions and run your centers,
3 the scientists that lead your organization, in
4 an organization you want to be more science-
5 based, what are they doing to help bring in
6 talent, given your restraints, and your pay
7 scale, which is difficult?

8 MS. BABCOCK: Actually, when we have
9 big recruitment programs, and I think Alan
10 Rulis will be talking about this a little bit
11 this afternoon, but when we have big numbers of
12 people that we're going to try and recruit for
13 one center or something, they actually are the
14 ones who go out and do either college
15 recruitment or --

16 DR. SCOLNICK: No, but you have a
17 constant need for people. Recruiting is not
18 filling a job, recruiting is a lifelong process
19 of establishing relationships with science
20 departments, constantly making your presence
21 known, getting your people known, getting the
22 young people to know you exist, comfortable
23 with you and constantly applying to fill your

1 turnover as well as your big boluses.

2 And if you're really serious about
3 upgrading the scientific staff of FDA, I think
4 you really need to look at how you recruit.
5 Because if I take you at face value about the
6 losses that Harold talked about and look at
7 where you're getting your scientists, I think
8 you have a major problem, and you really want
9 to upgrade the science in the FDA with what
10 you're doing, you have a big problem. You have
11 an enormous problem.

12 You'll never fill the jobs that you
13 need with the quality of people you need, and
14 if this is the best that can be done, you need
15 to rethink the whole structure of how
16 regulatory reviews are done for products that
17 affect the health of people. You'll never
18 improve.

19 DR. NEREM: I don't know how Merck and
20 Amgen and SmithKline do it, but I know some of
21 the major medical device companies that
22 basically target seven, eight universities.
23 And those are the ones that they're

1 establishing specific relationships with.

2 DR. DAVIS: That's what everybody
3 does.

4 DR. SCOLNICK: That's what everybody
5 does. That is the mode for all the industry
6 recruiting, whether it's law firms or
7 pharmaceutical firms or whatever.

8 DR. DAVIS: That's sort of what I
9 meant by historical black colleges. Just as an
10 example, you can't show up once and try to
11 recruit. People look for relationships.

12 In our company, we actually -- this is
13 public knowledge -- we actually pay members of
14 our scientific staff for recruiting people.
15 Because we recognize that we're in competition
16 with other people. Now, I'm not sure that the
17 government can do that, but we have an ongoing
18 -- it's been going the last five years, an
19 internal thing where if you recruit a scientist
20 and the more top-notch that scientist is, the
21 higher level, the more you get paid.

22 Now directors and above don't get to
23 participate in that so it doesn't count for me,

1 but for more junior faculty, it's a way of
2 getting the scientists themselves involved in
3 their alma maters or in their trade
4 associations or whatever, to help find these
5 people.

6 DR. SUNDLOF: Well, the way the NCTR
7 does it --

8 [Simultaneous discussion]

9 DR. LANGER: Is there any type of --
10 it's really a good point because I just think
11 about my students, my graduate students and
12 undergraduates. If they have a relationship by
13 knowing people at the company, the chances of
14 them going there just go up tremendously.

15 So the question that people are
16 raising is, are there any -- and it's actually
17 very consistent; the schools that are closest
18 to here are the ones that you're getting. So
19 if there are ways to try to have people speak
20 or join research programs or something like
21 that at universities, in other words as an
22 investment for your future, I think it's --
23 trying to come up with a creative strategy -- I

1 mean, Ed is absolutely right.

2 DR. SUYDAM: I think that's actually
3 one of outcomes that we pulled from the
4 leveraging initiative as well. Because if you
5 start building partnerships with institutions
6 across the country, then hopefully those
7 partnerships will yield you people that will
8 want to come to work at the FDA.

9 DR. SCOLNICK: If you reach out for
10 your review process and your information
11 process more widely, you'll build the kind of
12 research partnerships -- I mean, I agree with
13 you. I'm actually quite worried, looking at
14 this background information.

15 DR. LANGER: And I think what you're
16 saying is good, but I don't know if it goes far
17 enough. In other words, the question is, how
18 do you get really the students exposed? Like
19 if you want to get good young people, how do
20 they get exposed to what you're doing?

21 DR. SUYDAM: Yes. I understand what
22 you're saying, but it could also be that FDA
23 scientists would be out working within the

1 university.

2 DR. LANGER: Right.

3 DR. SUYDAM: Hoping to give lectures
4 and --

5 DR. LANGER: Exactly

6 DR. SUYDAM: -- being part of an
7 ongoing curriculum. And in fact I know we do
8 have some Center for Drug employees who are
9 actually in a curriculum program at Duke, in
10 the Duke clinical research system, which gives
11 them exposure to the medical students at Duke.

12 So those are the kinds of programs we
13 have to build on.

14 DR. LANGER: I'm also -- go ahead.

15 DR. NESTLE: I just want to point out
16 that the CFSAN report had a number of
17 suggestions along those lines about postdocs
18 and internships and people taking positions at
19 universities and adjuncting and all of those
20 were in there, for just exactly this purpose.

21 DR. FENNEMA: Another approach,
22 particularly at the master of science level,
23 which is incredibly effective, is a program of

1 internships, summer internships for
2 undergraduate students to come into your
3 laboratories.

4 I know from our experiences in
5 Wisconsin that that is a very, very effective
6 employment tool, and is one you might think
7 about.

8 MS. BABCOCK: We have those
9 mechanisms; it's just that we haven't been
10 using them as much as we probably should.

11 DR. NESTLE: Every student in our
12 department at every level does an internship,
13 and they, I would say 60 percent get jobs in
14 the places where they do their internship. I
15 mean some astonishing percentage. It may even
16 be higher.

17 DR. SCOLNICK: I think you have a
18 crisis, and I don't want to overstate it, but I
19 really believe it, and Harold and I share the
20 same view.

21 DR. DAVIS: It's just if you look at
22 regrettably the salary structure, you know,
23 which is not your doing, it's the government's

1 doing, what industry is paying them, if you
2 look at - you see 30 percent of your people,
3 senior people could walk at any time, and if
4 you look at where you're getting people from,
5 primarily just the local schools, there's no
6 way the local schools can make up the
7 differences for all the head count that you
8 have, and then you factor on top of that the
9 inequality of salary that can be offered. It
10 just seems frightening.

11 DR. NESTLE: And the ten days
12 vacation. That was one that made my heart
13 sink.

14 DR. DAVIS: We don't take a vacation
15 anyway --

16 DR. NESTLE: It doesn't matter; it's
17 the principle of the thing.

18 MS. BABCOCK: Some of these new hiring
19 authorities do give it some flexibility in the
20 salary schedule. So that's going to help, I
21 think somewhat. We've hired a consultant firm,
22 Toffler & Martin, to help us put together kind
23 of a future vision of where we want to go in

1 the organization, how to get there? It's
2 really aimed at work force planning and a lot
3 of the ideas we're talking about that you're
4 suggesting in fact are in there.

5 The senior staff of FDA has really
6 bought in to trying to do something a different
7 way. So I think we're going in the right
8 direction.

9 DR. ROSENBERG: Given how serious the
10 problem is, and I agree with you on the
11 seriousness, the point you made earlier about
12 trying to at least in a temporary mode use
13 industry retirees I think is an excellent idea.
14 It will help supplement that.

15 But you've got to find a way to allow
16 those people to participate; just because they
17 have stock in the company they worked for all
18 their lives --.

19 DR. SCOLNICK: Or more university
20 reviewers, or really enlist, or really go to
21 the universities and -- it's a contentious
22 issue that I know has been around for a long
23 time, that is, the use of people in

1 universities to supplement your reviewers in a
2 really much more significant way.

3 I really think we cannot understate
4 the level of seriousness of this problem. It's
5 the single biggest problem I've heard today.

6 DR. DAVIS: Have you looked at the
7 former pay scales in terms of what they
8 guesstimate people in industry make? Because
9 the question I have is, are you holding your
10 own or losing ground over time? If you looked
11 at it over the last five years, in terms of
12 trying to look at a GS-somebody versus an RS-
13 somebody in industry, comparable positions,
14 would you say you're losing ground or --?

15 MS. BABCOCK: I would say, until we
16 were able to have this new flexibility, we were
17 losing ground.

18 Now one of the things we've tried to
19 do in the past, we have what we call
20 recruitment and retention bonuses where we can
21 pay up to 25 percent additional salary. One of
22 the requirements that the government put on us
23 is that the employee wanted to give a retention

1 bonus had to have a legitimate job offer in
2 their hand, or at least a potential job offer
3 in their hand.

4 Every time we'd send somebody off to
5 get a job offer, they took the job.

6 (Laughter)

7 So it was kind of a Catch-22.

8 DR. DAVIS: That's a program that
9 worked.

10 And again, SGE programs, or how do
11 politicians who get elected, who hold stock?
12 Again there are perhaps not nearly as many of
13 them; but they don't sell their stock, they --
14 don't they put it in trust or something?

15 DR. LANGER: Varmus had a blind trust
16 or something like that.

17 MS. BABCOCK: There is such a thing as
18 a blind trust. The problem with FDA is, we
19 have a published list of stocks you cannot
20 hold. And so anybody who is being considered
21 for the job would know up front what stocks.
22 So it's hard to have a blind trust when you
23 already know you can't hold that stock. It's

1 been a little Catch-22 we've been dealing with,
2 but --.

3 I think every commissioner that's come
4 in to FDA has in fact had to sell.

5 DR. NESTLE: Exactly.

6 MS. BABCOCK: Yes, even the political.

7 DR. SCOLNICK: I don't want to give
8 you a specific solution to that, but the kind
9 of thing -- and it really depends on
10 cooperation. In theory the government could
11 contract with a big company that runs mutual
12 funds and work out transition position for
13 people where for the time they were there,
14 their stocks were managed in a different way by
15 an outside company where they would give up
16 what is called beneficial control for the time
17 they were in the agency, for the time they were
18 in the government. And they would have to do
19 that. Yet they wouldn't lose financially,
20 because it would be being managed presumably in
21 some kind of significant professional way.

22 DR. LANGER: Maybe even better.

23 DR. SCOLNICK: Maybe better. Probably

1 better.

2 (Laughter)

3 DR. SCOLNICK: But I mean you could
4 just think out of the box about to do that in
5 order to enhance your ability to attract
6 talent.

7 DR. FENNEMA: Well, there's another
8 aspect to this. This isn't always a matter of
9 salary alone. If it were, you wouldn't have
10 anybody left in the universities.

11 So the other major aspect of this is
12 job contentment, and there's a lot of facets to
13 this particular problem and many of them which
14 I think you can work on, and should work on.
15 Professional development is one of those. You
16 know, the pleasantness of their environment is
17 another one. And you can go on and on with all
18 these kinds of things.

19 But that is incredibly important;
20 somebody who really likes their job, they're
21 not going to be tempted away by another \$10,000
22 a year.

23 DR. NEREM: Bern said it earlier; the

1 people you recruit in the next few years is
2 going to be the leadership 20 years from now,
3 and you've got to have a strategy for
4 recruiting and for professional growth that's
5 going to produce those leaders 20 years from
6 now.

7 DR. DAVIS: And assuming 50 percent of
8 those people are going to be gone in ten years,
9 sort of in my opinion, it's not a good strategy
10 for looking at who potential leaders are.
11 Because you're saying half the people we bring
12 in, or something, are going to be gone in ten
13 years.

14 DR. SCHWETZ: Harold, I would pose an
15 alternate way of looking at that, changing it
16 from a problem maybe to an opportunity. When
17 you have a high turnover in some categories
18 like certain of the reviewers, we have a
19 training program going on, but we're not
20 calling it that. And we're always hired under
21 the level it takes to get that job done. It
22 would seem as though it would make more sense,
23 but it may not to you, as a company submitting

1 work, that we actually call this a training
2 program.

3 And what we want to do is, eventually
4 after three years, be able to hire the best and
5 the brightest of the people who are in the
6 training program; then you do like you do with
7 postdocs: you bring a bunch of them in and you
8 say "There's no promise of long-term
9 employment, but this is a wonderful training
10 opportunity for you wherever you go. And we're
11 going to take on twice as many or three times
12 as many as we would ever want knowing that
13 we're going to very selectively just pick out a
14 few that become who we want around 20 years
15 from now."

16 We have a training program, we just
17 don't call it that. They just keep
18 disappearing. Call it a training program and
19 overhire, and pick the best out of it.

20 DR. DAVIS: Well, if you can afford to
21 hire twice as many as you're going to need
22 three-four years from now, that's a different
23 story. I guess I'm under the impression that

1 the hiring quotas are so tight that the FTEs
2 you've got are basically what you need, and so
3 you're always playing catch-up.

4 And oftentimes the people who are
5 leaving, other people who are frustrated by
6 whatever they're frustrated by, low salaries,
7 poor working conditions, don't get to go to
8 enough meetings -- whatever the reason that
9 oftentimes we find it's the best and brightest
10 who are going other places because they have
11 the opportunity to go other places.

12 If you're losing bad people, you don't
13 really care, but it's the good people that you
14 really worry about, and the good people always
15 have an opportunity to go somewhere else.

16 DR. SCHWETZ: But there are training
17 programs for epidemiologists and other groups
18 that are run that way.

19 DR. LANGER: This really seems like
20 there are two issues -- I mean it's more than
21 two issues, but two ways of looking at it are
22 first there's the recruitment of young people -
23 - and I think some of the points that are being

1 made about trying to get it to the universities
2 are very important -- then the other thing is,
3 that concerned me when I listened to this is
4 the large percentage of people -- maybe it
5 happens other places, too -- but the large
6 percentage of people that leave so quickly.
7 And how do you incent them to stay?

8 One question I had is, I don't have
9 enough of a sense of, like if somebody does a
10 great job do they get some great title or some
11 great honor? Or what happens? Do they just
12 get like a promotion of like \$10,000?

13 DR. SCHWETZ: We give them more work.

14 MS. BABCOCK: Yes, we give them more
15 work.

16 (Laughter)

17 DR. LANGER: That's the reward,
18 basically.

19 MS. BABCOCK: We do have a reward and
20 recognition program, but not the kind of money
21 you're talking about.

22 DR. LANGER: No, I'm not even
23 necessarily talking about money. Like what

1 universities do, say take Bob, he's a professor
2 at Georgia Tech, stuff like that. Do you do
3 things like -- a lot of people have chairs. In
4 other words, there's ways of giving people some
5 kind of recognizes that I think enhance -- that
6 make them feel better.

7 MS. BABCOCK: One thing we're talking
8 about now, and I think we're starting to do it
9 in Center for Veterinary Medicine, is reward
10 people who have done an extraordinary job with
11 sabbaticals. We have not used the sabbatical
12 concept too much in FDA, but whether it be a
13 mini-sabbatical or a yearlong --

14 DR. LANGER: Well, I think that's a
15 good thing anyhow, for other reasons we talked
16 about.

17 MS. BABCOCK: Well, yes, but with the
18 resource issues we have, we haven't done that a
19 lot. Dr. Henney is very interested, though, in
20 starting to roll that kind of a program out.

21 DR. NESTLE: I hate to get down and
22 dirty, but it's late in the afternoon --

23 DR. LANGER: That's okay, you go

1 ahead.

2 DR. NESTLE: One of the things that
3 was just very, very evident in the CFSAN
4 review, was how demoralized the staff was. And
5 the issues were very apparent, they just didn't
6 feel like they had any control over their work.

7 Every study that I've ever seen on job
8 satisfaction lists control right at the top.
9 You know, controlling your time, controlling
10 what you're working on, controlling when you
11 come and go, what other activities you're
12 involved in.

13 Having been a federal employee myself,
14 I fled back to the university. I couldn't
15 stand it. It was just awful. And some of that
16 has to be beyond what the federal rules are.

17 MS. BABCOCK: Well, actually, I think
18 we have created and rolled out some of the most
19 flexible and creative programs to address those
20 under our quality of work life issue. One of
21 the problems my staff and I have been having is
22 to get our supervisors to accept it.

23 For instance, we created a program

1 that we call Any 80. The normal two week work
2 period is 80 hours. And the government
3 requirement is that you have core hours that
4 every individual has to be there during core
5 hours. In this program we said your core hours
6 are Wednesday from 11 to 12. So it's the
7 program where you have to come to lunch on
8 Wednesday.

9 And other than that, you can flex from
10 midnight on Sunday night to midnight Saturday,
11 within a two week period, as long as you put 80
12 hours in. So the vision was, you know, you
13 could work 12 hours one day, 3 hours the next,
14 don't come to work the next, and fit your
15 family around the work schedule.

16 And believe me, this is the first in
17 the federal government; no other agency has
18 this Any 80 policy. Right now I would guess
19 that maybe 10 percent of our employees can take
20 advantage of it, because our supervisors
21 haven't been able to let go of the control, and
22 they're afraid that they won't be there for
23 industry, so the core hours now -- I mean,

1 managers still set core hours, so they've set
2 core hours Monday through Friday from 9 to 3,
3 so you can flex a little bit around that, but
4 it hasn't really worked as well as I would have
5 liked to have seen it.

6 But we're trying to come up with some new
7 creative ideas for our employees, and I'm sure
8 the staff will say that -- we've been pretty
9 out there with some, the quality of work life
10 initiatives, we just --

11 DR. NESTLE: The things that drove me
12 -- he wants to know what my --

13 DR. DAVIS: No; I'm just being
14 facetious.

15 DR. NESTLE: You're being facetious.
16 You want to know what it was like?

17 MS. BABCOCK: We also have
18 telecommuting, working at home. We're trying
19 to push that program right now. About 4
20 percent of our employees are able to work at
21 home at least one day a pay period. We'd like
22 to see that.

23 One of the proposals that I have in my

1 workforce plan is to make it mandatory for
2 supervisors to work at home at least one day a
3 month so that they get an idea that you're not
4 just there goofing off, that you're actually
5 doing something productive at home.

6 But we have issues with security and
7 computers and things like that.

8 DR. NEREM: I thought maybe you wanted
9 the supervisors to stay home to minimize their
10 damage to FDA --

11 (Laughter)

12 MS. BABCOCK: But we are trying to
13 work with some of those family-friendly
14 programs.

15 DR. SUYDAM: I think Mary and her
16 staff have worked very hard to try to and
17 improve the quality of work life within FDA. I
18 think that there are other things that have
19 worked in just the opposite direction. And
20 some of those other things are clearly the
21 limitations of our budget, in that we can't
22 afford to send people to professional meetings
23 as much as they should be going to professional

1 meetings.

2 We can't afford to do very many
3 sabbaticals. I mean, there's just a very
4 limited number of those, because we don't have
5 the staff to fill behind them. And then when
6 you're operating under the deadlines that we
7 have in a lot of our programs, where you have
8 statutory obligations to review products. In
9 the prescription drug user fee area where we
10 have performance goals and performance
11 measures, if you don't have staff on board and
12 if they're not there, you can't meet those
13 performance goals.

14 So those are all the forces that are
15 forcing in this direction while we are trying
16 to improve the quality of life in the other
17 direction as well.

18 DR. NEREM: On a per capita basis,
19 what is the travel budget for a professional at
20 FDA annually to go to professional meetings?

21 MS. BABCOCK: I can tell you what we
22 spend on training and development is 1.3
23 percent of our total budget. Now I understand

1 in industry, in the pharmaceutical companies,
2 it's about 2.5 percent.

3 DR. NEREM: If I was an employee --

4 MS. BABCOCK: It's about \$1400 per
5 employee.

6 DR. SUYDAM: In terms of travel, I
7 think it's even less.

8 MS. BABCOCK: I'm talking training and
9 development. So the cost of attending a
10 conference plus the travel to get there and
11 whatever else. The average employee gets 4.4
12 days of training a year. That's rolled up in
13 an average.

14 DR. SCOLNICK: So here you're looking
15 at probably one meeting.

16 DR. SUYDAM: One meeting. And that's
17 unusual. That's not 100 percent.

18 DR. NESTLE: I don't understand. The
19 very wealthy university that I work for has a
20 salary scale that's just like FDA's, it has a
21 budget for meetings that's just like FDA's, and
22 it has happy campers who work 80 and 100 hours
23 a week and are there all day, all night, doing

1 the work because they choose, they control
2 their own work flow.

3 DR. SUYDAM: Well, and I think that
4 perhaps you're getting the wrong impression if
5 you think that most FDA employees are not happy
6 campers. I think we've done the quality of
7 work life survey, and the morale survey shows
8 that FDA's morale is among the highest in
9 federal agencies.

10 DR. NESTLE: Then why are they
11 leaving?

12 DR. SUYDAM: Our attrition rate is
13 only 5.8 percent That is not a high attrition
14 rate. That's much lower than the average
15 government-wide.

16 DR. NESTLE: Then why are you worried
17 about it?

18 MS. BABCOCK: I'm just projecting --

19 DR. SUYDAM: She's talking about
20 retirements.

21 DR. SCOLNICK: No, but I don't think
22 that's the only problem. The problem is in who
23 you can attract.

1 DR. LANGER: Right. That is the
2 problem. I think that, as I look at it, is the
3 key problem. The problem is the recruiting at
4 the early stage, the young people.

5 DR. DAVIS: They don't have the luxury
6 of working on whether they want to work on it.
7 They're not writing specific grants to pick
8 their areas, et cetera. They're spending
9 taxpayer dollars on things that someone else
10 has decided is important.

11 I can understand that; I don't think
12 you have the luxury, necessarily in a
13 government lab to say "I will do whatever I
14 want to do" as a major part of what you do. So
15 that's not going to be where you're going to be
16 able to make people happy. That's the real
17 world.

18 But it's those other things, I think,
19 in terms of who you get to attract. People
20 feel good about coming to work because the
21 relationship with their colleagues,
22 supervisors, the kind of place they work --

23 DR. SCOLNICK: Intellectual