

1 discrepancy that's consistent with what one might  
2 intuitively expect the discrepancy to be.

3 ACTING CHAIRMAN CALIFF: Dr. Armstrong.

4 DR. ARMSTRONG: Taken on its own, I mean,  
5 one would be inclined to stay silent, but because  
6 there's a comment in the label as it currently exists  
7 in hypertension and because of the biology that we've  
8 discussed earlier and because of the trends in Table  
9 10 in our FDA document both for blacks and for Asians,  
10 it seems to me the right decision is not to stay  
11 silent on this issue.

12 So I'm just troubled with what the right  
13 thing to say is because something already has been  
14 said, and it seems to me it needs to extend to the  
15 disease of interest which we're discussing.

16 ACTING CHAIRMAN CALIFF: Well, I think  
17 there are two points of discussion here. One is what  
18 shall we do with the label, and then the second is I  
19 think it's important at this juncture to have some  
20 discussion and comment on how to proactively prevent  
21 this problem from continuing to occur.

22 I mean I've been involved in three panels

1 in different diseases in the last year where sponsors  
2 have brought in data that had no black people, and  
3 you're left sort of saying, "Well, we have no data.  
4 So what can we say?"

5 And yet, as I say, it's 14 percent of the  
6 U.S. population. So Ileana.

7 DR. PINA: (Inaudible.) I would suggest  
8 that the number of black patients were small and that  
9 something like (inaudible).

10 ACTING CHAIRMAN CALIFF: By the way, while  
11 we're on the general issue, the nomenclature for  
12 Hispanic outside of the United States is quite  
13 difficult, as you know, and for sponsors out there, I  
14 would urge that before you get into this field that  
15 you spend some time on how people are classified in  
16 South America, for example. It's different than in  
17 the United States.

18 But, Bob, what is the FDA doing to prevent  
19 this from happening. It's a pretty important national  
20 concern.

21 DR. TEMPLE: Well, the first thing is  
22 we're blaming Canada.

1 (Laughter.)

2 DR. TEMPLE: The second thing is it's not  
3 very clear about what to do. We've accepted large  
4 outcome studies from many parts of Europe. You know,  
5 the Wascop (phonetic) study doesn't have a lot of  
6 blacks, Hispanics, and others in it, nor does the SSSS  
7 because of where they were conducted, and there isn't  
8 really any easy answer to that.

9 I mean I suppose if we were thinking about  
10 it sooner, we'd urge Salim to try harder to be sure  
11 there's -- and maybe even set some recruitment goals  
12 and things like that, but it's very hard. It's a  
13 different country, and it doesn't have the same  
14 population.

15 So, I have to say historically the world  
16 worries tremendously about ethnic factors and how they  
17 affect outcomes mostly when the outcomes are  
18 unimportant.

19 There's frantic consideration all over the  
20 world about making sure you've got a good mix for all  
21 the things that don't matter that much, like whether  
22 the blood pressure falls a little and stuff like that.

1           When it comes to outcome studies, I think  
2 we've generally felt we had very little choice but to  
3 accept the one or two large outcome studies that  
4 existed, even if they were conducted in place that  
5 don't reflect our population.

6           That's obviously not entirely  
7 satisfactory, and I'm not sure what the answer is, but  
8 I hope you'll address my question of does everybody  
9 feel nervous enough about this to think that it would  
10 be ethically responsible to urge the conduct of a  
11 study in a black population similarly defined. Are we  
12 so skeptical about the results that we have so far  
13 that we think that is ethically acceptable?

14           We can certainly urge people to try to do  
15 that.

16           ACTING CHAIRMAN CALIFF: Let me turn the  
17 tables on you just for a second. We've heard from  
18 Salim that aggregating all the ACE inhibitor studies  
19 and heart failure is not even though data to make a  
20 statement. The FDA has a very large database of data  
21 that we haven't seen for the most part from which  
22 one --

1 DR. TEMPLE: That may or may not be true,  
2 Rob. Many of those studies are done outside. Many of  
3 the large outcome studies with ACE inhibitors are done  
4 outside the U.S. We can tell you with considerable  
5 assurance that blood pressure effects are small, much  
6 smaller in blacks with ACE inhibitors. That's not in  
7 doubt.

8 What we don't have, and it's not clear.  
9 You'd have to ask which studies are relevant. I don't  
10 think we've looked at the SAFE study with respect to  
11 that question, and that surely will have a reasonable  
12 black population, but many of the other outcome trials  
13 aren't U.S. So I don't know, except for SOLVD, of  
14 course.

15 So I don't know what we'll find, but we  
16 should look. We have some reason to look actually.

17 ACTING CHAIRMAN CALIFF: Dr. Pina.

18 DR. PINA: I would have, you know, a  
19 better sense of comfort of samples in heart data  
20 population (inaudible). And there were a very large  
21 number there.

22 DR. TEMPLE: I mean, we could go back and

1 look at rehab., for example. I mean, it depends on  
2 what you count. I you're counting beta blockers, ACE  
3 inhibitors, and thinking together about all of them,  
4 that gives you a larger database to look at.

5 I gather Salim couldn't replicate this,  
6 but we've been told that the favorable outcome in  
7 SOLVD is not present at all in blacks, that there is  
8 no adverse effect, but no favorable effect.

9 ACTING CHAIRMAN CALIFF: Okay.

10 DR. TEMPLE: It was like four percent  
11 versus 24 percent. Okay? That's just what we've been  
12 told. I'm not --

13 ACTING CHAIRMAN CALIFF: Well, I think  
14 it's become a pressing national issue with regard to  
15 beta blockers and ACE inhibitors, now two of our most  
16 effective treatments, and at least we can publicize it  
17 at this meeting, and I'm sure people in the audience  
18 will report on this.

19 DR. TEMPLE: We can certainly try to  
20 assemble all of the available data that has both  
21 populations in it.

22 DR. THADANI: I think you have to go

1 beyond that because this trial with the HOPE, if I  
2 treat 100 black patients and I see no benefit, I might  
3 even harm. So I think I've got major concern. I  
4 think you have to put in labeling we do not have  
5 enough patient population. We have no data that it  
6 does benefit or harm, if at all.

7 I could argue with you if you look at the  
8 numbers, there were 81 and 75 in blacks on the drug,  
9 66 the other way. I realize the sample size is small.  
10 We can't make much issue.

11 We need a study in blacks to address this  
12 issue, and I think this should go in the labeling.

13 ACTING CHAIRMAN CALIFF: I'm going to ask  
14 for a vote actually of opinion on three questions,  
15 but, Bob, I do want to make the point again that the  
16 FDA has probably the largest set of data in the world,  
17 much of which has not been published from studies of  
18 ACE inhibitors and beta blockers, and it would be  
19 helpful if that data could be made available.

20 DR. LIPICKY: I'm sorry. I had my hand  
21 up.

22 ACTING CHAIRMAN CALIFF: Yes, sir.

1 DR. LIPICKY: I don't want to be talked  
2 into something that I don't want to do.

3 ACTING CHAIRMAN CALIFF: Okay.

4 DR. LIPICKY: Salim has looked at the ACE  
5 inhibitor heart failure trials. I don't think we can  
6 do a better job because we don't have the data. We'd  
7 have to ask somebody to supply us with the data.

8 We could look at articles and stuff like  
9 that and do a lousier job than Salim did. so I don't  
10 want to do that again. That apparently again will  
11 come out soon. So that part is done.

12 ACTING CHAIRMAN CALIFF: Well, no, Ray.  
13 Actually I'm writing the editorial on Salim's paper.  
14 So I can tell you he does not have that data in his  
15 paper.

16 DR. LIPICKY: Oh, well, --

17 ACTING CHAIRMAN CALIFF: So it won't be  
18 coming out soon.

19 DR. LIPICKY: -- so where are we going to  
20 get the data then? If he didn't have it, he has all  
21 of the results. How are we going to do it better?

22 DR. TEMPLE: Rob, it may depend on what

1 data you consider relevant. I think what I'm hearing  
2 is that we shouldn't consider only heart failure  
3 trials relevant, that we should look at SAVE, which is  
4 a different kind of trouble. We should look at --

5 DR. LIPICKY: You have SAVE.

6 DR. TEMPLE: You have SAVE?

7 DR. LIPICKY: Yes.

8 DR. TEMPLE: Oh, okay. But we could also  
9 conceivably look at --

10 DR. LIPICKY: And SAVE is a heart failure  
11 trial. It just happens to be around MI.

12 DR. TEMPLE: Sort of. Yeah, okay.

13 ACTING CHAIRMAN CALIFF: I would argue  
14 that you should look at all of the data you have on  
15 all kinds of patients treated with ACE inhibitors for  
16 morbid events.

17 DR. LIPICKY: We don't have the data.

18 DR. TEMPLE: Yeah, but these are trials  
19 that are all published. Everybody has the existence  
20 of the trial. They aren't private outcome trials.

21 DR. LIPICKY: They have the variances in  
22 there?

1 DR. TEMPLE: No, I don't know that. I  
2 don't even know --

3 ACTING CHAIRMAN CALIFF: I've registered  
4 my point of view. I think we ought to move on. I  
5 think I'm getting it from both ends here.

6 DR. TEMPLE: Rob, can I just mention one  
7 other thing? There isn't any question that the lack  
8 of information about the black population will be  
9 somewhere in this labeling. The question you need to  
10 help us with is how strong, you know, should it be  
11 right in the indications. We don't know, and things  
12 like that.

13 ACTING CHAIRMAN CALIFF: Well, I heard  
14 Joann basically say that the labeling should reflect  
15 the fact that there was not an adequate population to  
16 draw inferences.

17 DR. TEMPLE: Labeling or indications  
18 specifically? Which do you mean? There's a  
19 difference.

20 DR. LINDENFELD: Well, I'm not sure it has  
21 to be in the indications. I think somewhere in there  
22 is adequate.

1 DR. THADANI: Why not in the indications?  
2 We don't have any data.

3 DR. LINDENFELD: Well, we don't have any  
4 data to the other side either, I think.

5 DR. THADANI: Yeah, but it could go in the  
6 wrong direction. You said the study was done mostly  
7 in Caucasians. There's no data on either Asians or  
8 blacks. I feel more comfortable with that. I don't  
9 know.

10 ACTING CHAIRMAN CALIFF: Any other  
11 opinions on that before we vote?

12 DR. TEMPLE: There were things of both  
13 omission and commission, and you've got to decide  
14 which one you want here.

15 So what do you want to vote on, Rob?

16 ACTING CHAIRMAN CALIFF: What I'd like to  
17 ask for, I don't feel we need to vote on 2.1 and 2.2  
18 because no one disagreed with Joann's assessment.

19 On the race question, should the label  
20 reflect the differences? I would like to change that  
21 to should the label state with regard -- we could ask  
22 it either way.

1 Well, I guess the question is whether it's  
2 in the indications or just in the label. Let's say  
3 just in the label and not in the indications to start  
4 with.

5 DR. LINDENFELD: Or in the clinical trials  
6 section, describing the trial.

7 ACTING CHAIRMAN CALIFF: Right. Should it  
8 state just in the clinical trial sections, but not in  
9 the indications section that there was not adequate  
10 data about race? I think that's a question we can  
11 vote yes or no on. If we could start on the right-  
12 hand side here.

13 DR. FLEMING: The direct implications of  
14 that are that basically if you do that, that blacks  
15 would be in the indication, but then later there would  
16 be a clarification of the strength of evidence.

17 ACTING CHAIRMAN CALIFF: Right.

18 DR. FLEMING: Well, the indication would  
19 be silent about race if you did it that way, and you'd  
20 find it in the indications.

21 I just have to add there was more than one  
22 way to write it in the indications. You can just say

1 there is little information about blacks. You could  
2 say there is no good basis for treating blacks. I  
3 mean, there's stages of what one could say.

4 ACTING CHAIRMAN CALIFF: All right. Let  
5 me change the question then to the indications should  
6 specifically state that we don't have adequate  
7 information about blacks. Yes or no?

8 DR. MOLITCH: Is this what you've done in  
9 the past, Bob?

10 DR. TEMPLE: I think if we were really  
11 skeptical about something we would. I don't have an  
12 accounting to give you. Just all of those are  
13 possible outcomes depending on what you thought was  
14 best, but we did do it once. I mentioned this before.

15 We specifically said that aspirin -- this,  
16 of course, wasn't a prescription drug, but in the  
17 professional labeling we said that aspirin was for  
18 preventing stroke in men because we didn't have enough  
19 data in women. I think we now believe that was  
20 probably in error, but it was what the data seemed to  
21 show at the time.

22 ACTING CHAIRMAN CALIFF: Dr. Pina.

1 DR. PINA: If the purpose of this is to  
2 get the clinicians to agree (inaudible), most of them  
3 will read the indication, and very few (inaudible).  
4 So I think it should be somewhere in the indications  
5 about the paucity of data in other than white  
6 populations.

7 ACTING CHAIRMAN CALIFF: Before we vote,  
8 I would like to clarify whether the other ACE  
9 inhibitors have the same. What is in --

10 DR. LIPICKY: Of course. They have less  
11 data than this one.

12 ACTING CHAIRMAN CALIFF: But is it stated  
13 in the indications section?

14 DR. LIPICKY: No, it's not.

15 DR. TEMPLE: About the outcome studies,  
16 no.

17 DR. LIPICKY: But the same problem is  
18 there with every one.

19 ACTING CHAIRMAN CALIFF: So one issue in  
20 voting yes on this would be that we would potentially  
21 penalize this particular drug when it's a generic  
22 issue.

1 DR. LINDENFELD: It's in there for  
2 hypertension for any of the ACE inhibitors.

3 DR. TEMPLE: Is it in the indication  
4 section in hypertension?

5 DR. LINDENFELD: For hypertension, yeah.

6 DR. TEMPLE: One thing, Rob, you have to  
7 appreciate is we are getting increasingly canny about  
8 asking analyses of racial, ethnic, and other subsets.  
9 It only became a requirement for submissions last  
10 year, maybe the year before. Older ones are less  
11 likely to have it because we weren't as aware.

12 DR. FLEMING: Rob.

13 ACTING CHAIRMAN CALIFF: Yes.

14 DR. FLEMING: It seems to me there are two  
15 issues though that might make this unlike other  
16 examples. One of them is unfortunately not unlike  
17 those, and that is there is a striking under  
18 representation of blacks, and strongly impressive as  
19 this study was, no study was perfect, and it may not  
20 have been designed specifically to address issues  
21 specific to the U.S.

22 But in a population here where 15 percent

1 of the population is blacks and they undoubtedly  
2 account for more than 15 percent of the events, to  
3 have had one and a half percent or something on that  
4 order representation is unacceptable, and strong  
5 statements have to be made to that effect.

6 Secondly, there is some data, and that  
7 data is of the order of 141 events. I've been  
8 involved in many trials that in totality don't have  
9 141 events. So whereas I am very cautious to  
10 acknowledge that these data do not establish lack of  
11 benefit, at the same time there is a suggestion in  
12 these numbers here that there ought to be more insight  
13 about whether the effects that we see in the global  
14 group apply to blacks.

15 So I think it's the two issues together  
16 that I think must be -- that lead to the need to  
17 acknowledge what we're seeing in blacks or what we're  
18 not seeing.

19 ACTING CHAIRMAN CALIFF: Jeff?

20 DR. BORER: I agree with Ileana that if we  
21 bury this information somewhere in the clinical trial  
22 section, it's unlikely to be widely disseminated, and

1 that's not a good thing if we think it's important for  
2 people to know about it.

3 I do think that, therefore, it would be  
4 appropriate for a statement to be made in the  
5 indication section, but I think the statement should  
6 be that we don't have information, not a statement  
7 with a pejorative sense to it, and then in the  
8 clinical trial section one could show the data.

9 I'm not concerned with regard to your  
10 point, Rob, about penalizing a sponsor or something  
11 like that because, you know, if we made an error for  
12 one reason or another, or if we now perceive that  
13 maybe we made an error in not requiring things in the  
14 past, that's not a reason to be bound by that error  
15 for all time, if we agree that it's not right to have  
16 done that or to do it anymore.

17 And the second point is that we're dealing  
18 with a drug that has an indication that's very broad,  
19 with widespread public health implications, and that  
20 indication is far broader with far greater  
21 implications than this group of drugs has been  
22 approved for in the past.

1           So I don't have a real problem putting  
2 some statement in the indications, despite the fact  
3 that that's a new thing to do.

4           ACTING CHAIRMAN CALIFF: Ray.

5           DR. LIPICKY: Well, the thing that bothers  
6 me about the discussion is I must admit if it were up  
7 to me to write, I wouldn't know what to write. I'm  
8 not sure that there has been harm shown by this data,  
9 and in fact, in some portions of the data, it looks  
10 like it leans in the right direction.

11           What we're complaining about is we don't  
12 know enough, and you know, it on its face doesn't  
13 allow for a subgroup analysis to be meaningful, and we  
14 recognize that.

15           So it does not say to me, this data does  
16 not say to me -- and I'll just put it out as a  
17 statement -- that blacks respond differently. It  
18 says, what this data says is there weren't enough  
19 people for a need to be able to conclude that they  
20 respond the same.

21           And I don't know how to communicate that  
22 to a doctor because I don't think it should be part of

1 the doctor's decision making process. It is our  
2 dilemma as regulators and researchers and as  
3 influencing studies, and so on, to encourage better  
4 definition of that stuff, but I don't think it belongs  
5 in clinical decision making.

6 So I would object to putting it in  
7 labeling.

8 ACTING CHAIRMAN CALIFF: Well, your  
9 objection is duly noted. I think we need to move to  
10 a vote, and I'd like to just vote on this approach,  
11 which was Jeff's approach, which is to put in the  
12 indication section that there's not enough information  
13 to make a statement about blacks, and then in the  
14 clinical trial section to give the specific subgroup  
15 data so that people who want to look further can do  
16 that.

17 So if we could vote on that, yes or no.

18 DR. MOLITCH: In the interest of time,  
19 with 31 more questions to ask and answer, I vote that  
20 we leave it in the clinical trial session for the  
21 additional explanation, not in the indications, and I  
22 would not comment. I would leave out geographic

1 information.

2 ACTING CHAIRMAN CALIFF: So you vote no.

3 DR. MOLITCH: On both questions.

4 ACTING CHAIRMAN CALIFF: Okay.

5 DR. MOLITCH: And you can add another one  
6 and move it along.

7 DR. GRABOYS: Yes.

8 DR. LINDENFELD: Yes, I would agree.

9 DR. Di MARCO: I agree with the  
10 indications.

11 ACTING CHAIRMAN CALIFF: Yes.

12 DR. BORER: Yes.

13 DR. LINDENFELD: No.

14 DR. ARMSTRONG: Your question had two  
15 parts. Yes to the first part; no to the second part.

16 ACTING CHAIRMAN CALIFF: Okay. The second  
17 part was including the specific subgroup data in the  
18 clinical trial section.

19 DR. ARMSTRONG: And, again, the first part  
20 was?

21 ACTING CHAIRMAN CALIFF: Including the  
22 statement that there's not enough data about blacks in

1 the indications.

2 DR. ARMSTRONG: Yes to the first and no to  
3 the second.

4 DR. THADANI: Yes to the first, no to the  
5 second.

6 ACTING CHAIRMAN CALIFF: All right. You  
7 got John all confused here. Who do you need to hear  
8 from again here?

9 DR. THADANI: Why don't we go separately?

10 MS. STANDAERT: Well, somebody voted on  
11 two, and other people did and voted on one.

12 ACTING CHAIRMAN CALIFF: Well, those who  
13 voted yes in general voted yes on both.

14 MS. STANDAERT: What about Tom?

15 ACTING CHAIRMAN CALIFF: Tom verified that  
16 he voted yes on the first and no on the second.

17 All right. 2.3.B. Okay. All right.  
18 We're going to have to vote again here on both  
19 questions. Let's just go through one more time so  
20 Joan can get it right.

21 DR. TEMPLE: Well, Rob, we can assume that  
22 there's going to be discussion of the results in the

1 clinical trial section. I mean, you don't  
2 particularly need to vote on that.

3 DR. THADANI: Just vote on the indication.

4 ACTING CHAIRMAN CALIFF: Okay.

5 DR. TEMPLE: It's really only the  
6 indications and then some sense of what in the  
7 indications.

8 ACTING CHAIRMAN CALIFF: All right. So  
9 should it be in the indications? So let's just go  
10 through it one more time to make sure Joan has it.

11 DR. MOLITCH: No.

12 DR. GRABOYS: Yes.

13 DR. LINDENFELD: Yes.

14 DR. Di MARCO: Yes.

15 ACTING CHAIRMAN CALIFF: Yes.

16 DR. BORER: Yes.

17 DR. LINDENFELD: No.

18 DR. ARMSTRONG: Yes.

19 DR. FLEMING: Yes.

20 DR. THADANI: Yes.

21 ACTING CHAIRMAN CALIFF: Okay. So over  
22 Ray's objection, we voted yes on that.

1           Now we move to geographic region, and  
2 hopefully we can pick up the pace now. No?

3           DR. TEMPLE: No.

4           ACTING CHAIRMAN CALIFF: Bob said we  
5 needed to vote on that. So geographic region, Joann?

6           DR. LINDENFELD: I don't think there are  
7 any differences here. I don't have a plausible reason  
8 to expect one, and I just wouldn't make any point  
9 about this at all.

10          ACTING CHAIRMAN CALIFF: Any disagreement  
11 about that?

12          DR. THADANI: I think geographic  
13 distribution, there are some issues because the race  
14 issue, geographic, could be an issue as well. I think  
15 the population is mostly driven from Canada. It  
16 applies to practice issues. I'm not saying to put in  
17 the labeling, but I mean there are some concerns.

18                 The benefit might be lower in States,  
19 although the patient population is not large enough,  
20 and so there are some -- I have some concerns. I  
21 think you have to say something where the patient  
22 population was driven from.

1                   ACTING CHAIRMAN CALIFF: Paul?

2                   DR. ARMSTRONG: Surely Dr. Thadani would  
3 accept no difference amongst Caucasians.

4                   DR. THADANI: I think we accept that. We  
5 accept that.

6                   DR. ARMSTRONG: In which case the  
7 geography becomes irrelevant?

8                   DR. THADANI: Well, no. I think the  
9 problem is the practice pattern might have some  
10 influence, and that's the denominator you're going to  
11 run into because if you look at the United States, the  
12 small number is 1.5 benefit as opposed to 5.4 in  
13 Canada.

14                   ACTING CHAIRMAN CALIFF: Okay. Well,  
15 since we --

16                   DR. THADANI: And the number of risk ratio  
17 reduction was far smaller at least from that to have  
18 any relevance, but very small

19                   ACTING CHAIRMAN CALIFF: Okay. So would  
20 anyone else vote yes for geographic region being  
21 mentioned?

22                   All right. I would also vote no about it

1 being mentioned, but would agree that this is an issue  
2 that needs a lot more work because there are  
3 geographic patterns emerging in a number of  
4 international clinical trials that need better  
5 understanding.

6 All right. Let's move on to Question 3.  
7 Were the effects of ramipril on the primary endpoint  
8 of the diabetic subpopulation a new finding warranting  
9 explicit mention in the indication section?

10 Joann.

11 DR. LINDENFELD: Well, I think they are,  
12 yes, and I think it is stated explicitly in the  
13 indication.

14 ACTING CHAIRMAN CALIFF: Does anyone  
15 disagree with that?

16 DR. LINDENFELD: So it sounds like we have  
17 a unanimous vote that it should warrant explicit  
18 mention in the indication section.

19 Joann, what about 3.2, a new finding  
20 warranting explicit mention in the clinical trial  
21 section?

22 DR. LINDENFELD: Well, once it's in the

1 indications --

2 ACTING CHAIRMAN CALIFF: Show the data,  
3 huh?

4 DR. LINDENFELD: Right.

5 ACTING CHAIRMAN CALIFF: Okay. And  
6 then --

7 DR. TEMPLE: That's the answer.

8 ACTING CHAIRMAN CALIFF: All right.  
9 That's answered.

10 Question 4, were the effects of ramipril  
11 on the incidence of new diabetes a new finding  
12 warranting explicit mention in the indication section?

13 DR. LINDENFELD: I don't believe so, no.

14 ACTING CHAIRMAN CALIFF: All right. This  
15 is one we need to discuss.

16 DR. LINDENFELD: I think that the data --  
17 it was an interesting finding. It was unexpected. It  
18 wasn't prespecified. I think that I wouldn't put it  
19 in the indications, and maybe at the clinical trials  
20 at the very end, but perhaps not even there.

21 DR. TEMPLE: No, we're trying not to put  
22 new claims into clinical trials without putting them

1 into the indication section.

2 DR. LINDENFELD: Right. Then just no.

3 DR. TEMPLE: It takes work to assure that,  
4 but --

5 DR. LINDENFELD: No.

6 DR. THADANI: I think it's important  
7 probably to have the implications. As Salim said,  
8 this was a finding. They should they should do  
9 another large trial to prove it. I would not even  
10 mention the indications at all.

11 ACTING CHAIRMAN CALIFF: Any other  
12 comment?

13 Well, I think this is one we should take  
14 a vote on.

15 DR. TEMPLE: Could you also while you're  
16 at it discuss who might do such a trial? This would  
17 be presumably people without risk factors; is that  
18 right?

19 ACTING CHAIRMAN CALIFF: this might merit  
20 just an additional comment from our diabetologist  
21 expert. I mean, my understanding is in the diabetes  
22 world, it's being recommended that everyone at least

1 with nephropathy go on an ACE inhibitor.

2 DR. MOLITCH: That's correct, but the  
3 question here is on the incidence of new diabetes, and  
4 I think it is not warranted for that. I think the  
5 data is too soft, and I would not encourage any  
6 mention of it.

7 ACTING CHAIRMAN CALIFF: Okay. Well,  
8 let's then go ahead and vote.

9 DR. MOLITCH: I vote no for all of that.

10 ACTING CHAIRMAN CALIFF: No for all three  
11 questions. Okay.

12 DR. GRABOYS: No.

13 DR. PINA: No.

14 DR. Di MARCO: No.

15 ACTING CHAIRMAN CALIFF: No.

16 DR. BORER: No.

17 DR. LINDENFELD: No.

18 DR. ARMSTRONG: No.

19 DR. FLEMING: No.

20 DR. THADANI: No.

21 ACTING CHAIRMAN CALIFF: Okay. So I think  
22 the summary is people are impressed, but would like to

1 see confirmation. It's a good, interesting finding.

2 All right. Were the effects of ramipril  
3 on glyceimic control? The same three questions.

4 DR. LINDENFELD: These were -- I don't  
5 believe the data was enough to make any mention at  
6 all. So I would say no, no, and no.

7 DR. MOLITCH: Agreed.

8 ACTING CHAIRMAN CALIFF: Any other  
9 comments?

10 Okay. We should take a vote for a formal  
11 measure.

12 DR. MOLITCH: No, no, no.

13 DR. GRABOYS: No.

14 DR. PINA: No.

15 DR. Di MARCO: No.

16 ACTING CHAIRMAN CALIFF: No.

17 DR. BORER: No, three times no.

18 (Laughter.)

19 DR. LINDENFELD: No, again.

20 DR. ARMSTRONG: No.

21 DR. FLEMING: No.

22 DR. THADANI: No, whatsoever. There's no

1       adequate data. How often do --

2                       (Laughter.)

3                       ACTING CHAIRMAN CALIFF: So I guess the  
4       consensus of the panel then is that with regard to  
5       diabetes and glycemic control in general this is a  
6       hypothesis generating very interesting finding that's  
7       opening up potentially a field for further work.

8                       And we move on to what are the effects of  
9       ramipril on diabetic nephropathy. The same three  
10      questions.

11                      DR. LINDENFELD: Now, I think we've looked  
12      at this a lot. I think there's enough data to say,  
13      yes, there is a new finding warning explicit mention  
14      in the indications section for diabetic nephropathy.

15                      DR. BAKRIS: I want to be the first before  
16      anybody says anything to congratulate a largely  
17      cardiovascularly oriented panel for appreciating the  
18      kidney, just to get it out.

19                      (Laughter.)

20                      DR. BAKRIS: Ray and I have been talking  
21      about this for centuries, and it's important just in  
22      case anybody is even dreaming about saying no so I can

1       sway you a different direction. It's important to  
2       know that you're changing the natural history of  
3       disease. This is a continuous variable. This is not  
4       you either had it or you didn't. This is something  
5       that you're changing natural history disease. You're  
6       changing albuminuria. It's a reflection, and if you  
7       look, if you actually took the time to read the papers  
8       that you have in your packet, those are all double  
9       blind placebo controlled trial, including stuff that  
10      Dr. Brenner was talking about with ACE inhibitors  
11      actually affecting renal morphology and arresting  
12      disease.

13                 There's no question you're having an  
14      impact here, period, end of discussion.

15                 DR. THADANI: Are you trying to influence  
16      the vote or what?

17                 DR. BAKRIS: I'm not trying to influence  
18      your vote at all.

19                 (Laughter.)

20                 DR. BAKRIS: But there are people waiting  
21      outside that have vowels in their last name. So just  
22      be careful.

1 DR. THADANI: I realize that.

2 (Laughter.)

3 ACTING CHAIRMAN CALIFF: I think the key  
4 observation was that the kidney is attached to the  
5 heart.

6 DR. THADANI: You know, the trial which  
7 are, you know, backing are mostly in patients with  
8 established renal disease. I think here we are given  
9 a different point. The patients have their insulin  
10 dependent diabetes. They're already at a gross  
11 proteinuria. This is a very different population.

12 So I think rather than suggesting that we  
13 all have the world cares because you believe in it --

14 DR. BAKRIS: Well, I think you have to be  
15 careful here because, in fact, if you look at the data  
16 that are there in the trial, there is data also in  
17 non-diabetic disease and there's data in patients,  
18 biopsy proven data in diabetic patients, and the data  
19 is actually very consistent, and I think you have to  
20 keep the point that, as Barry said this morning, the  
21 data that we have so far is in way established renal  
22 disease, if you want to look at time to dialysis.

1           This is altering the course of the  
2 disease, and if it's one thing we do know about, it's  
3 the natural history of diabetic nephropathy, which may  
4 not represent all nephropathy.

5           DR. THADANI: But a sample size which is  
6 so small in most of the studies, I'm not sure that I  
7 buy your point.

8           DR. BAKRIS: Well, that's fine, but I'd  
9 like to see you do a trial with 1,000 biopsies.

10          ACTING CHAIRMAN CALIFF: Well, I don't  
11 want you to interpret this as not appreciating the  
12 kidney, but I have a different concern which when we  
13 talk about altering the natural course of a disease,  
14 we have many examples with a heart where altering an  
15 intermediate endpoint turns out not necessarily to be  
16 good.

17          And even in a field that we took for  
18 granted, HIV, early treatment now when it leads to  
19 prolonged treatment is coming under question as being  
20 the right strategy.

21          So I'm concerned about I'm sure that we've  
22 demonstrated that you can reduce the -- the

1 demonstration has been made that the albumen and the  
2 urine is reduced. I don't necessarily believe that we  
3 can mean that that means that this is going to benefit  
4 people in the long run.

5 DR. BAKRIS: Well, if you look at the long  
6 term trials, namely, one that is not too different  
7 from this, a normal tensive Type 2 diabetic, albeit a  
8 smaller number of patients who have now been followed  
9 up in seven years, the data you have is at seven  
10 years, but they're actually continuing to be followed.

11 There is a huge difference in the people  
12 that are on ACE inhibitor not just with regard to  
13 albuminuria, but also with regard to change in serum  
14 creatinine and, for that matter, creatinine clearance.  
15 And so, in fact, what you're doing is arresting or  
16 stopping disease.

17 I mean, the data are very clear. If  
18 you -- one trial that isn't in here because it just  
19 got published is the renal data from the ABCD trial,  
20 and basically at that very early stage of disease, if  
21 you aggressively intervene with the ACE inhibitor, you  
22 were able to stop disease progression.

1           In all of the advance trials, in the Lewis  
2 trial or whatever trial that's out there, the best  
3 you're able to do is markedly slow disease. You  
4 couldn't stop it, but you could markedly slow it,  
5 which may not be a bad thing, but let me remind you  
6 the number one cause of death in everybody with renal  
7 disease is cardiovascular disease, and there is a  
8 brilliant paper, stay tuned, coming out in Circulation  
9 looking at the relationship between micro albuminuria  
10 and vascular reactivity, showing a clear association  
11 with endothelial dysfunction, and it is double blind,  
12 placebo controlled. It's a very important study that  
13 draws the link there.

14           So I would argue that by reducing  
15 albuminuria, not only does that translate into  
16 altering the natural history of renal disease,  
17 diabetic renal disease and maybe even non-diabetic  
18 renal disease in specific circumstances, but you're  
19 also reducing cardiovascular events which you've  
20 already seen.

21           ACTING CHAIRMAN CALIFF: This is a very  
22 important issue, I think. We need to hear from some

1 other panelists.

2 Tom, maybe you first.

3 DR. FLEMING: Well, there are so many  
4 things to say. Let me just hit one issue and then let  
5 others speak and then come back with further later.

6 Micro albuminuria is certainly -- from  
7 what you're saying there is evidence that it's  
8 correlated. There are many really important issues  
9 that need to be addressed in terms of the magnitude of  
10 effect, the duration of effect that you're going to  
11 have to have for this to translate into long term  
12 clinical benefits.

13 The fact that we see associations gives  
14 some plausibility that this could be the causal  
15 mechanism, but it's also entirely possible that this  
16 is a marker for many other factors that are also  
17 influencing overall rates of progression, and the data  
18 that are here are certainly at best discussing what  
19 are the shorter term effects, and to state that they  
20 have conclusively established long term clinical  
21 benefits in terms of renal disease or renal failure,  
22 renal events is not established.

1 ACTING CHAIRMAN CALIFF: Ray?

2 DR. LIPICKY: Can I just -- can everybody  
3 look at Table 23(r) in the addendum that was in that  
4 blue book and just name the line on that table that  
5 you think really establishes that something is  
6 happening?

7 Before you get on to other trials, would  
8 you just look at the table on the back page and tell  
9 me what makes you say that the trial found something.  
10 I don't see anything too convincing on that table. We  
11 agree that the numbers are the same numbers, except  
12 for the 1.07. Everybody calculated the same numbers  
13 from the same data. What is it that we're talking  
14 about?

15 DR. FLEMING: The micro albuminuria result  
16 is a relative risk of .94. So what's your point, Ray?

17 DR. TEMPLE: Only overt nephropathy has a  
18 chance at this because that's the only one that has  
19 even nominal P value.

20 DR. LIPICKY: Which role are you citing as  
21 having established that something was found?

22 ACTING CHAIRMAN CALIFF: Jeff?

1 DR. BORER: Without detracting even one  
2 iota from the importance of the kidney --

3 (Laughter.)

4 DR. BORER: -- when I was in medical  
5 school, I was told by an instructor in physiology who  
6 had a grant from the American Heart Association that  
7 clearly his grant referred to research on the kidney  
8 because the only purpose of the heart was to pump  
9 blood to the kidney. So, you know, I'm with you.

10 But nonetheless, the data from the  
11 diabetic substudy, as I understand them, show really  
12 overwhelmingly that the primary endpoint is  
13 beneficially affected in diabetics if the diabetics  
14 are treated with ramipril, and I agree with that, and  
15 you've all agreed with it, and that's great.

16 Now we're talking about the effect of  
17 ramipril on another problem in diabetics, which is the  
18 progression of kidney dysfunction, and you know, I  
19 found the discussion by Dr. Brenner to be very  
20 attractive and very persuasive, but nonetheless, I'm  
21 concerned by several points when I look at these data.

22 One of them is the one that Ray is

1 alluding to. That is, the strength of evidence  
2 doesn't seem as great as what we generally would  
3 expect if we were going to approve a drug for a  
4 specific indication. So that's number one. You know,  
5 one would like to see these data either be stronger or  
6 be replicated somehow if we're going to look  
7 specifically at the use of ramipril for a beneficial  
8 effect on the kidneys in diabetics. That's one point.

9 The next point is one that's already been  
10 alluded to. I'd like to see, you know, Dr. Brenner's  
11 very compelling discussion notwithstanding, I'd like  
12 to see some data linking the pharmacological effect  
13 that we think we're seeing here with the clinical  
14 benefit.

15 So, you know, for those two reasons, first  
16 of all, the lack of the strength of evidence and,  
17 number two, the issue of the relation between  
18 pharmacological effect and clinical benefit. I'm  
19 concerned about drawing strong conclusions about  
20 indication here because the implication would be not  
21 that we should be giving this drug to people with  
22 vascular disease because, in addition to reducing all

1 the other things that it will reduce, it makes the  
2 kidneys better, but rather that people with diabetes  
3 with one risk factor ought to be treated with this  
4 drug, in part, because it's going to prevent their  
5 kidneys from deteriorating.

6 And that may well be true and the data are  
7 certainly consistent with that, but I don't think the  
8 strength of evidence is what we would usually expect.  
9 So I'm a little concerned about this.

10 ACTING CHAIRMAN CALIFF: Yes.

11 DR. MOLITCH: I think that the way the  
12 question is worded here, it is not a new finding.  
13 We're taking a set of data that's been presented here,  
14 which taken into context with many, many other studies  
15 now fits with the other studies, we've got a whole  
16 series of studies of both Type 1 and Type 2 diabetes  
17 that look at patients who already have micro  
18 albuminuria that this class of drugs is able to retard  
19 the progression to overt nephropathy, and when you  
20 have overt nephropathy, that you have an effect on  
21 decreasing the rate of fall of GFR, and then  
22 ultimately you have a decrease in the number of

1 patients who end up in end stage renal disease  
2 requiring dialysis and transplantation.

3 This is one of a number of studies that  
4 fits into that data set, and it fits exactly into that  
5 data set. There's no incongruity here. There is  
6 no -- I don't think that there was evidence that would  
7 support the prevention of the development of micro  
8 albuminuria, although there's some suggestion that  
9 perhaps that may be true with other studies, but  
10 that's certainly not true here.

11 And I think that once you have micro  
12 albuminuria that you can predict that a large majority  
13 of those patients go on and that this class of drugs  
14 is able to retard that rate of progression, and that  
15 this beta set is entirely compatible with many, many  
16 other data sets.

17 DR. LIPICKY: Would you point to the row  
18 in Table 23(r)?

19 DR. MOLITCH: Yes, Row 3.

20 DR. LIPICKY: That supports the statement  
21 that you made?

22 DR. MOLITCH: Overt nephropathy with the

1 sign of "at," that says .045 P value.

2 DR. LIPICKY: -- .075?

3 DR. MOLITCH: Pardon me? Twenty-three  
4 (r), with a .045 nominal P value.

5 ACTING CHAIRMAN CALIFF: I mean I think  
6 the problem a lot of us are having is we have one,  
7 two, three, four, five, six, seven different  
8 definitions, and we only have one which is less than  
9 .05, although I would --

10 DR. MOLITCH: There is insufficient data  
11 here for renal dialysis. We certainly don't want to  
12 approve micro albuminuria. We're not talking about  
13 laser therapies and sufficient data for doubling your  
14 fattening.

15 The sponsor has looked at a definition  
16 that looks at the development of overt proteinuria as  
17 defined by 24 hour urine collection, and then in the  
18 circumstances where there weren't sufficient 24 hour  
19 urines, they added the albumen-creatinine ratio. So  
20 that's that one.

21 DR. TEMPLE: Rob, can I ask? Do we know  
22 that that endpoint was established prospectively and

1       blindly?

2                       I've heard there was a lot of discussion  
3       of that. It definitely didn't have an amendment to  
4       the protocol, but unequivocally written down somewhere  
5       that was the endpoint that you were going -- so there  
6       really is only one endpoint that we should be even  
7       thinking about paying any attention to, and lucky  
8       them, it's the one that comes closest.

9                       (Laughter.)

10                      DR. BAKRIS: Let me just -- not to cut you  
11       off, I'll give it back to you -- but just to make a  
12       point, if any of you are looking at time to dialysis,  
13       as Dr. Brenner very nicely said this morning, that's  
14       fine. When you collect your Social Security, which at  
15       the rate things are going you'll be about 85, is about  
16       the time you'd see time to dialysis in these people  
17       because that's how long it's going to take to get  
18       there.

19                      That's not a meaningful endpoint in this  
20       stage of the disease, in this population, and correct  
21       me so as was pointed out earlier over here on a side  
22       bar that some of the data that I've included, the

1 double blind studies that are back here are on people  
2 with far more advanced disease, and the reason that  
3 was done to a certain extent was really to make the  
4 point that you're altering the natural history and the  
5 time to dialysis.

6 So I think we at least in the renal  
7 community in this country, and I would day say the  
8 endocrine community, are to a point where we need to  
9 look at it at a much earlier point because prevention  
10 is really where it needs to be going there, and the  
11 feasibility, as Barry say very appropriately this  
12 morning, is impossible because even all of the money  
13 in the NIH-Canada and five pharmaceutical companies  
14 wouldn't be able to fund a trial meaningful enough to  
15 actually get that kind of endpoint.

16 ACTING CHAIRMAN CALIFF: But let me try to  
17 express the counter view to that as best I can. I'm  
18 still undecided myself about how to look at this.

19 It is possible to imagine that there might  
20 be a drug that would reduce the time to development of  
21 albumen in the urine that also might turn out to be  
22 bad for the body or the kidney in some other way, and

1 so we've recently -- I don't want to divert the  
2 discussion to hypertension, but we've learned, I  
3 think, some of us think we've learned in the last few  
4 months, that it's possible to have several drugs that  
5 lower the blood pressure the same amount that have  
6 very different effects on overt clinical outcomes.

7 And so if we set a standard that says  
8 lowering the albumen is good no matter what, to me  
9 that's like saying lower the blood pressure is good no  
10 matter what.

11 DR. BAKRIS: Well, Rob, let me issue a  
12 caveat there. You're absolutely right, and I think  
13 the important point there is if you look at I would  
14 say 100 percent -- I haven't seen a meta analysis that  
15 doesn't support this -- 100 percent of all of the meta  
16 analyses that are out there, they all consistently  
17 show that agents that significantly reduce albuminuria  
18 and control blood pressure will slow renal disease  
19 progression. I can't think of one study anywhere that  
20 doesn't say that, and moreover, there's a nice  
21 correlation with reduction of cardiovascular disease.

22 Now, you could give me the argument, well,

1 that's all blood pressure, and what you need, the ACE  
2 inhibitors about that, and that we can be here until  
3 tomorrow arguing that point, but I think the issue is  
4 in terms of what you say you're absolutely right, and  
5 we won't go to that discussion, but let me just say  
6 that I think if you know of data that suggests that  
7 reducing albuminuria has adverse effects, I'd love to  
8 be educated because I'm unaware.

9 DR. TEMPLE: Rob, there's several  
10 different things being mixed together. One is the  
11 question of the surrogate. The second is the question  
12 of whether there's a finding there at all, and the  
13 third is what we know from other places and how that  
14 should influence our decision.

15 The first point is this is a secondary  
16 endpoint. It was the one that they were looking at.  
17 So that's helpful, but a P value of around .05 would  
18 be an unusual basis for reaching a conclusion on  
19 something like that.

20 Counter to that argument would be we  
21 already know a lot from other sources about ACE  
22 inhibitors. Therefore, we have a lot of priors, and

1 we should accept a somewhat less robust finding than  
2 we would insist on if it was out of the blue, and you  
3 know, I can't contribute to that discussion, but other  
4 people could.

5 The next question is the only drug we've  
6 actually -- first of all, if we take these one by one,  
7 there may be a widespread belief that ACE inhibitors  
8 are good for renal disease, but only one of them has  
9 the claim, and that's because we're waiting for data  
10 on that particular one.

11 So the question is pertinent to what data  
12 there are here. In all previous cases, we have  
13 actually had outcome data. We've probably been kicked  
14 slightly screaming and yelling over into thinking that  
15 deterioration of creatinine is something that you can  
16 base approval on, but at least so far there hasn't  
17 been approval based on small changes in albumen  
18 status.

19 That's not to say we should take that  
20 position forever, but it's a fairly big deal. It will  
21 change the shape of all the trials that are done in  
22 this area. You can show changes in albumen in very

1 short order, whereas changing in creatinine clearance  
2 is much, much slower. So it's a sort of a big deal.

3 Those are my contributions.

4 ACTING CHAIRMAN CALIFF: Ray?

5 DR. LIPICKY: I just want to add to that.  
6 It's like there aren't lots of times that this has  
7 happened. There is one trial, placebo controlled,  
8 that in diabetes, that basically establishes that, an  
9 ACE inhibitor, in fact, improves clinically relevant  
10 endpoint.

11 All of the trials that are being cited by  
12 George, I believe, are three, and they are non -- two  
13 of the others are in non-diabetics. So it isn't like  
14 there's a wealth of data here.

15 There are a lot of studies that show that  
16 indeed proteinuria is affected, and it is sort of  
17 striking to me that in this trial proteinuria was  
18 affected in the right direction in people who were  
19 non-diabetic and had no micro albuminuria to start  
20 with.

21 DR. THADANI: Also, Rob, there are a lot  
22 of concerns, patients who went into heart failure, but

1 if you look at the outcome, creatinine is going in the  
2 wrong direction. Renal dialysis numbers are small.  
3 So I'm not convinced like my colleague from the right-  
4 hand party convinced me that there's adequate data.  
5 I might be comfortable in the wrong direction, but to  
6 say that it does something to renal function, I'm not  
7 convinced.

8 DR. TEMPLE: Rob, I think Salim wants to  
9 say something that's relevant.

10 ACTING CHAIRMAN CALIFF: Okay.

11 DR. YUSUF: I'm here, Rob.

12 DR. TEMPLE: We'll see after he says it.

13 DR. YUSUF: Rob, I think today we are  
14 discussing HOPE, and to be fair to the FDA, although  
15 they have the whole database, the only part of the  
16 database they've analyzed is the diabetics, and then  
17 Hertzell showed more data on the non-diabetics which  
18 supports it, and to be fair to the FDA and to RAY, you  
19 guys need to check whether the analyses we showed you  
20 are replicated.

21 So it may be permitted even on HOPE to  
22 make a decision today.

1                   The second thing is there are two other  
2 studies with ramipril that has been done in Europe  
3 that the company could provide to you that is at a  
4 later stage of the disease, and it's closer in concept  
5 to the Lewis study, and it may be best to defer this  
6 discussion till all of these data are brought to the  
7 FDA and you've had a chance to look at it.

8                   ACTING CHAIRMAN CALIFF: Okay. Well, that  
9 would certainly make our job easier.

10                  DR. TEMPLE: Very attractive, don't you  
11 think?

12                  ACTING CHAIRMAN CALIFF: But I do want to  
13 express the same concern that Bob did. I think he was  
14 expressing a concern that if we make reducing albumen  
15 in the urine the measure, it may dissuade people from  
16 really finding out what the long term effects of  
17 future therapies are because you can show this, get a  
18 claim, get on the market, and then we may not know how  
19 to distinguish the effects on ultimate outcomes.

20                  I guess I need guidance, Ray or Bob.  
21 Shall we take a vote, given Salim's last statement?

22                  DR. LIPICKY: No, I think Salim told you

1 what to do.

2 ACTING CHAIRMAN CALIFF: Okay.

3 DR. LIPICKY: Forget it.

4 ACTING CHAIRMAN CALIFF: Good. We're  
5 moving right along then.

6 Let's see. The next one is -- yeah, we  
7 can skip seven, I guess, based on the same issue  
8 because there will be a lot more data forthcoming.

9 And number eight, were the effects for  
10 ramipril and the need for coronary revascularization,  
11 the same three questions.

12 Joann?

13 DR. LINDENFELD: Well, there were  
14 significant effects that were trended along with all  
15 of the other effects. So I guess the question is not  
16 so much whether or not they were convincing, but  
17 whether or not it's enough of an indication to be in  
18 the indication section.

19 I would probably say no. I think there's  
20 enough in the indications already that would go along  
21 with this.

22 ACTING CHAIRMAN CALIFF: Any other

1 comments?

2 DR. TEMPLE: Can you say that again? That  
3 was no because why?

4 DR. LINDENFELD: Well, now I have to think  
5 about it. This is a tough one. It was definitely  
6 positive. So I suppose -- in other words, I think  
7 when you tell everyone what this drug does that they  
8 will assume that it decreases revascularization,  
9 but --

10 ACTING CHAIRMAN CALIFF: Well, it would  
11 seem to me that --

12 DR. LINDENFELD: -- I suppose it's  
13 reasonable to put it in there. It's definitely  
14 positive. It's positive along with everyone else. It  
15 was a much smaller effect than the others.

16 DR. GRABOYS: If I were the pharmaceutical  
17 company folks, I'd be biting at the bit for this  
18 because I could see a series of about 100 ads coming  
19 out saying, "Here, we've got the magic pill right  
20 here. It decreases your need for bypass."

21 ACTING CHAIRMAN CALIFF: But what do you  
22 think about it?

1 DR. GRABOYS: I don't think it should be  
2 stated.

3 DR. THADANI: No, I think you would have  
4 problems, too, though, wouldn't you, Bob? Who decides  
5 who needs a bypass? If a patient comes to me and I've  
6 got an exercise test, as to depression, I know he is  
7 diseased. He gets bypass. Other patient comes in  
8 another center. My colleague doesn't do it. So I  
9 think there's a softer endpoint. Why it has to go in  
10 the labeling I'm not sure. You could describe it in  
11 probably the adult section, but not in the legacy  
12 (phonetic).

13 ACTING CHAIRMAN CALIFF: But isn't that  
14 protected by the fact it's a blinded study? So seeing  
15 a difference --

16 DR. THADANI: But it's not in the primary  
17 endpoint we already worded. It does everything. It's  
18 somewhere driven by the numbers' need for -- I don't  
19 think they even showed us why if they can need a  
20 bypass -- suppose you go in and find a triple vessel  
21 disease, and then because of your creatinine function  
22 and (unintelligible) function is below 40 percent, he

1 goes for surgery, and it could have been just curious.

2 I have no idea.

3 So I think all of the data is positive.

4 I don't think you have to label the indication. It's  
5 probably described in the trial section.

6 DR. ARMSTRONG: My concern about this,  
7 Rob, is that there's lack of concordance with the  
8 unstable angina and the unstable angina validated with  
9 the ST segment shift. So this is a soft indication  
10 for which we have little collaborative information,  
11 and I don't think it's concordant.

12 So I would be against including this  
13 indication.

14 DR. BORER: Just to add to that, I really  
15 agree with Udho. I don't think it's appropriate to  
16 state as an indication a reduction in a therapy that's  
17 based on a physician's judgment. The reduction in the  
18 event that might lead to that judgment, that's fair  
19 game, but the therapy itself, it's true we have a lot  
20 of guidelines now and, you know, people tend to do  
21 things in more similar fashion around the country than  
22 might have been the case in the past, but nonetheless,

1 there is a judgment that's involved in selecting a  
2 therapy, and I don't think that we should be providing  
3 an indication for a reduction in an event that's based  
4 on that judgment.

5 DR. TEMPLE: Jeff, we have a bunch of  
6 labels that include that as a component of a combined  
7 endpoint, urgent intervention I had, in which that's  
8 the driving force behind the indication. Do you think  
9 we've been making a mistake here?

10 DR. BORER: Yeah, well, maybe, Bob, but I  
11 don't know that that's a discussion that we can have  
12 today, but in this case, there's a difference. These  
13 are not --

14 DR. TEMPLE: But the implication is that  
15 it decreases whatever it was that was making  
16 clinicians think they needed to do it.

17 DR. BORER: Right.

18 DR. TEMPLE: It's not that it's trying to  
19 control what makes them make the decision.

20 DR. BORER: Well, but we've already stated  
21 or it is already stated here that many of those things  
22 that might drive a decision have been reduced. I mean

1 there's an indication for giving the drug to reduce  
2 the processes that might lead to the judgment.

3 DR. TEMPLE: No. Which besides heart  
4 attacks?

5 DR. BORER: Well, heart attack is a pretty  
6 good one. Mortality?

7 DR. TEMPLE: No, it's too late.

8 DR. THADANI: Bob, also, you know what  
9 you're alluding to, a lot of the times we've allowed  
10 revascularization, and the previous trials have been  
11 refractory angina. Here is not that question. I  
12 mean, as Paul said and I said before, unstable angina  
13 hospitalization is not allowed. The patient -- you  
14 could be just influenced because --

15 DR. TEMPLE: Actually we thought that  
16 urgent revascularization is better than refractory  
17 angina because it was --

18 DR. THADANI: But this is not urgent  
19 revascularize.

20 DR. TEMPLE: Yeah, I realize that.

21 DR. THADANI: So I would not add that. I  
22 think it's a moot point.

1 DR. TEMPLE: So you wouldn't add it even  
2 if you thought it was true, even if there was no  
3 disparity between this finding and closely related  
4 findings?

5 ACTING CHAIRMAN CALIFF: Well, I'm  
6 obviously in the minority here, but to me having a  
7 bypass operation is something you'd like to avoid if  
8 you possibly could. I don't know many people that  
9 want it, and for example, in heart failure,  
10 hospitalization for heart failure is considered a  
11 strong part of the endpoint. That's very dependent on  
12 physician discretion.

13 DR. TEMPLE: Absolutely.

14 ACTING CHAIRMAN CALIFF: So I find this to  
15 be persuasive.

16 DR. TEMPLE: What do you think about the  
17 lack of concordance?

18 ACTING CHAIRMAN CALIFF: What's that?

19 DR. TEMPLE: The lack of concordance that  
20 was mentioned, that is, you do not have the same thing  
21 on other closely related --

22 ACTING CHAIRMAN CALIFF: I think when a

1 trial is almost dominantly going in one direction, you  
2 have a few things that are counter, like admission for  
3 unstable angina. I tend to side with everything else  
4 and not try to pick because there's some small things  
5 that go the other direction, but --

6 DR. THADANI: You know, also you could  
7 argue if you're going to put this, why don't you put  
8 in there was no reduction in hospitalization for heart  
9 failure. You always put the positive. You don't put  
10 the negative.

11 DR. TEMPLE: Well, you can put the  
12 negative in, too.

13 DR. THADANI: No, I'm just saying how far  
14 do you want to carry it.

15 DR. TEMPLE: There's nothing that stops us  
16 and we often do put in the secondary endpoints that  
17 didn't show anything.

18 ACTING CHAIRMAN CALIFF: Well, I think  
19 everyone had expressed their point of view, and we  
20 should go ahead and take a vote.

21 DR. YUSUF: Rob, can I just make a  
22 clarification? Is that possible? I know it's not

1 normal.

2 ACTING CHAIRMAN CALIFF: Quickly.

3 DR. YUSUF: Okay. The first thing is  
4 revascularization was a prestated secondary endpoint.  
5 It was not data dredged.

6 The second thing is the P value is quite  
7 impressive.

8 The third thing is in the meta analysis of  
9 all other trials of ACE inhibitors, there is the  
10 reduction, revascularization.

11 The fourth thing is worsening angina was  
12 significantly reduced showing internal concordance.

13 The fifth thing is MI is reduced showing  
14 internal concordance. The only thing that is the  
15 outlier is the unstable angina. So I think I'm going  
16 to put the framework --

17 DR. THADANI: Which is a very important  
18 outlier.

19 DR. YUSUF: Yeah, sure.

20 ACTING CHAIRMAN CALIFF: Okay. Salim,  
21 you've clearly stated your point of view. I think we  
22 ought to go ahead and vote.

1 Okay. Dr. Graboys.

2 DR. GRABOYS: What is --

3 ACTING CHAIRMAN CALIFF: We need you to  
4 vote on the question of whether the need for coronary  
5 revascularization is a new finding warranting explicit  
6 mention in the indication section.

7 DR. GRABOYS: No.

8 DR. PINA: I think it is (inaudible).

9 ACTING CHAIRMAN CALIFF: Let's see. You  
10 vote yes.

11 DR. Di MARCO: I'd put it in the clinical  
12 trial section.

13 ACTING CHAIRMAN CALIFF: So you vote no?

14 DR. Di MARCO: No on --

15 ACTING CHAIRMAN CALIFF: Okay. We're  
16 going to vote on the --

17 DR. Di MARCO: Okay.

18 DR. TEMPLE: I have to tell you we're not  
19 supposed to do that. If it's an indication, it's  
20 supposed to be an indication. If it's not, it's not.  
21 Sticking it in a different place doesn't get us --  
22 doesn't remove the legal responsibility to be able to

1 say there's well controlled -- there's substantial  
2 evidence that it works. We haven't always followed  
3 that as well as we should have.

4 ACTING CHAIRMAN CALIFF: All right. So we  
5 just need to -- you have to make a decision. Is it in  
6 or out?

7 DR. Di MARCO: In

8 ACTING CHAIRMAN CALIFF: Okay. I vote  
9 yes.

10 DR. BORER: No.

11 DR. LINDENFELD: Yes.

12 DR. ARMSTRONG: No.

13 DR. FLEMING: No.

14 DR. THADANI: No.

15 ACTING CHAIRMAN CALIFF: Okay. The noes  
16 have it by a nose, that's right.

17 All right. We now move to the last  
18 question.

19 Five to three was the vote on that. We  
20 now move to the last question.

21 Were there effects of ramipril on  
22 congestive heart failure?

1 DR. LINDENFELD: I would say that this is  
2 not a new finding. Hospitalizations were the  
3 prespecified endpoint and were not affected. I know  
4 overall heart failure was, but it wasn't a  
5 prespecified endpoint and I don't think I'd put this  
6 in the indications.

7 ACTING CHAIRMAN CALIFF: Ileana, you had  
8 raised this before.

9 DR. PINA: (Inaudible.)

10 ACTING CHAIRMAN CALIFF: Any other --

11 DR. THADANI: I think there's more than a  
12 problem because nobody defines what heart failure was.  
13 One day a patient comes in with a little swelling.  
14 The other days -- so I think given that even the heart  
15 failure trend is in the right direction.  
16 Hospitalization is not, and what one is more concerned  
17 with a hospitalization. So I think we should not  
18 include that at all.

19 ACTING CHAIRMAN CALIFF: Is there a  
20 standard definition of heart failure that's used in  
21 clinical trials?

22 DR. THADANI: Well, when you're recruiting

1 patients in a clinical trial usually, well, just  
2 leaving aside systolic or diastolic dysfunction, the  
3 patient either has to have shortness of breath or they  
4 have some other clinical signs like edema; you're sure  
5 it's not due to (unintelligible) or JVD or other  
6 issues, and I think not giving -- all that does is  
7 allows the patient -- the physician to take yes or no.  
8 It's possible the physician wanted to put the patient  
9 on open label. It's just a guess. I don't know.

10 I know they collected the data for the  
11 reasoning either to -- a lot of patients with heart  
12 failure have rate increase or some other issues, and  
13 I think I'd be more comfortable if the hospitalization  
14 had gone in the right direction, and since they were  
15 not, I've got a major problem with this indication.

16 ACTING CHAIRMAN CALIFF: I guess I'm in  
17 the minority here, too, but you know, to me if you do  
18 a blinded study and you ask doctors is the patient in  
19 heart failure or not, at least I think that's a pretty  
20 good way to do things, and the more stringent you make  
21 the definition, the more money you spend on fewer  
22 patients.

1 DR. THADANI: Wouldn't you see some trend  
2 in the hospitalization?

3 ACTING CHAIRMAN CALIFF: What's that?

4 DR. THADANI: Wouldn't you see the right  
5 trend in the hospitalization at least?

6 ACTING CHAIRMAN CALIFF: Well, I think  
7 you'd like to, but things don't always happen the way  
8 you'd like them to happen.

9 Paul, you've spent a lot of time in heart  
10 failure. Are you going to -- is it inappropriate to  
11 do a trial where you don't have a prespecified  
12 definition that you ask clinicians to adhere to?

13 DR. ARMSTRONG: Well, not necessarily if  
14 you want to influence the behavior of those  
15 physicians, but if you're asking me whether -- how I'm  
16 going to vote on a label or an indication, that's a  
17 separate question. So I feel a responsibility  
18 relative to the defined secondary endpoint to vote one  
19 way, but I believe this probably makes a difference.

20 DR. TEMPLE: Rob, the trend was in the  
21 usual direction. There just weren't enough events.

22 DR. ARMSTRONG: Yes.

1 DR. TEMPLE: The hazard ratio is .86.

2 DR. THADANI: Rob, the definition is more  
3 complicated. When I'm doing wrong with the residents,  
4 you know, they can't even -- you know, they say JVD is  
5 negative, and you go and see that JVD is positive.

6 So I think it's very complicated just  
7 going on definition unless you have got some concrete  
8 other evidence.

9 DR. PINA: (Inaudible.)

10 DR. THADANI: We're not given the  
11 definition.

12 DR. PINA: Well, that's what I'm saying.

13 DR. LINDENFELD: But this says heart  
14 failure was not reviewed as an endpoint because it  
15 wasn't an endpoint; is that correct?

16 DR. THADANI: But here is the prevention  
17 part of this.

18 ACTING CHAIRMAN CALIFF: Okay. Well, so  
19 I think we've had a good discussion on this. I guess  
20 the vote would be would you include it or not include  
21 it in the indications?

22 DR. MOLITCH: No.

1 DR. GRABOYS: No.

2 DR. PINA: No.

3 DR. Di MARCO: No.

4 ACTING CHAIRMAN CALIFF: Yes.

5 DR. BORER: No.

6 DR. LINDENFELD: No.

7 DR. ARMSTRONG: No.

8 DR. FLEMING: No.

9 DR. THADANI: No.

10 ACTING CHAIRMAN CALIFF: Okay. That  
11 concludes our session. I want to thank the panelists  
12 for a lot of attention over a long period of time, and  
13 we'll reconvene tomorrow morning.

14 (Whereupon, at 5:11 p.m., the meeting was  
15 adjourned, to reconvene on Tuesday, May 2, 2000.)

16

17

18

19

20

21


22

C E R T I F I C A T E

This is to certify that the foregoing transcript in  
the matter of:           90<sup>TH</sup> Meeting of the  
                                  Cardiovascular and Renal Drugs  
                                  Advisory Committee

Before:                   DHHS/FDA/CDER  
Date:                     May 1, 2000  
Place:                    Rockville, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

A handwritten signature in black ink, appearing to be "John", written over a horizontal line.

SAG, CORP.  
4218 LENORE LANE, NW  
WASHINGTON, D.C. 20008