

1 other would change a great deal.

2 So you know, at best, we can look at those and  
3 have some kind of a gestalt interpretation, but I'm not  
4 sure what also to make of 42 confidence intervals.

5 DR. TAMMINGA: From a statistician's point of  
6 view, do you want to comment on Dr. Califf's observation  
7 that perhaps the new antipsychotics look more like each  
8 other than not?

9 DR. HAMER: No.

10 (Laughter.)

11 DR. CALIFF: Could we ask Dr. Moss' opinion?  
12 He's probably looked at as much of this data as anyone.

13 DR. MOSS: Well, I think that you're dealing  
14 with such a small sample size on this study, there's 30  
15 patients with some drop-outs or 33 patients with some drop-  
16 outs, and the problem of multiplicity further compounds it.

17 So I think you could come to any conclusion you  
18 want, that they look similar, they look different, because  
19 of the very small sample size.

20 What strikes me is that the magnitude of the QT  
21 interval change of 20 milliseconds in 30 patients or 33  
22 patients is quite considerable as just a baseline value,  
23 and that was the peak effect from this study, and that's  
24 just more than one ordinarily sees with drugs that are  
25 given in this manner.

1           So in the order of the hierarchy, we saw that  
2 this came in second in terms of QT prolongation. To draw  
3 any significant conclusion from the difference is  
4 impossible because of the sample size and the range of the  
5 confidence intervals, and with a larger sample size, the  
6 confidence intervals would shrink, and you might be able to  
7 make some statement, but I don't think you can claim that  
8 there's an overlap of these drugs because of the limited  
9 number of patients that have been studied.

10           When I have a chance, I'd like to address the  
11 issue of the multiple drugs because I think that's a very  
12 central and critical issue.

13           DR. TAMMINGA: I have one more question that  
14 I'd like to ask about this slide, then we can let Dr.  
15 Harrigan sit down.

16           This study was done at peak plasma levels, and  
17 so these are the only data we have for ziprasidone and  
18 these drugs at their maximal plasma levels.

19           From your Phase II/III studies, what was the QT  
20 prolongation in the more ordinary way where we would have  
21 more of our numbers? Was it around 20?

22           DR. HARRIGAN: No. In the ziprasidone program?

23           DR. TAMMINGA: Yes.

24           DR. HARRIGAN: No. In a short-term fixed-dose  
25 dataset, where you had patients who stayed at those fixed

1 doses they're in, the range was 6 to 10 milliseconds, being  
2 10 milliseconds at the highest recommended dose of a 160.

3 If you look at the entire Phase II/III  
4 database, with patients being treated with flexible doses,  
5 and ECGs obtained at random times of day, reflecting, you  
6 know, the wide patient population taking the doses as  
7 prescribed by the investigator, the change is about 4  
8 milliseconds.

9 DR. TAMMINGA: So it seems to me, Dr. Moss, I  
10 don't know -- and I'd like you to comment on this, that the  
11 number that we should use, that we might compare to other  
12 numbers, would be randomly selected QTc intervals, which  
13 would be more in the range of 10, and this QTc interval in  
14 the range of 20 would be more of a maximal drug  
15 concentration interval.

16 DR. MOSS: That is correct, but it also has the  
17 implication that there's a dose-response effect, and that  
18 is, if you're talking about random doses and random  
19 concentrations with a QT interval that's 4 to 6  
20 milliseconds, and now you're talking about a peak  
21 concentration, even though they didn't show a concentration  
22 effect, that would suggest that there is a dose-response  
23 effect, and I'd be interested in Dr. Harrigan's response to  
24 that.

25 DR. HARRIGAN: Well, there certainly seem to be

1 in the short-term fixed-dose dataset an increase in QTc  
2 with increasing dose.

3 If one looks at the highest dose in that short-  
4 term fixe-dose dataset, the mean QTc effect did not  
5 increase further.

6 If one looks at the highest concentration, the  
7 individuals at the highest concentrations in the Phase  
8 II/III database, there does not seem to be a signal that  
9 the QTc effect has increased in proportion to their serum  
10 concentrations.

11 Nonetheless, the data out there is sparse  
12 enough that a mathematical demonstration of that as a fact  
13 is certainly not possible.

14 DR. LINDENFELD: Dr. Harrigan, while you're  
15 there, I have one slightly off-the-subject topic.

16 You told us that the M9 metabolite is an L-type  
17 calcium channel blocker. So that would be expected to slow  
18 the heart rate if you shifted more of the metabolism to  
19 that side by blocking cytochrome 3A4.

20 With ketoconazole, was there a change in heart  
21 rate between the groups that got the metabolic inhibitor  
22 and those that didn't? Because if there is a difference in  
23 heart rate of bradycardia, we might view that as a problem  
24 or possible --

25 DR. HARRIGAN: Let me take a few steps. It's

1 in the briefing document in one of the tables. If it is,  
2 it's not more than a one or two beat per minute. The  
3 ziprasidone increased the heart rate in both the presence  
4 and absence of a metabolic inhibitor. It was four and a  
5 half beats in the presence, and I think Dr. Brater's  
6 looking it up, it wasn't more than one or two beats  
7 different from that.

8 DR. TAMMINGA: If you need a few minutes, we  
9 can go on to some other questions. Dr. Hamer has a  
10 pressing question.

11 DR. HAMER: Actually, I want to follow up on  
12 something that you said, Dr. Tamminga, and it's something  
13 I've wondered about at many of these meetings.

14 From what little I know about pharmacokinetics,  
15 population pharmacokinetics has only relatively recently  
16 become more extensively developed and extensively used, and  
17 traditional pharmacokinetics seems to pretend that  
18 basically all of us individuals have the same  
19 pharmacokinetics.

20 So when you've talked about, well, we've taken  
21 these EKGs at "peak levels," i.e. however long after the  
22 dose for a given particular drug, that's really pretending  
23 that all the people that took the dose are absorbing and  
24 metabolizing this drug at the same rate, and it could well  
25 be very, very different.

1           So we really have no idea how close -- I mean,  
2           it's probably closer than just taking them randomly, but we  
3           really do have no idea how close to peak in any given  
4           individual these measurements actually occurred, is that  
5           correct?

6           Could someone who knows a lot more about  
7           pharmacokinetics than I do talk about that?

8           DR. TAMMINGA: Certainly one would expect these  
9           EKGs to be closer to the peak than random EKGs throughout  
10          the data, and I think that was the point of the study.

11          Dr. Laughren.

12          DR. LAUGHREN: Actually, I wanted to comment on  
13          the overall design of the study and a problem in knowing,  
14          you know, what the change from baseline means for the other  
15          drugs.

16          There was no placebo group here. So I don't  
17          know what the smaller change means for the other drugs, but  
18          I do know that in studies that have included a placebo, and  
19          I believe that you showed a slide, Ed, earlier, having both  
20          haloperidol and placebo along with ziprasidone, that  
21          basically showed no difference between haloperidol and  
22          placebo in QTc effect.

23          We have data from multiple other trials,  
24          including the seven-arm trial that Dr. Dubitsky presented,  
25          that looks at three different doses of haloperidol, 4A and

1 16, against placebo, again showing completely flat curves,  
2 an absence of any apparent QTc effect.

3 So I think you have to factor all of those  
4 other data, you know, into this interpretation of this  
5 study that doesn't have a placebo control.

6 DR. HARRIGAN: Can we follow up on that? I  
7 mean, I agree that the therapeutic trials with haloperidol  
8 have not shown a QTc effect with haloperidol in our program  
9 or in the programs you've seen obviously.

10 We do have some concentration QTc data from  
11 Study 054 with haloperidol, and I wondered if Dr. Thomas  
12 Ludden would be available and allowed to present that just  
13 very briefly.

14 DR. LUDDEN: Can we have Slide M134?

15 This is a graph of the QTc change from  
16 baseline, using a baseline correction, versus the  
17 haloperidol serum concentration. The two-day values, which  
18 was during a titration phase, the lowest levels shown to  
19 the left there in yellow.

20 The next set of levels are in white which  
21 represent at steady state, and then the orange levels are  
22 with the metabolic inhibitor, and the large squares  
23 represent the means of those data, both in regard to  
24 concentration and to response.

25 Could I have Figure 236, please? This is

1 PK236.

2           Okay. Now, that's the same data essentially  
3 with a regression line shown through it, and that is a  
4 significant regression line for that dataset. It's  
5 performed using a mixed effect modeling approach, the  
6 population approach that was referred to a few minutes ago,  
7 in trying to keep the correlation or the identity of an  
8 individual intact in the data analysis. It's not like  
9 treating those data points as being completely independent  
10 because some of them arise in the same individuals. But  
11 one can get a significant regression from that.

12           Now, I would point out that the interaction  
13 study worked quite well for Haldol, that it actually moves  
14 the concentration nicely to the right in this study, and so  
15 it did stress Haldol fairly well in changing the exposure.

16           DR. TAMMINGA: Dr. Winokur.

17           DR. WINOKUR: I wanted to try to come back and  
18 pick up on the discussion that Dr. Hamer started with the  
19 design of that study because I think it's -- I'm sorry.

20           DR. TAMMINGA: Let's finish first with Haldol  
21 discussions, if you don't mind.

22           DR. WINOKUR: Sure.

23           DR. TAMMINGA: Dr. Laughren, do you have  
24 another comment in response to this?

25           DR. LAUGHREN: I don't know how to interpret

1 that graph. I mean, again, all I'm doing is falling back  
2 on our very extensive database in which, you know,  
3 haloperidol is used as a control, and studies that include  
4 placebo, where you never see any difference.

5 It's puzzling, you know, why that should show  
6 up here. I don't know what it means.

7 DR. TAMMINGA: Dr. Katz.

8 DR. KATZ: The variability around those means  
9 are rather large, at least for the greater concentration,  
10 it's not up any more, and, of course, patients weren't  
11 randomized to particular concentrations, those that fell  
12 out of the dose they were on.

13 So I'm inclined to agree with Tom. It's hard  
14 to know exactly what that means against the backdrop of  
15 empirical evidence, which suggests it really isn't very  
16 different from placebo.

17 The point being that if it's really not  
18 different from placebo, I don't know if that slide, Ed,  
19 that you had about Study 54, if you put those back up, if  
20 that's possible.

21 If you accept as an assumption that Haldol's  
22 equivalent to placebo in that study, and you subtract the  
23 other measurements for the other drugs, the other QTc  
24 measurements, you see that ziprasidone does sort of stand  
25 out.

1 I think if you look at the steady state in the  
2 baseline correction, in the Framingham correction, without  
3 metabolic inhibitor, you see there really isn't any overlap  
4 with the confidence intervals between ziprasidone and the  
5 other drugs. Thioridazine, of course, is a separate issue,  
6 but Haldol's higher than the others.

7 So if you consider that as sort of a placebo  
8 or, you know, a surrogate for placebo response, you really  
9 see that ziprasidone does sort of distinguish itself, and  
10 we've tried to make the case that we don't think that the  
11 Bazett is the appropriate correction. Obviously things  
12 look a little different on the Bazett side of things, but  
13 if you believe that that doesn't correct appropriately for  
14 heart rate, if you look at the other two, which sort of  
15 probably we believe are a little bit more accurate as far  
16 as correcting for heart rate, you see that ziprasidone  
17 really does sort of stand out, again if you consider as  
18 Haldol as sort of a placebo, even if you don't.

19 DR. HARRIGAN: We have a little bit of  
20 frustration probably with the analyses.

21 The Bazett formula was the prospectively-  
22 declared formula in the protocol for analysis of QTc, and  
23 with the Bazett formula, as we pointed out earlier, there  
24 are a large number of drugs that appear to have an effect.

25 Now, if you move to another correction formula,

1 and there are good reasons to consider doing that, then it  
2 seems to us that haloperidol pretty clearly has an effect.  
3 If you dissect that data further, then there's a  
4 concentration effect relationship with haloperidol and QTc.

5 So it seems from our point of view that if you  
6 pick one correction formula or the other, one correction  
7 approach or the other, and either way, there are certainly  
8 other antipsychotics which cause a QTc prolongation and  
9 actually fairly close to the neighborhood of ziprasidone.

10 DR. TAMMINGA: From a cardiologist's point of  
11 view, what do these differences make? Well, what do these  
12 differences look like?

13 DR. LINDENFELD: I think we've been having  
14 trouble answering that all day.

15 In any individual patient, I think -- and  
16 please, everybody, add to this -- a 20 millisecond increase  
17 would not be a huge increase, and I don't think if it was  
18 in the normal range of EKG of QT interval, I don't know  
19 that it would make any of us stop a drug. If it went from  
20 400 to 420, I doubt that any of us would stop the drug at  
21 that point.

22 But I think that's a difference in what it  
23 means in large populations where there will be some people  
24 who will prolong substantially more.

25 DR. TAMMINGA: Could you comment also on the

1 differences between the medications that were just up?

2 DR. LINDENFELD: Do I think there are real  
3 differences? I guess I think ziprasidone stands out a  
4 little bit as to have more QT prolongation than the others,  
5 yes.

6 DR. CALIFF: I think JoAnn said it quite well.  
7 I would just add just the point of frustration that the  
8 numbers studied are small. We're talking about three  
9 million patients. It would seem worthwhile to get little  
10 bit bigger sample sizes so we could potentially distinguish  
11 one drug from another.

12 The other point I'd just make here is one  
13 reason we're all so much in the dark, there's no empirical  
14 base of research. I think as Dr. Throckmorton pointed out,  
15 despite all 50 drugs having this QT prolongation problem,  
16 there's very little data that relates the QT prolongation  
17 to the risk of arrhythmias across the different classes of  
18 drugs. It's really quite remarkable.

19 DR. LINDENFELD: Maybe if I could, I'd like to  
20 ask Dr. Moss a question.

21 In terms of risk, Dr. Moss, do you think we're  
22 picking out a highly-susceptible population? So is the  
23 risk the greatest in the first several months or is it an  
24 on-going cumulative risk per year, do you think?

25 DR. MOSS: Well, let me answer a couple of

1 questions.

2           The first one of does 20 milliseconds make a  
3 difference, well, in a given individual patient, no. The  
4 question is, does this represent a signal? That's the real  
5 question that's out there. That is, does a 20 millisecond  
6 change, which is a substantial change, a highly-significant  
7 change, does that represent a signal that there's going to  
8 be some group of patients out there that are going to have  
9 a much larger change?

10           So that, I think, is an issue, and so you can't  
11 really interpret the 20 millisecond per se interval.

12           Now, your other question related to?

13           DR. LINDENFELD: Are we picking out susceptible  
14 patients whose risks would be primarily in the first few  
15 months of this therapy or is this likely to be a cumulative  
16 risk year after year?

17           DR. MOSS: I don't think we know, but I believe  
18 that the risk is just short-term, unless they have evidence  
19 that the QT interval resolves or accommodates over time. I  
20 haven't seen any evidence to that effect.

21           DR. TAMMINGA: Dr. Moss, what do you make of  
22 the rest of the data that Pfizer reported to us, that, say,  
23 with the metabolic inhibitor, the QT interval did not go  
24 up, and that with the overdose cases, there weren't  
25 substantial or gigantic QT interval changes, and then the

1 lack of syncopal episodes, that at least Pfizer put those  
2 together for us in a bundle to kind of look at, it seemed  
3 to me, to answer the question or to provide some data to  
4 the question that you posed?

5 DR. MOSS: They're very reassuring. I mean,  
6 the fact that although the number of overdose cases is  
7 relatively small, they didn't see any clear pattern of QT  
8 prolongation. That's very, very useful information, and  
9 every case becomes extremely important.

10 So that this information that they've shown has  
11 been reassuring in that regard.

12 DR. TAMMINGA: We'll get back to Dr. Winokur's  
13 questions.

14 DR. WINOKUR: Well, it was initially stimulated  
15 by Dr. Hamer's comment, but it may also fit in with the  
16 discussion that Dr. Katz and Dr. Laughren brought up as  
17 well.

18 I wanted to remind myself and mention to the  
19 committee and others that, as I understand it, this study  
20 was done in a way that is actually quite different than  
21 most previous study for which we have such data, and this  
22 was something that came out of the discussions with the  
23 division after the initial review, and I guess I would see  
24 it differently than the point that Dr. Hamer was making.

25 You know, certainly there's inter-individual

1 variability, but a way to reduce variance and to get a more  
2 accurate signal is to have a study done in as consistent a  
3 manner as possible, and the time selected for doing the EKG  
4 was geared to the time of Cmax, and actually they did three  
5 EKGs an hour apart.

6           So they really bracketed the time that would be  
7 expected. I think that's a significant change from what I  
8 understand to be the usual approach. So to me, that would  
9 be an important step in making a statistician happy.

10           The other thing is it concerns me as to whether  
11 the concerns about the data with haloperidol being  
12 different might also relate to this being a very different  
13 paradigm that was used, and therefore, you know, instead of  
14 falling back into going with numbers that we're familiar  
15 with, but those numbers were really derived from a quite  
16 different experimental protocol, then, you know, that might  
17 be a reason for things looking different.

18           We actually heard about rather different  
19 numbers as a function of the usual way of collecting such  
20 data in the larger studies, you know, where they got a  
21 quite different estimate as opposed to doing it in this  
22 specified way to specifically tie into the Cmax.

23           DR. TAMMINGA: What I would add, Dr. Winokur,  
24 about that, about placebo periods and previous studies, is  
25 that oftentimes in antipsychotic drug trials, placebo is

1 not as drug-free as you would wish because placebo periods  
2 are oftentimes aborted, and EPS as a side effect usually  
3 continues on through the placebo period.

4 I think it's as drug-free as we can probably  
5 provide, but it isn't fully drug-free. I don't know how  
6 that pertains to the present discussion.

7 Dr. Moss had said that he wanted to come back  
8 to the study of drug-drug interactions, which I think is an  
9 important topic.

10 DR. MOSS: Well, it has to do with the question  
11 that was raised about multiple drugs, and these patients  
12 are likely to be on multiple drugs.

13 Now, within the psychiatric drugs, I would  
14 suspect the possible overlap with other antipsychotic drugs  
15 as well as antidepressants, and although there is a table  
16 here that relates to their experience in really, I suspect,  
17 what is the earlier Phase II/Phase III trials, it was  
18 really observational data, never clear trial data. It's  
19 just what they happened to be on.

20 It would seem to me that there's also, as one  
21 is getting into the age 40+ age group, that generally the  
22 four most frequently-used non-psychiatric drugs are ACE  
23 inhibitors, diuretics, beta blockers, and calcium channel  
24 blockers, and it would seem to me that it would be  
25 worthwhile to have some information on this in some

1 appropriate way.

2           So that's the one issue that was raised about  
3 drugs, and I think that we just don't really have  
4 substantial data to properly interpret that. It's  
5 conceivable that could also be accrued post-approval, but  
6 I'm just saying that that information really is not  
7 interpretable at the present time.

8           Then, the other issue that was raised, which  
9 is, I think, an important one, is the bradycardia issue,  
10 because bradycardia is really known to be a significant  
11 trigger in patients with QT prolongation, that this is what  
12 seems to enhance the abnormality of the repolarization, and  
13 so we don't really have any information during sleep or  
14 during other times where, say, Holter information would be  
15 helpful to see if there is some type of change later,  
16 during a bradycardic rate or what have you.

17           So these are two issues. I think they're  
18 relevant issues. I don't think we can answer them on the  
19 basis of the data that's available, but it would seem to me  
20 this is something that is relevant from the clinical use of  
21 this medication, particularly in drug combinations, and  
22 some of the drugs themselves slow the heart rate, like beta  
23 blockers, et cetera.

24           So these issues are open. I wish we could  
25 answer them. I cannot give you specific information, but

1 they're out there.

2 DR. HARRIGAN: Dr. Tamminga? Sorry. Snuck in  
3 behind you.

4 Just two things to address Dr. Lindenfeld's  
5 question. The heart rate, mean heart rate, went from --  
6 with ziprasidone in the absence of metabolic inhibitor 4.6b  
7 per minute increase, with ketoconazole 3.6b per minute  
8 increase in the mean.

9 Another point is in response to Dr. Moss  
10 raising the issue of drug interactions, we probably  
11 haven't, I think, surveyed sufficiently the drug  
12 interaction data available on ziprasidone.

13 I wonder if Dr. Craig Brater from Indiana  
14 University would be able to just provide a brief summary of  
15 that?

16 DR. TAMMINGA: Do you think that would be  
17 helpful, Dr. Moss?

18 DR. MOSS: Couldn't hurt.

19 DR. HARRIGAN: Thank you.

20 DR. BRATER: As you heard, I'm Craig Brater.  
21 I'm from Indiana University.

22 I can't speak, I don't think, to  
23 pharmacodynamic interactions, but if you're thinking about  
24 them, you've also got to have some corner of your brain  
25 that must also be thinking about pharmacokinetic

1 interactions, and so let me say a few words about that.

2 As you saw and as has been inferred, there have  
3 been a number of interaction studies that have been  
4 performed to look at that, and if you stand way back and  
5 look at this, and I can show you the specific data if you  
6 want to delve into that, one of the things that strikes you  
7 is that the kinetic interactions, disease effects, et  
8 cetera, are not very great in terms of their magnitude.

9 I think it's important to keep a frame of  
10 reference that the bioavailability of this drug is 60  
11 percent, and so when you see drug-drug interactions that  
12 cause big increases in serum concentrations, it's usually  
13 because they have low bioavailability. They're being  
14 metabolized by the intestinal epithelium.

15 You block that with an interacting drug, and  
16 all of a sudden, you get a greatly-enhanced  
17 bioavailability, in addition to a decreased ability to  
18 eliminate the drug once it's in the system. Typical  
19 example of that is terfenadine, typical example of that.

20 But if you have a 60 percent bioavailability to  
21 start with, the most you can go is to a 100, and so you're  
22 not going to see a big delta in that fashion.

23 But if you look at these data, and you ask  
24 yourselves what are the ways that you can permute the  
25 system, ketoconazole has already been mentioned, and

1 remember what ketoconazole does. It's the most potent  
2 inhibitor of 3A4. So it's going to shunt everything down  
3 that other pathway. It's going to shunt things to M9, and  
4 it's going to shunt things to ziprasidone.

5 Another aspect of ketoconazole is if there is  
6 any secretory elimination of the drug or M9 through biliary  
7 secretion, if part of that is by peak glucoprotein, it will  
8 also block that.

9 So again, ketoconazole serves as a very good  
10 tool to really stress the metabolic system to its capacity,  
11 and you see about at most a 40 percent increase in  
12 exposure. So it's not very much.

13 If you look at liver disease, the patients with  
14 the most severe liver disease, it's about a 35 percent  
15 increase in exposure.

16 If you look at elderly, it's about a 20  
17 percent, and actually if you take the extremes and look at  
18 exposure in an elderly female compared to a young male,  
19 it's about a 40 percent increase. I think if you turn it  
20 around and you say what happens if I use an inducer like  
21 carbamazepine, it's about a 40 percent decrease in  
22 exposure.

23 So the numbers that you keep coming up with is  
24 that if you stress the system to its max, either by age,  
25 demographics, disease or drug interaction, you get about a

1 40 percent change in exposure, and I think that's an  
2 important frame of reference for you to keep in your  
3 deliberations in terms of polypharmacy.

4           What might happen with multiple drugs? What if  
5 you have a patient with liver disease, and then, all of a  
6 sudden, you put them on ketoconazole? Does that mean that  
7 you get 40 percent plus 40 percent? So now there's an 80  
8 percent exposure? No.

9           There's a lot of internal consistency in these  
10 data in that if you -- as you heard, about a quarter to a  
11 third of the metabolic elimination of this drug is through  
12 CYP pathways. That would allow you to predict that if you  
13 completely shut off those pathways, you would get about --  
14 guess the number -- a 40 percent increase in exposure, and  
15 indeed that's what you see with ketoconazole.

16           So you would not have additivity in a situation  
17 like that, if you got essentially the maximal effect by  
18 either an interacting drug or by disease or what have you.  
19 If you layered something else on top of that, you would not  
20 expect to see additional exposure.

21           So again I think that paints sort of a frame of  
22 reference in terms of what you're going to see in terms of  
23 kinetics, is this magic 40 percent number. How that  
24 affects, again I have to say I can't get into the business  
25 about dynamics.

1           I'm not sure if the data can be looked at in  
2 that fashion. I don't know if there's any population  
3 information, but in terms of pure kinetics, the boundaries  
4 are reasonably narrow.

5           DR. CALIFF: Craig, I'm just trying to  
6 understand the overdose information.

7           If you have a dose-response effect, and you  
8 have an overdose, I mean, from other data about QT  
9 prolonging drugs and IKr channels, is there some  
10 theoretical threshold or leveling off? How would you  
11 explain someone with an overdose not having a substantial  
12 prolongation of the QT?

13          DR. BRATER: I'm not an expert on IKr channels  
14 and that kind of thing, but I guess you have to basically  
15 presume that the slope of the concentration response  
16 relationship is very shallow or you've got a -- you do have  
17 an upper asymptote that has been essentially defined within  
18 this database, though statistically you can't fit the curve  
19 and meet the technical criteria to say that it does have an  
20 upper asymptote.

21          But, I mean, you've got more than the overdose  
22 data. You've also got those outliers that were out there.  
23 The only way I can explain that is that there's some  
24 plateauing that goes on.

25          DR. CALIFF: And then, just to reiterate one

1 more time, I heard you say it, and I just wanted to check,  
2 if we have no knowledge of what would happen if someone  
3 took quinidine or sotalol or dofetilide on top of this  
4 drug?

5 DR. BRATER: I don't personally. I don't know  
6 if others here do. People are shaking their heads no.

7 DR. MOSS: Could I ask a question? If one goes  
8 higher in the dose to patients in studying dose-response  
9 effect, do adverse side effects prohibit one from going to  
10 higher doses?

11 That is, as I understand it, the highest dose  
12 is the 200 milligrams a day. If one went to 400 milligrams  
13 a day, in terms of testing, is that precluded by side  
14 effects? Maybe that's already been done in earlier phases  
15 of the trial.

16 DR. BRATER: I'll have to turn that back over  
17 to Dr. Harrigan.

18 DR. HARRIGAN: No. The 200 milligram per day  
19 dose was associated with increased side effects, and in  
20 clinical trials, we did not exceed that dose. We really  
21 haven't exceeded that dose in the clinical development  
22 program or experimentally.

23 There are increased adverse effects of various  
24 sorts, including some extra-prandial symptoms, at doses in  
25 that range.

1 DR. MOSS: Could I get a comment from  
2 Regulatory on that point?

3 DR. LAUGHREN: You mean about whether or not  
4 we'd like to see a full exploration of the dose-response  
5 curve even in normals?

6 I mean, clearly, we would, and I think that's  
7 sort of, you know, what we're reaching here. Because of  
8 the remarkable stability of the pharmacokinetics of this  
9 compound, you're not learning about that by trying to block  
10 the clearance, and so the fact is that we don't have any  
11 good data, any systematic data, on what the QT effect is  
12 at, say, a doubling of the therapeutic dose, and that's  
13 sort of an unknown.

14 DR. HARRIGAN: Normal volunteers would not  
15 tolerate really even therapeutic doses of this atypical  
16 antipsychotic or any of the atypical antipsychotics that  
17 people are using that are prescribed, and we have not  
18 deliberately run a patient study at overdose at those high  
19 dose range.

20 DR. CALIFF: Why wouldn't they tolerate it? If  
21 someone with psychosis could tolerate it, why couldn't a  
22 normal volunteer?

23 DR. TAMMINGA: It's quite common that normal  
24 volunteers don't tolerate any of the dose levels for any of  
25 the antipsychotics that people with schizophrenia do.

1 DR. HARRIGAN: I agree. I mean, some of it is  
2 the Alpha 1 effect, which normal volunteers don't tolerate  
3 that patients who become acclimated to and tolerate fairly  
4 well.

5 There's one other point, if I can digress just  
6 a little bit, that's another perspective on the haloperidol  
7 effect. If one were to treat the haloperidol as a placebo,  
8 with the non-Bazett correction formula, then that would  
9 reduce the mean effect of the ziprasidone and QTc to  
10 something south of 10 milliseconds, be somewhere between 5  
11 and 10 milliseconds, in order to subtract that out as a  
12 placebo effect which I think Dr. Katz was suggesting you  
13 consider doing for some of the other agents in Study 054.

14 DR. TAMMINGA: Dr. Katz.

15 DR. KATZ: Well, yes, it does decrease. I  
16 mean, people have been talking about 20 millisecond  
17 prolongation, and we agree that if you use the maneuver  
18 that you just mentioned, it brings it down.

19 I think we brought it to around 10 or something  
20 in that ball park in general.

21 DR. TAMMINGA: Dr. Throckmorton.

22 DR. THROCKMORTON: Yes, just a comment about  
23 the Number 10 milliseconds.

24 Given the absence of information about as much  
25 of an effect of a doubling of the drug dose, I'd be a

1 little cautious about extrapolating to exactly what would  
2 happen at 300 or a doubling of the dose, something like  
3 that.

4 I mean, we imagine that it would be linear or  
5 something like that. That is, that you'd have some smaller  
6 higher number. I don't know, 14 or whatever. We don't  
7 have a lot of drugs to really base that sort of inference  
8 on.

9 DR. TAMMINGA: Dr. Marder.

10 DR. MARDER: I'm wondering if it would be all  
11 right to change the topic just a little bit. I wanted to  
12 address something that was brought up in the consultation  
13 that we got from the Division of Cardiorenal Drug Products.

14 There was suggested that evaluating the risk of  
15 QT prolongation against the risk of, you know, factors,  
16 such as lipids and weight, was not valid because increases  
17 in weight and lipids could be detected by a clinician, and  
18 then a change in the pharmacotherapy could be made to  
19 reduce that risk.

20 I question whether that's relevant in the real  
21 world for a couple of reasons. First, even in the setting  
22 of optimal care, where clinicians are, you know, weighing  
23 their patients and monitoring lipids closely, still  
24 patients are gaining weight, and it still remains -- I  
25 mean, in most clinics where patients are on the newer

1 drugs, they're not being changed, which is the reality of  
2 practice.

3 Secondly, the reason that they're not is  
4 because what does the clinician change to in order to  
5 reduce the risk?

6 The drugs that appear to have the least risk of  
7 QTc prolongation seem to be a group of, from what I gather,  
8 high potency, older antipsychotics, but if one looks at  
9 treatment guidelines that have been developed by some  
10 individuals in the Texas guidelines and other consensus  
11 statements, those drugs are also considered second-line  
12 agents.

13 I know FDA doesn't consider them, but many  
14 clinicians have come to think of them as second-line agents  
15 because they may be associated with a higher risk of  
16 tardive dyskinesia or other risks.

17 So the clinician is left with the group of  
18 agents which we've been talking about, the three drugs  
19 which are first-line agents, and all of those cause  
20 substantial weight gain.

21 Even if one accepts that quetiapine may cause  
22 or risperidone may cause slightly less weight gain, the  
23 amount in some individuals is substantial, and given that  
24 this is such a common problem, I think that there are very  
25 few alternatives for clinicians.

1           So I'm just addressing that argument, which I  
2 don't really consider invalid. I still think that the risk  
3 of these other factors needs to be weighed against the risk  
4 of the QTc prolongation.

5           DR. THROCKMORTON: I guess I win. I don't  
6 think the consultation was making any regards judgment as  
7 regards to which of those was a thing to be concerned about  
8 or even the relative concern that should, you know, sort of  
9 burden of concern for the two things.

10           I think the consult was raising an issue of  
11 which of the two groups of adverse events are manageable  
12 through relatively easy mechanisms, and which of the  
13 adverse events is one for which we have a difficult time  
14 predicting individuals that are at risk and identifying  
15 that risk before an adverse event.

16           So you have a significant concern, weight gain,  
17 lipid change and those sorts of things. Yes, you're right.  
18 We may have a hard time intervening in those if they occur,  
19 but we don't have any difficulties identifying them through  
20 things that we do all of the time.

21           On the other hand, QT prolongation, as we've  
22 all heard today, trying to identify an individual at risk  
23 is extraordinarily difficult, and the consequences of  
24 failing to identify that risk in an individual is  
25 irreversible, and so the concern was that you're trading a

1 potentially irreversible adverse event that you have a  
2 difficult time predicting will occur for an equally serious  
3 or an alternate significant adverse event but one that is  
4 amenable perhaps to other therapies and is readily  
5 identifiable.

6 I hope I'm not putting into words --

7 DR. TAMMINGA: I would add actually another  
8 feature to this that may be important in that I suppose  
9 what we're talking about is theoretical risk or  
10 hypothetical risk in that this is a risk that we all assume  
11 is there, but we don't see any signal for it, except the 20  
12 millisecond signal.

13 Dr. Califf is going to correct me.

14 DR. CALIFF: No, I'm not, actually. I'm just  
15 going to pile on and say that although not gaining weight  
16 is a very important thing, and I think an important issue  
17 to consider, we also cannot jump to the conclusion that  
18 drug-induced changes in weight or lipids or diabetes  
19 control necessarily impact on the down-the-road events  
20 we're trying to prevent.

21 I mean, we don't care what our lipids are,  
22 unless we have a vascular event, which is what lipids  
23 predict, but, you know, I would just point out the lesson  
24 we learned from hormone replacement therapy in the last  
25 five years.

1 Beautiful effects on lipids, not so much on  
2 triglycerides as here, and yet, you know, no prevention of  
3 cardiac events in one secondary prevention trial and an  
4 increase in thrombosis.

5 So the risks on both sides of that equation are  
6 hypothetical, except for weight in and of itself as  
7 something that's personally important and may affect  
8 adherence.

9 DR. TAMMINGA: And there's a far different  
10 incidence of risk, I guess you'd call it, between cardiac  
11 event and between weight gain in that weight gain is very  
12 common.

13 DR. THROCKMORTON: Are you arguing for outcome  
14 trials now in this population?

15 DR. CALIFF: I don't know how you can listen to  
16 this and not -- I mean, you know, this is all resolvable by  
17 randomizing a few thousand patients in each group, and if  
18 I, you know, was a person that had this problem, I would  
19 want to know. I wouldn't want hypothetical risks and  
20 benefits. I would want truly measured risks and benefits,  
21 and I know the NIMH is beginning to fund some studies that  
22 are looking at this, but, you know, we're all talking about  
23 hypothetical things here based on surrogates that we can't  
24 presume accurately predict what's going to happen to  
25 patients.

1 DR. TAMMINGA: Dr. Oren.

2 DR. OREN: Just in the last couple of minutes,  
3 the discussion has introduced again what the ziprasidone  
4 may do as far as lowering lipids.

5 I think that we don't have an adequate database  
6 to address that potential benefit. Again, the sponsor this  
7 morning was not able to provide us with data showing what  
8 happens to people with different baseline lipid levels.

9 So that unless we knew, for example, that  
10 people with the high baseline lipid levels have lowering of  
11 their lipid levels, it would be hard to make direct  
12 comments on that specific point.

13 DR. TAMMINGA: Dr. Cook.

14 DR. COOK: Actually, I wanted to follow up on  
15 where this was headed, and I don't want to debate the  
16 completeness of it, and that's what concerns us all, but we  
17 focused appropriately on the QTc, and in some ways, there's  
18 some concern, but then I want to actually ask, particularly  
19 the cardiologist review of the -- I think there are about  
20 4,500 exposures to this over about 1,700 patient years,  
21 with mortality that's lower than placebo, which is  
22 relevant, and equal to comparators.

23 Now, the problem, of course, is the sample  
24 size, and in direct comparison to ziprasidone, it's not so  
25 high, but I'd just like your comments, and perhaps Dr.

1 Hamer's comments on how much we can take from that.

2 The one thing we obviously don't have is  
3 particularly the fact that even if we have people only on  
4 one drug, they're probably not going to be washed out from  
5 another antipsychotic.

6 So aside from the fact that we have almost no  
7 data on concomitant psychotropic use, there do seem to be a  
8 lot of patient exposures here in patient years. So I'd be  
9 curious about those as outcomes. I mean, that's the  
10 outcome we care about, not the QTc.

11 DR. TAMMINGA: Dr. Laughren.

12 DR. LAUGHREN: Before Dr. Califf comments, let  
13 me just add one additional piece of information about what  
14 reassurance, if any, one can draw from the fact that the  
15 overall mortality in the NDA database for ziprasidone is no  
16 different than other recent antipsychotic NDAs.

17 For this drug sertindole that we talked about a  
18 little earlier, the overall mortality in the NDA database  
19 was also right in that ball park, and that drug turned out  
20 to be a problem in terms of sudden death.

21 So I'm not sure how much reassurance one can  
22 draw from this overall mortality figure from a relatively  
23 small NDA database.

24 DR. COOK: Okay. I know that's a problem,  
25 particularly with the sample size, but was it the same size

1 database?

2           And Number 2, when sertindole was pulled, was  
3 it clear that it had a higher mortality or was it pulled  
4 because of some cases -- I mean, this is the issue. The  
5 issue is overall mortality.

6           Are patients that you put on this medication  
7 more likely to die? I recognize a single event or a set of  
8 events can sort of captivate people emotionally, but the  
9 issue is are patients dying or not in many ways?

10           DR. LAUGHREN: The overall person-time  
11 experience was in the same ball park as what we're seeing  
12 for the others, and in terms of the decision-making about  
13 sertindole, I really can't comment on that, but Dr.  
14 Dubitsky showed you earlier the reasoning, the public  
15 reasoning on the part of other regulatory agencies, and it  
16 was based on cases of torsade and sudden death in the  
17 public experience.

18           DR. COOK: I don't want to minimize those, but  
19 if we're -- I mean, many of these questions are public  
20 health-related questions, and overall mortality seems to be  
21 the big concern to me at least.

22           DR. TAMMINGA: Dr. Fyer.

23           DR. FYER: I'd like to disagree a little bit  
24 with Ed about this, in that, I mean, this is probably a big  
25 leap, but it does seem to me that what we're talking about

1 with the QTc is a relatively rare event, and, so that the  
2 kinds of sample sizes that you're going to need whereby  
3 it's going to affect mean values in terms of risk factors,  
4 et cetera, are going to be huge, and I think way beyond  
5 anything that anybody's going to do.

6 I do a lot of, as Ed does, complex disorder  
7 genetics in that similar problems arise, and you sort of  
8 get used to dealing with it, but for me, on the advisory  
9 board, the issue really is that I sort of feel like it's  
10 our job to protect that particular subgroup of people who  
11 may have a very low chance of having gotten into these, you  
12 know, samples, but for whom there is possibly in the data  
13 we've seen today about QTc prolongation evidence that they  
14 do exist.

15 What concerns me is that the drug will be  
16 approved and go on the market, and another incident like  
17 Seldane and antibiotics will occur, and it's easy to say,  
18 well, that's a very low percentage of people, but for the  
19 people involved, you know, that's the end, and I'm not sort  
20 of taking the position that we shouldn't approve the drug  
21 under this kind of situation, but again what I said earlier  
22 is that I take very seriously the responsibility for, you  
23 know, protecting in a very rigorous way, you know, any  
24 possibility that that will occur, and that we have to  
25 accept that mean data is not going to really address that

1 issue at this point.

2 DR. COOK: I just have to respond that I share  
3 your concern and think about my own patients as potential  
4 fatalities and thinking about this, and then, I guess, the  
5 only other question to follow up is, could the  
6 cardiologists tell us what the effect of screening would  
7 be, and if this is approved, what level of screening they  
8 would recommend, and would that at all reassure us or not  
9 about this hypothetical risk?

10 DR. TAMMINGA: We're going to take Dr. Califf's  
11 response to Dr. Fyer's question first before that question.

12 DR. CALIFF: I think, Dr. Cook, I tend to side  
13 with him a little bit on the original point he made on this  
14 issue, and it's one reason in cardiorenal we try to look at  
15 all cause mortality, because I think the case has been made  
16 here that it's possible that you might even have an  
17 increase in risk of death due to long QT, and you might  
18 have a decrease in risk of death due to lowering of lipids  
19 and better weight and better diabetes control, and, you  
20 know, in the end, people don't care what the cause of death  
21 is, they care what their overall risk of death is, and so  
22 it's very conceivable you could have offsetting issues  
23 here, one favorable, one detrimental, and the question is  
24 which outweighs the other?

25 On the other point of the degree of exposure, I

1 think there are two issues here. One is sample size and  
2 years of exposure. The other is the representativeness of  
3 the population, and there's been a tendency in FDA-related  
4 clinical trials to enroll patient populations which are not  
5 as sick or complicated as the patients who are eventually  
6 going to be treated when the drug gets on the market.  
7 That's where a lot of problems have occurred.

8 I think the sponsor made a pretty good case  
9 that these were reasonably representative patients,  
10 although we don't have all the data in front of us to come  
11 to our own conclusion on that, but I would argue strongly  
12 that looking at total mortality is really the best way to  
13 look at it.

14 DR. TAMMINGA: Dr. Harrigan?

15 DR. HARRIGAN: Can I just take one moment to  
16 try to address a point that Dr. Califf made earlier, and  
17 that I think may be a point of confusion?

18 We wouldn't be trying to suggest that  
19 ziprasidone saves lives by lowering cholesterol and  
20 lowering body weight. It's not a cholesterol-lowering  
21 agent or a weight-loss drug. It's a drug that doesn't  
22 share the risks of treatment alternatives which aggravate  
23 those cardiovascular risk factors, which exacerbate those  
24 cardiovascular risk factors.

25 So to the extent that we have some

1 understanding of what aggravation of those risk factors,  
2 increasing body weight, increasing cholesterol, increasing  
3 triglycerides, to the extent that we have some idea of what  
4 risks those changes carry, then it's avoidance of those  
5 risks that we think is the key property for ziprasidone.

6 DR. CALIFF: I mean, again, they're somewhat  
7 hypothetical. For example, most drugs that improve  
8 diabetes control also result in an increase in weight.

9 So the problem is when you deal with surrogates  
10 for cardiovascular disease, there's so many unknowns and  
11 confounding influences of different surrogates, that it's  
12 very hard to be sure what you're going to get without  
13 measuring it.

14 I mean, we've been through this so many times.  
15 Still, I think hypothetically, the case that's being made  
16 is pretty strong as hypothetical things go.

17 DR. TAMMINGA: And what do you think is very  
18 strong, if you could be clear about that?

19 DR. CALIFF: Well, if you believe that drugs  
20 that cause an increase in LDL cholesterol and an increase  
21 in weight lead to an increase in the risk of future  
22 cardiovascular events, and that this drug doesn't do that,  
23 the connection is very plausible.

24 I'm just making a case that we've seen a lot of  
25 plausible things that turned out not to be right when we

1 actually measured them.

2 DR. THROCKMORTON: Yes. I'd echo that, just to  
3 remind the audience that there's another compound that  
4 Pfizer has, of course, that has had similarly-lowered  
5 lipids, that is Doxazocin, but had a reported adverse  
6 outcome as regards to some cardiovascular events.

7 I'm certainly not saying that we know that  
8 those things are in fact true or connected or anything  
9 else. I think your point about being very careful of  
10 accepting non-prespecified surrogates as evidence of  
11 benefit is warranted.

12 DR. TAMMINGA: Dr. Rudorfer.

13 DR. RUDORFER: Yes. I just wanted to make a  
14 slight paradigm shift just to put in a couple words that  
15 some of the risk factors that we've been talking about  
16 related to weight and lipids also apply to the other side  
17 of the equation, namely the benefit side, because, I mean,  
18 I found the public testimony very moving this afternoon,  
19 that in fact factors that might increase treatment  
20 adherence should not be discounted, and I think the  
21 question of whether effect of weight and lipids reduce  
22 cardiac risk, I agree with Dr. Oren's comment that these  
23 studies were not designed to ask that question, and we  
24 really can't answer it, but I think independent of that,  
25 the fact that those data add to the benefit side of the

1 equation, we should keep in mind.

2 DR. TAMMINGA: Dr. Laughren.

3 DR. LAUGHREN: In line with Dr. Califf's  
4 earlier comments, do you think that there ought to be an  
5 actual demonstration of improved treatment adherence?

6 DR. TAMMINGA: Do you mean by Pfizer or in the  
7 real world, in some other place?

8 DR. LAUGHREN: Well, again, you know, we've  
9 been talking a lot about theoretical risks and theoretical  
10 benefits. It seems to me that that's perhaps another one  
11 that hasn't actually been empirically demonstrated, and,  
12 you know, if that were proposed as a theoretical benefit to  
13 offset the theoretical risk of increased sudden death,  
14 should that be subjected to empirical testing rather than  
15 making the assumption that the patients will adhere better  
16 because of less weight gain and so forth?

17 I mean, it seems to me that it's another  
18 theoretical advantage that perhaps has not been empirically  
19 demonstrated.

20 DR. CALIFF: Yes. I'm not sure I understood  
21 when you say about Pfizer or are in the real world. I  
22 think Pfizer sells its drugs in the real world. So I would  
23 assume that one could pretty easily do a study that would  
24 show improved adherence, if in fact the way the lack of  
25 weight gain results in better adherence.

1 DR. TAMMINGA: I didn't mean to exclude Pfizer  
2 from the real world. The NIMH is currently doing a study,  
3 and I suspect that ziprasidone would be included in that  
4 study, were it approved, where this kind of data might be  
5 collected, although I don't know for sure.

6 DR. MARDER: My recollection of the protocol is  
7 that it will be included, if it's approved.

8 DR. TAMMINGA: And compliance data will be  
9 collected?

10 DR. MARDER: Yes. That study will measure  
11 treatment adherence. It will also measure a lot of the  
12 cardiovascular factors as well.

13 DR. TAMMINGA: Dr. Winokur.

14 DR. WINOKUR: Dr. Cook raised a question before  
15 which to me was a pretty important pragmatic one, which I'd  
16 like to come back to, again putting it into context.

17 I think we currently use a fair number of  
18 medications that have a lot of complexities and initially  
19 had some major safety and health concerns, and we're kind  
20 of accustomed to having to do a lot of monitoring.  
21 Depakote might be one good example of that, which -- I'm  
22 sorry? Many. Right. I mean, we can go on and on about  
23 that.

24 But Dr. Cook raised a question about screening  
25 and monitoring with respect to, you know, EKG, and I don't

1 know if there would be any other measures, but to what  
2 extent would that, just from a strictly pragmatic point of  
3 view, be something that we could use constructively on  
4 clinical grounds or is this something that would not be  
5 aided that much in terms of safety issues by good clinical  
6 management of cases?

7 DR. MOSS: Well, certainly screening would be  
8 of interest. The question is, are you talking about  
9 screening in terms of entry of patients in starting the  
10 drug or monitor screening as occurring during periodic  
11 follow-up?

12 I suspect probably more the latter from --

13 DR. WINOKUR: Well, really, when we use  
14 Depakote now, we do baseline liver function tests because  
15 we don't want to start somebody on that drug without  
16 knowing that their liver function is okay, and then, once  
17 they're on it, we repeat it periodically.

18 So I mean, that's a paradigm we're pretty  
19 familiar with, and I would think of both determining that  
20 it would be a reasonable agent to select initially, not  
21 take somebody, you know, that had a QTc of 500 at baseline,  
22 but also for those people that might be especially  
23 responsive to that drug.

24 In other words, I'm not talking about a  
25 research study. I'm just talking about in terms of good

1 clinical practice, what we would do or teach our residents.  
2 Would these kind of measures in your opinion be helpful in  
3 really actually addressing the kind of concern that Dr.  
4 Fyer raised about, you know, our responsibility to protect  
5 our patients?

6 DR. MOSS: I think it would be very reasonable,  
7 particularly in the start-up, as one gets a large amount of  
8 experience to see what the pattern of the follow-up  
9 electrocardiograms are.

10 I think that over a period of six months or so  
11 of a goodly number of patients, one would have an idea that  
12 this drug is safe. I think that would be very useful as  
13 certainly a screening for the start-up, but the follow-up,  
14 what we're looking for is is this signal really  
15 representative of something that one's going to see when  
16 one's treating thousands of patients, and that would be  
17 useful, extremely useful information.

18 DR. CALIFF: Just out of interest, I wonder  
19 what the actual adherence to your LFTs is in practice. If  
20 their adherence is good, it would be quite unusual compared  
21 to other things we're looking at, but measuring QT interval  
22 is not necessarily easy for the average person to do but  
23 could be done, and I think Pfizer is getting a lot of  
24 experience with dofetilide. It's just coming on the market  
25 now with measuring a lot of QT intervals. So it can be

1 done.

2 DR. TAMMINGA: But it would be useful to add  
3 after this comment that most people with schizophrenia are  
4 actually treated in the public sector, so that the common  
5 place for them to get their medications would be in  
6 community mental health centers, places that may not even  
7 have an EKG machine, let alone a physician that might be  
8 able to be qualified to read an EKG.

9 Dr. Laughren.

10 DR. LAUGHREN: Can I just add a comment? Based  
11 on the data that Pfizer showed earlier, looking at baseline  
12 EKG versus change in QTc, it looked like patients with  
13 relatively high baseline QTcs had the least change, and  
14 perhaps it's regression to the mean, but I guess that  
15 raises a question of what the value is of getting a  
16 baseline EKG here?

17 DR. CALIFF: Yes. I would think that if there  
18 was going to be screening, it would be on a steady state  
19 dose, would probably be a more valuable time to look for  
20 those who developed a lot of QT prolongation on treatment.

21 DR. MOSS: I'd be a little bit hesitant on the  
22 basis of 33 patients to make some of that interpretation.  
23 That is, it may well be valid that the higher baseline QTc  
24 in fact have the least increment. It's just atypical of  
25 what we've generally seen, and so it's a question mark in

1 my mind.

2 DR. TAMMINGA: I think progression to the mean  
3 data was not on the 33. I could be wrong. What I think  
4 was on the total Phase II/III dataset. I don't know if we  
5 want to see that again.

6 Dr. Katz, you may want to make a remark while  
7 we're waiting for Pfizer to get that slide up.

8 DR. KATZ: I think it was in the total dataset.  
9 We monitor a lot of things. Clinically, lots of things are  
10 monitored. For example, LFTs are monitored in Depakote and  
11 many other drugs.

12 DR. HARRIGAN: That's true.

13 DR. KATZ: The problem is we don't really know  
14 necessarily what to do with the results or whether or not  
15 they actually predict what you're worried about or whether  
16 or not when you see something, by that time it's  
17 irreversible. So people do these things, and you've asked  
18 this sort of analogous question with monitoring EKGs here,  
19 that I think we still don't know if you see a 30  
20 millisecond increase in somebody that started out at 420,  
21 what does it mean?

22 I still don't think we've heard an answer.  
23 Maybe we can't get an answer to that question, but I don't  
24 think we've heard an answer about whether or not we think  
25 that sort of change matters to anybody, and what would you

1 do if you saw it?

2 Dr. Moss, you said that if smaller changes in  
3 the QTc might be a risk, but the risk is probably  
4 extraordinarily small. I wonder what that statement is  
5 based on.

6 DR. MOSS: It's not based on good science.  
7 That, I can tell you.

8 (Laughter.)

9 DR. MOSS: It's based more on just a large  
10 amount of non-specific observational information of  
11 clinicians who've treated patients, that the patients who  
12 have minimal change in QTc, by minimal change.

13 If you start at 420 and go to 450 or so,  
14 there's just been remarkably few events in cardiologists'  
15 experience or anybody else's experience that's been  
16 reported. So that, as Dr. Ruskin said earlier, virtually  
17 the vast majority of events have been in those patients  
18 over 500. We don't think that that's an absolute  
19 threshold, but the risk seems to progressively increase,  
20 and that's where it becomes clinically evident.

21 I don't know that one has better information  
22 than that.

23 DR. TAMMINGA: Dr. Katz.

24 DR. KATZ: Yes. I'd just like to ask Doug, I  
25 think on the sotalol data you presented, you showed that

1 there was a relationship between torsade, the incidence of  
2 torsade, and change in QTc, but I don't recall whether or  
3 not that was linked to any threshold or, you know,  
4 approximate threshold or whether or not it was really -- I  
5 mean, I think there are other problems with that sort of  
6 data. It wasn't randomized, you know, or that sort of  
7 thing. But it seemed to be linked to change.

8 DR. THROCKMORTON: They did look at that, and  
9 in fact, in the sotalol label, there are two tables that  
10 report the sponsor's analysis of extent of QT prolongation  
11 from baseline by, I don't know, tens of milliseconds, and  
12 then another by the incidence of torsade for patients whose  
13 QT goes over 500, the incidence of of torsade for people  
14 who go over 550, and the incidence of torsade for people  
15 who go over, I think, 600, something like that, and in both  
16 of those analysis, there is a relationship. That is, the  
17 people who go over 500 had a certain incidence, 550 a  
18 higher incidence still, and 600 was even higher than 550.

19 The problem is that, as you say, those data  
20 were collected for patients who had torsade events. We  
21 don't know how many people did not have torsade events but  
22 still had QTs of 550 or 600.

23 But I think that -- I mean, correct me, I  
24 believe that is the only place where that's actually sort  
25 of been looked at, and in that one case, there was a

1 relationship between how many people went way far over 500  
2 and the incidence of torsade.

3 DR. KATZ: Of course, that's confounded, too,  
4 because probably the people who went over 500 or 600 are  
5 the people that had the biggest change, too.

6 DR. THROCKMORTON: Right.

7 DR. KATZ: So one wonders what happens if you  
8 see that sort of change, if you start out at, I don't know,  
9 380, and you go to 460. I don't know.

10 DR. THROCKMORTON: Yes. There's one other  
11 point, if I could, just from a regulatory standpoint. I  
12 think there are three labels that say if you go too long,  
13 you should stop the drug or sort of recommend that.  
14 Sotalol, bepridil and dofetilide all have sort of  
15 recommendations, saying, you know, if you measure the QTc  
16 on ECG, and it's greater than 500, or in the case of  
17 bepridil, it's 520, for reasons that I haven't been with  
18 the agency long enough to know why it's 520 instead of 500,  
19 you should stop the drug.

20 But other than those -- and I'm not sure what  
21 data that was based on. Those are the only three that -- I  
22 believe maybe moxi. That could be the other one. Has  
23 explicit instructions for recommending discontinuation for  
24 an extreme prolongation of QT.

25 DR. TAMMINGA: And it's not the case in other

1 drugs, other non-psychiatric drugs, that are known to  
2 prolong QT?

3 DR. THROCKMORTON: Not that I'm aware of.

4 DR. KORVICK: For the moxifloxacin, we advise  
5 to not start patients that have prolonged QT.

6 DR. TAMMINGA: Of course, in the database that  
7 we heard today, no one, I believe, had a QT over 500 at  
8 baseline in the whole II/III dataset. So that the  
9 incidence of people with schizophrenia, who require  
10 treatment, who have prolonged QT, is quite low.

11 Yes, Dr. Oren.

12 DR. OREN: A question for Dr. Moss. I realize  
13 we're still at best at the beginning of the dawn of the era  
14 of pharmacogenomics, but in reference to your presentation,  
15 if we knew more about HERG polymorphisms, would we be  
16 better able to quantify or qualify the cardiac risks of  
17 this drug?

18 DR. MOSS: Yes, to a degree, but it's going to  
19 be at an immense -- one's going to need an immense number  
20 of patients to understand the association with  
21 polymorphisms, and one's going to also have to relate each  
22 of the polymorphisms to expression studies to see what  
23 effects they actually have, even to a minor degree, on the  
24 potassium current.

25 There's already known about a 130 different

1 mutations in the HERG gene to date, and that's not counting  
2 the polymorphisms. So it becomes a question of enormous  
3 numbers. The Genome Project's not going to make life  
4 simpler.

5 DR. TAMMINGA: Well, we've done an awful lot of  
6 discussing this afternoon. We may have even exhausted our  
7 questions for our consultant cardiologists but I'm not  
8 sure.

9 Dr. Katz.

10 DR. KATZ: I have another question. Something  
11 has been made of the terfenadine data which suggests that  
12 in the absence of metabolic inhibition, the prolongation of  
13 the QTc is somewhere on the order of 18 milliseconds or  
14 something like that, and the fact that there have been no  
15 reports of arrhythmias in the absence of metabolic  
16 inhibition with terfenadine is put forth as evidence that  
17 you can tolerate that sort of a change in QTc, and it  
18 really doesn't pose a risk.

19 For the moment, forgetting about the vagaries  
20 of postmarketing reporting and whether or not you really  
21 are hearing about cases that they occur, the question I had  
22 had to do with the duration of the QTc prolongation.

23 My understanding with terfenadine is that it  
24 reaches Cmax, and it comes down rapidly, and so I'm  
25 wondering, do we have any evidence about the duration of

1 the effect on the QTc with ziprasidone, maybe with any  
2 drugs, but specifically with ziprasidone?

3 Study 054 measured at Cmax, and the attempt was  
4 made to measure Cmax. Do we know anything about that? By  
5 the way, do we think that that might even be important?  
6 I'll direct the latter to the cardiologists since we're in  
7 the realm of the hypothetical for most of the afternoon.

8 DR. HARRIGAN: We concentrated the ECG  
9 measurements around that predicted time of Cmax. We did  
10 not collect serum measurements or ECGs around the clock in  
11 those patients during that period, nor did we plot out a  
12 time concentration curve, such as I think you're  
13 describing.

14 DR. KATZ: And let me just ask the second part  
15 again directly to the cardiologists. Is that something  
16 that you think would matter?

17 DR. MOSS: Well, if I understand your question,  
18 does the increase of 20 milliseconds matter?

19 DR. KATZ: What I'm asking is, if you're  
20 increased to 20 milliseconds for an hour or if you're  
21 increased to 20 milliseconds for seven hours or for 10  
22 minutes, does the --

23 DR. MOSS: I think one's dealing with a  
24 probability of an event, and the minute you increase the  
25 exposure, you would think that there would be some increase

1 in the likelihood and the probability of an event. So the  
2 duration of exposure would certainly be important.

3 DR. KATZ: Well, just to follow up, do people  
4 think that would be an important bit of information to  
5 obtain, let's say, with this drug? Add that to the list of  
6 questions yet to be answered.

7 DR. TAMMINGA: As I recall from some of the  
8 other drugs that we saw in our preparation material, would  
9 it be reasonable to assume that the QT prolongation change  
10 might follow the kinetics of the drug? Might follow the  
11 blood levels? That was my question.

12 DR. MOSS: The answer is yes, they would  
13 certainly be related to the blood level. That was one of  
14 the peculiarities, that as one got higher, it didn't seem  
15 to keep going up. There seemed to be a plateau, but at  
16 least in the lower range, there did seem to be a dose-  
17 response effect.

18 Bob?

19 DR. CALIFF: That would make a lot of sense,  
20 but it would seem to be a fairly straightforward thing to  
21 measure, also, to be sure about, and it is an important  
22 part of the consideration of some of the drugs used to  
23 treat atrial fibrillation, to know the time course of the  
24 prolongation. For example, if you need to treat with other  
25 drugs due to an emergency situation.

1 DR. TAMMINGA: Dr. Cook.

2 DR. COOK: I'm sorry. I'm jumping back to the  
3 discussion of pharmacogenomics and concern and really, I  
4 guess, this is a question for clarification.

5 One of the ways that we have of knowing about  
6 variation is that based on population history, various  
7 groups differ in drug response, sort of the basis of  
8 pharmacogenetics, and my question, I suppose, is one I  
9 should have asked earlier this morning, but I did want to  
10 ask it before we go further.

11 It's what evidence do we have that more than  
12 Caucasians, we have safety data for this drug, considering  
13 variability across populations, efficacy as well?

14 DR. TAMMINGA: So you'd like the company to  
15 show us some race data?

16 DR. COOK: Yes. Ethnicity. I don't think race  
17 exists.

18 DR. HARRIGAN: The efficacy data has been  
19 analyzed with a number of cofactors, covariates, and race,  
20 gender or age were not factors.

21 In terms of the QTc, Slide M83 --

22 DR. COOK: Let me just take them as they come.

23 DR. HARRIGAN: Sure.

24 DR. COOK: But I need to know what  
25 representation you had of different ethnic groups.

1 DR. HARRIGAN: Yes.

2 DR. COOK: Did you have sufficient numbers to  
3 demonstrate efficacy by those different groups?

4 DR. HARRIGAN: Sure. G2. So here's the Phase  
5 II/III program. We set this alongside the comparator  
6 groups. So for ziprasidone, 4,571 patients in the Phase  
7 II/III program. This is cumulative up to 5 February this  
8 year.

9 You can see 3,200 of those patients were  
10 Caucasian, approximately 700 or about 16 percent of the  
11 total black, and 16 percent other.

12 DR. TAMMINGA: What would the other be mainly?

13 DR. HARRIGAN: Well, the other would include  
14 Asian, Hispanic. That would probably be most. I don't  
15 have it precisely.

16 DR. MOSS: Is the pharmacokinetics similar in  
17 various racial groups?

18 DR. HARRIGAN: Yes. We looked at that with  
19 population pharmacokinetics. I think, Dr. Brater, you wish  
20 to address that?

21 DR. BRATER: Yes. That was looked at with the  
22 population pharmacokinetics, which had what, 2,000 -- Tom,  
23 what was the number? Pardon me? 453 subjects, and there's  
24 no gender, no race, no ethnicity effect.

25 DR. COOK: Did I interrupt you from showing

1 something else?

2 DR. HARRIGAN: Whatever else you would like to  
3 see. We --

4 DR. COOK: You were hopefully going to show QTc  
5 by ethnicity.

6 DR. HARRIGAN: Sure. That would be M83 to  
7 start, yes, by dose. Thank you.

8 Similar to the graph we looked at earlier by  
9 gender, we're looking at the short-term fixed-dose placebo-  
10 control trials, with each dose group represented, with  
11 white being in green, the blue black, and the red other.

12 As you can see the numbers, at less than 40  
13 milligrams, then 40, 80, 120, 160, and 200 milligrams or  
14 more. There seemed to be no systematic differences there.  
15 Some variability in the data, of course, but no suggestion  
16 that there's a greater mean effect in either of the other  
17 racial groups compared to Caucasian.

18 Dr. Brater.

19 DR. BRATER: I'll just insert myself one more  
20 time. Another issue here potentially is whether or not  
21 there are any ethnic differences in any of the drug-  
22 metabolizing enzymes. 3A4, the aldehyde oxidase, and one  
23 that we really haven't talked about that adds the methyl  
24 group on there, TMT it's called, and all three of those  
25 enzymes do not, do not have polymorphisms. So they all

1 have sort of a Gaussian distribution. So there are no  
2 ethnic-related differences in those, in contrast to, say,  
3 2D6 and some of the others.

4 DR. TAMMINGA: Well, the committee has two  
5 specific questions to vote on, and then three additional  
6 questions to discuss. The discussions that we've had this  
7 afternoon so far have been very productive about the  
8 voting. I'm not sure they've prepared us to vote in one  
9 way or the other.

10 Have we discussed pretty much the items that  
11 you had indicated, Dr. Laughren, or do you see big holes in  
12 what we've discussed?

13 DR. LAUGHREN: I think most of the issues have  
14 been discussed. There hasn't been quite as much discussion  
15 of potential studies perhaps. Some discussion of that but  
16 not a lot.

17 DR. TAMMINGA: Would you suggest to us what the  
18 committee can suggest about potential studies? For  
19 instance, that potential studies be done before or after or  
20 what would we be suggesting to you?

21 DR. LAUGHREN: Well, you know, you could  
22 suggest both the timing, and it could be before, it could  
23 be before, and the general nature of the kinds of studies  
24 that you would propose.

25 Dr. Califf, for example, was proposing, as I

1 understood it, fairly large comparative outcome studies,  
2 looking at things like, you know, perhaps overall  
3 mortality. Another outcome one might look at is sudden  
4 unexplained death, but you also might look at things like  
5 treatment adherence as a potential benefit in a large  
6 outcome study.

7           So those kinds of issues, and then, the other  
8 type of study that I had suggested earlier on to think  
9 about would be a study to show benefits on the efficacy  
10 side. In other words, the kind of trial that was done with  
11 Clozapine.

12           DR. MARDER: I mean, to me, there are some  
13 obvious studies that need to be done, and whether they're  
14 pre or post I think depends upon other discussions.

15           But I do think by having such a limitation of  
16 dose and not knowing the -- if this drug is approved, it  
17 would likely be administered by some individuals at a  
18 higher dose than recommended.

19           So I think we should have some idea about what  
20 happens at higher doses or higher plasma concentrations.  
21 The drug will be taken by -- the number of elderly  
22 patients, particularly those over 70, was relatively small.  
23 So I think knowing about cardiovascular factors in the  
24 elderly seems obvious.

25           You know, there may be others I don't know

1 about, cardiac monitoring and things like that. Those  
2 would be things for the cardiologists to recommend.

3 DR. TAMMINGA: Dr. Winokur.

4 DR. WINOKUR: While we're on the topic of  
5 studies, I do believe that there are going to be almost  
6 immediately studies in the larger effectiveness program, as  
7 I understand it, that will involve ziprasidone, if it's  
8 available.

9 The issue from our discussions that seems  
10 crucial to me, that we would have more information -- I  
11 mean, I could certainly accept it being -- you know, Phase  
12 IV post-marketing relates to the issue of in the context of  
13 using other drugs, because that clearly is going to happen.

14 We just know that's going to happen. We've  
15 heard from the cardiologist experts that that's an issue of  
16 potential importance and health importance, which they  
17 can't really advise us on further without any information,  
18 and I think that's not going to be the kind of data that  
19 will come in a systematic scientifically-rigorous way that  
20 will also be clinically applicable from the other kinds of  
21 more naturalistic studies that are in the process of being  
22 formed up.

23 So that would be one area that I would -- and  
24 again, I'm not talking about the drug-drug interaction  
25 issue. I'm talking specifically about two different drugs

1 that will be used, that both in theory could affect QTc,  
2 and we need to know how in combination they affect things,  
3 and what kind of additional health concerns they raise.

4 DR. LINDENFELD: Yes. I think we'd certainly,  
5 as Rob mentioned earlier, would like to see some data on  
6 beta blockers and at least the rate-lowering calcium  
7 blockers and diuretics that was on a slide but wasn't  
8 really mentioned, that hypokalemia is an important  
9 predisposing risk for torsade, and I think that makes  
10 diuretics very important here, too.

11 So you'd like to see a group of patients with  
12 those commonly-used drugs evaluated.

13 DR. TAMMINGA: I'm not exactly sure if Dr.  
14 Califf is coming back, but his study, of course, was the  
15 large study that would be large enough to evaluate the  
16 sudden death risk.

17 Would either one of you like to speak to that?

18 DR. MOSS: I don't think he was proposing that  
19 as a precondition for approval. I don't think that was his  
20 intent at all. He, I think, was just saying it would be  
21 wonderful to have that type of data, but I don't think he  
22 was proposing that as a preapproval.

23 I think just the comment about the medicines,  
24 what one's really talking about is are the additive effects  
25 of the medications on the QT, not the interaction effects,

1 and these are really important considerations.

2 The studies that have been done have been the  
3 interaction effects, seeing if there's alteration in the  
4 metabolism of the drug, et cetera, but what one is talking  
5 about is the potential additive effects.

6 What percentage of these patients are also on  
7 tricyclic antidepressants, for example? Small number?

8 DR. TAMMINGA: Not small. It would be 20 to 30  
9 to 40, 20 to 40 percent, 20 to 35 percent, plus many of  
10 them are on mood stabilizers.

11 I bet that Dr. Marder would have a more  
12 accurate estimate than me. What percentage of  
13 schizophrenic patients are on, for instance, mood  
14 stabilizers and antidepressants?

15 DR. MARDER: I'd say probably at least half.

16 DR. TAMMINGA: Dr. Casey.

17 DR. CASEY: The antidepressant medicines are  
18 now much more likely to be SSRIs rather than tricyclics, as  
19 we are not using those as first- or even second-line  
20 treatments for depression.

21 So we've taken some of that risk out of the  
22 equation by using SSRIs. I'd agree with Dr. Marder. In  
23 general, probably half of the patients with schizophrenia  
24 may be taking another psychotropic drug.

25 DR. TAMMINGA: Dr. Malone.

1 DR. MALONE: You had mentioned various age  
2 groups. The other age group would be to study children,  
3 especially the effect on QTc, because they probably will be  
4 administered these medications if they're approved.

5 DR. TAMMINGA: Have there been any studies at  
6 all in the under-18 age group?

7 DR. HARRIGAN: I'd like to ask Dr. Craig Brater  
8 to discuss that.

9 DR. BRATER: There have been two, and those  
10 have been kinetic dynamic studies, and most particularly to  
11 get to the QT question, the heart of the batting order,  
12 that's Slide Number 66, where you will see -- and these go  
13 down to average age in the youngest group was 7, and you  
14 see the colored symbols in here, and then on the background  
15 is all of the other data that you've already seen.

16 We were given information that one of your  
17 panel members who couldn't be here today wanted  
18 specifically to know about QTs that went above 480. Here's  
19 your 480 line. You notice there are no colors that go up  
20 there. The biggest QT is about 440 in these children.

21 DR. TAMMINGA: And what is the age of the pink,  
22 and what's the age of the blue?

23 DR. BRATER: Well, the age range here, there  
24 were three groups, and they were dosed according to body  
25 weight, and the lowest body weight for the youngest age,

1 that group, the average age was 7.6. The middle group was  
2 average age of 11, and the oldest group was average age of  
3 14.

4 DR. MALONE: What was the sample size?

5 DR. BRATER: I'm sorry?

6 DR. MALONE: What was the N for those groups?

7 DR. BRATER: In the group with the age of 14,  
8 8, the middle group, 7, and the youngest and lightest  
9 weight 8 years, N of 8. So there should be 23 dots on  
10 there.

11 DR. COOK: And to clarify, this is not done at  
12 peak levels. This was determined more at trough, like the  
13 other Phase II/III?

14 DR. BRATER: I don't know if I can answer that.  
15 It was all collected within one hour of an ECG, but I don't  
16 know if it was collected -- an attempt to make it at peak.  
17 That would imply to me that they were random.

18 DR. TAMMINGA: Thank you.

19 While there aren't many data in children, it  
20 may be more than anybody else has.

21 I wonder if there's any additional discussion  
22 or additional points for discussion that people would like  
23 to bring up before we start to consider the questions we  
24 have to vote on, which would first be the efficacy question  
25 and would secondly be the safety question.

1 (No response.)

2 DR. TAMMINGA: If there aren't any additional  
3 areas of comment, then I could just review that we would  
4 really be voting on the adequacy of the database that we've  
5 heard presented to us.

6 That would speak to both the efficacy and the  
7 safety of this drug to be used for the treatment of  
8 schizophrenia, and the first question that we would want to  
9 vote on would be, has the sponsor provided evidence from  
10 more than one adequate and well-controlled clinical  
11 investigation that supports the conclusion that ziprasidone  
12 is effective for the treatment of schizophrenia?

13 Now, I think we'll probably be prepared to go  
14 around the table and vote on that, and we could start with  
15 Dr. Moss. Dr. Moss is not voting. I think we'll start  
16 with Dr. Grady-Weliky. If you could turn on your  
17 microphone.

18 DR. GRADY-WELIKY: I would say yes.

19 DR. TAMMINGA: Dr. Oren?

20 DR. OREN: Yes.

21 DR. TAMMINGA: Dr. Ortiz?

22 DR. ORTIZ: I agree that efficacy's been  
23 demonstrated.

24 DR. TAMMINGA: Dr. Hamer?

25 DR. HAMER: Yes.

1 DR. TAMMINGA: Dr. Marder?

2 DR. MARDER: Yes.

3 DR. TAMMINGA: Dr. Lindenfeld?

4 DR. LINDENFELD: Yes.

5 DR. TAMMINGA: Dr. Rudorfer?

6 DR. RUDORFER: Yes.

7 DR. TAMMINGA: Dr. Cook?

8 DR. COOK: Sorry, more than a yes. Yes, but  
9 only 18 and above, and only for this specific indication of  
10 several that the medication would be considered for.

11 DR. TAMMINGA: I think that's what we're voting  
12 for, isn't it, Dr. Laughren? We're voting for the adult  
13 population, and we're voting for schizophrenia?

14 DR. LAUGHREN: Right.

15 DR. COOK: I'm sorry. I just had to comment  
16 because this is probably the only time this committee is  
17 likely to vote on indications for this medication, and  
18 absent a similar effort for other indications, including  
19 those that are psychotic but not schizophrenia, the  
20 question is limited, and off-label use is likely to exceed  
21 that of the on-label use. So that's a concern.

22 DR. TAMMINGA: Dr. Winokur?

23 DR. WINOKUR: Yes.

24 DR. TAMMINGA: Dr. Malone?

25 DR. MALONE: Yes.

1 DR. TAMMINGA: Well, that was a pretty clear  
2 sweep, but it also was not the more thorny of the  
3 questions. Oh, yes. I'm yes, also.

4 DR. TITUS: There are 11 yeses.

5 DR. TAMMINGA: Now, the next question that we  
6 have to vote on, has the sponsor provided evidence that  
7 ziprasidone is safe when used in the treatment of  
8 schizophrenia?

9 Included in the answer to this question, of  
10 course, is really the topic that we've been discussing all  
11 afternoon, and after we get done voting for this question,  
12 we'll still have a chance to address, although not vote on,  
13 the other issues that Dr. Laughren laid out for us.

14 If you'd like to start again around the table?

15 DR. GRADY-WELIKY: I was actually hoping we  
16 could start on the other side.

17 (Laughter.)

18 DR. GRADY-WELIKY: It's a harder question. I  
19 guess my thought is that I'm going to say yes, with a  
20 caveat that I think there needs to be more work after this.  
21 I think that there's a lot of question that was raised  
22 around, you know, what the QTc interval really means, if  
23 there's a way to screen for it.

24 I think Dr. Fyer's question about how much, you  
25 know, what's the difference, if it's 60 milliseconds or 20

1 milliseconds, starting at a baseline of 320 milliseconds,  
2 or if it's 20 starting at 440.

3 So I think we certainly need to have more data,  
4 but I think outside of that, my vote would be yes.

5 DR. TAMMINGA: Dr. Oren?

6 DR. OREN: My vote would be yes, with the  
7 encouragement that the FDA use all of its powers to ensure  
8 that safety is fully assured in the practice and the use of  
9 the drug, and that the public hears the message that the  
10 FDA provides with the labeling.

11 DR. ORTIZ: I think I'm going with a qualified  
12 yes. I think following Dr. Cook, my concern would be the  
13 elderly and what is lacking in information about the  
14 elderly, and what Dr. Lindenfeld has suggested about  
15 interactions with beta blockers and calcium channel  
16 blockers, which are so commonly used in the elderly, and as  
17 a geriatric psychiatrist, I'm particularly concerned about  
18 that, but my vote is yes.

19 DR. TAMMINGA: Dr. Hamer?

20 DR. HAMER: I'm going to do something I don't  
21 think I've ever done before at one of these meetings, which  
22 is I'm going to abstain because I just don't feel that I'm  
23 comfortable enough with or know enough about the  
24 relationship of the lengthened QTc intervals to the risk of  
25 arrhythmias and related illnesses, and I haven't gathered

1 enough information at this meeting to really enable me to  
2 interpret that correctly.

3 DR. MARDER: Yes.

4 DR. LINDENFELD: This is a difficult question  
5 for me because I think it involves what is safe, and that's  
6 a relative, what is safe. So do I think this drug is  
7 perfectly safe? No. My suspicion is that there will be an  
8 excess of torsade with this drug, particularly when more  
9 drugs are added as you see the drug used in the real world.

10 So is it perfectly safe? Again, you know, I  
11 guess this gets down to will there be an excess risk of  
12 torsade? I think from the data we have, we would guess  
13 that there will be. I think that the risk will be  
14 relatively low.

15 So I don't know quite how to answer that safe,  
16 unless you want to define it for me more precisely.

17 DR. TAMMINGA: The safe is qualified by has the  
18 sponsor provided evidence that ziprasidone is safe when  
19 used in the treatment of schizophrenia?

20 DR. LINDENFELD: I would say no.

21 DR. TAMMINGA: Dr. Katz?

22 DR. KATZ: Well, I think it's a fair question  
23 for sure. I think when we say safe, I think we mean in  
24 this question placed here whether or not you think there is  
25 sufficient information available at the moment about the

1 toxicity, so that we could write adequate labeling, so that  
2 the drug could be used, so that it's appropriate that the  
3 drug could be used with appropriately-written labeling at  
4 this moment, given the information we have.

5 DR. LINDENFELD: Again, I don't want to be  
6 difficult, but I think it's a difficult question. I think  
7 that labeling could be written to minimize the risk, but I  
8 think there will be a small but excess risk probably with  
9 this drug, based on what we know about other drugs that  
10 prolong the QT interval.

11 DR. KATZ: Fair enough, but I think we're  
12 asking you, given your belief about what you think the risk  
13 is or will be, whether or not you think it's appropriate to  
14 market it at this time.

15 DR. LINDENFELD: Not without other data about  
16 use of additional drugs with this drug.

17 DR. TAMMINGA: Dr. Rudorfer?

18 DR. RUDORFER: I would say yes. My concerns, I  
19 think, can be addressed with labeling and post-marketing  
20 issues that we'll talk about.

21 DR. TAMMINGA: Dr. Cook?

22 DR. COOK: Yes. I would say yes, and again  
23 we're going to have to have a discussion about how strong  
24 the labeling would be, and in my sense, it's going to be  
25 quite strong and is going to exclude use of concomitant

1 medications that may cause a problem.

2 DR. WINOKUR: Yes.

3 DR. MALONE: I'm not sure -- we had evidence  
4 that labeling doesn't -- well, at least the "Dear Doctor"  
5 letter doesn't seem to be paid much attention to.

6 Does the FDA have post-marketing things they  
7 can do different from one drug than another or is  
8 everything the same? I guess that's a question I'm asking.

9 What could be done post-marketing to assure  
10 that there is clear follow-up about these cardiac risks?

11 DR. KATZ: Well, there are things that can be  
12 done. There are things called Phase IV commitments. In  
13 other words, where we require a sponsor to perform  
14 additional studies once the drug is approved. There are  
15 mechanisms by which we could restrict the distribution of  
16 the drug once it's approved, so that only certain  
17 physicians or only certain centers, you know, could have  
18 the drug available.

19 There are registries that we could talk about  
20 possibly setting up so that the first X numbers of patients  
21 or some reasonable sample are followed prospectively. So  
22 there are things that can be done once the drug is  
23 approved.

24 DR. MALONE: I would say yes, if there are some  
25 specific things that are set up to do follow-up that are

1 somewhat different than most medications.

2 DR. TAMMINGA: My vote is yes.

3 DR. TITUS: So we have one abstention, one no,  
4 and nine yeses.

5 DR. TAMMINGA: This particular vote on safety  
6 came along with a lot of caveats, and I wonder if it might  
7 be useful to the FDA to have from us the most specific  
8 ideas about those caveats.

9 DR. LAUGHREN: Yes. Anything you can say along  
10 those lines would be helpful.

11 DR. TAMMINGA: Dr. Grady?

12 DR. GRADY-WELIKY: I think there are two things  
13 that I think are critical, and I think the first relates to  
14 the drug-drug interaction with the concomitant medications,  
15 and whether or not there's going to be a labeling issue  
16 there we can talk about.

17 But I think given the patient population that  
18 we're dealing with, it will be on multiple medications. So  
19 I think that risk needs to be looked at in the form of a  
20 study.

21 The other is the labeling question that was  
22 raised by a number of people. I think like Dr. Malone, I  
23 have concerns about whether or not physicians will follow  
24 that, based on the data that Dr. Rodriguez, I believe,  
25 presented.

1           So those are the two things that I think really  
2 need to get looked at and talked about. Perhaps the  
3 labeling -- since this is my first meeting, I don't know  
4 all the details about the labeling, but that's something  
5 that maybe we can get addressed here.

6           DR. TAMMINGA: Dr. Marder.

7           DR. MARDER: Well, in the labeling, I would  
8 make it very clear that there is very little experience  
9 with doses over a 160 milligrams a day, and that just  
10 strong language to discourage that because that's unknown  
11 territory, and there could be serious problems.

12           Also, it could very well be that the  
13 maintenance data showed that a dose of 40 milligrams -- I  
14 mean, 40 was as good as 80 as good as 160, and for  
15 physicians to reduce the dose as quickly as possible over  
16 the long run might increase the safety of the drug. Those  
17 kinds of statements might be helpful.

18           DR. TAMMINGA: I would add a recommendation for  
19 a dose escalation study above a 160 milligrams.

20           Dr. Hamer.

21           DR. HAMER: As long as we're talking about  
22 labeling and notwithstanding the fact that I abstained on  
23 the safety vote, Dr. Rodriguez used the phrase "label  
24 fatigue." That was you, right?

25           DR. RODRIGUEZ: Yes.

1 DR. HAMER: Which I actually thought was a  
2 wonderful phrase. I wonder if there's any evidence,  
3 though, that the initial language in a label, when a drug  
4 is first approved, is somehow intended to better than these  
5 repeated changes, "Dear Doctor" letters, and so on, so that  
6 notwithstanding label fatigue, if the label is sort of  
7 written strongly in the first place, that will shape the  
8 behavior on the part of the physician to prescribe it.

9 DR. RODRIGUEZ: We don't have information on  
10 that, but it's certainly something that the sponsor can be  
11 encouraged to look at.

12 DR. TAMMINGA: Dr. Cook.

13 DR. KORVICK: Well, I think, just to follow,  
14 just to make another statement, I agree with Dr. Rodriguez,  
15 but I think it also impacts the kind of marketing that the  
16 company can do, because that's based on the language that's  
17 in the label, which may turn --

18 DR. COOK: I'm sorry. I want to make a very  
19 strong plea for patient package inserts and any other  
20 approaches. Obviously, I know that NAMI and other groups  
21 will be effective in this way and would encourage the FDA  
22 to work with groups like NAMI.

23 The more we educate the patients and their  
24 families, the more that we'll have another safeguard in  
25 place there.

1 DR. WINOKUR: Just to repeat the same theme  
2 that we've been talking about, but now we're talking about  
3 labeling, I think the fact that it's been clearly  
4 acknowledged that we don't have information about additive  
5 effects with other drugs that could affect QTc, we just  
6 don't have information about that, and that totally changes  
7 how we would feel about, you know, the safety potential  
8 needs to be clearly communicated.

9 DR. TAMMINGA: Dr. Malone, have all of your  
10 caveats been attended to in what people have been saying or  
11 would you like to add something?

12 DR. MALONE: Well, I'm a child psychiatrist, so  
13 clinically, I see drugs given to children a lot. What I  
14 see happening is as soon as a drug hits the market, a lot  
15 of children end up on the drug, and I think that's a  
16 particular concern with ziprasidone.

17 So if somehow the insert or the advertising  
18 would strongly indicate that it hadn't been tested in  
19 children very well, and that we don't know what the QTc  
20 effects will be in children.

21 DR. TAMMINGA: We might want to recommend  
22 studies in children.

23 DR. MALONE: Yes. I would also want to  
24 recommend studies in children, but that could take awhile  
25 to get done, and by the time that gets done, if the drug's

1 on the market, it could have been prescribed many times.

2 DR. HAMER: I do think the labeling ought to  
3 strongly indicate the potential risks associated with  
4 prolonged QTc intervals, regardless of how well that's  
5 quantified and how well you all understand them.

6 We haven't yet talked about one of the other  
7 questions, which is, what prominence should the risk be  
8 given in the labeling, and I'm not at all sure that this  
9 really requires a black box warning, but the warnings  
10 certainly needs to be there.

11 DR. TAMMINGA: What other recommendations would  
12 people give about a black box or a warning statement?

13 Dr. Moss.

14 DR. MOSS: Well, it's not addressing exactly  
15 that question, but I do feel it's important to get higher  
16 doses. I think it's really critical. It's surely going to  
17 be used in higher doses, above a 160 milligrams, and so  
18 they have some data on 200 milligrams, and it would seem to  
19 me that they ought to look at some higher doses just to  
20 make sure that there in fact is a plateau. That would be  
21 extremely reassuring with regard to the QT interval  
22 question.

23 DR. TAMMINGA: Dr. Malone.

24 DR. MALONE: How does a black box affect how  
25 the drug is advertised? I mean, what patients or

1 physicians are often influenced by is by drug reps coming  
2 around and leaving advertisements.

3 I don't think very many people read the drug  
4 inserts or the PDR. So that the most influential thing is  
5 the advertising, and I'm not sure how any of these things  
6 influence what is written in the advertisement.

7 DR. TAMMINGA: Dr. Laughren or Dr. Katz.

8 DR. KATZ: Unfortunately, I don't know exactly  
9 either, but I know that if there's a black box, it  
10 certainly has an effect. It's got to be in the ads. It's,  
11 I think, got to be on anything that is handed out that's  
12 imprinted with the name, this sort of thing.

13 There are definitely more requirements for a  
14 drug vis a vis advertising and promotion if it has a box  
15 warning.

16 DR. LINDENFELD: I would think at least you'd  
17 want to have a strong warning that the drug right now  
18 should not be used with other drugs that are known to  
19 prolong the QT interval with what we know now. I would  
20 think that would be a very important and strong warning to  
21 make.

22 DR. HAMER: And as Dr. Laughren originally  
23 mentioned perhaps and others, also used with other drugs  
24 that inhibit the cytochrome P450, was it, 1A4, was it? I  
25 don't know. But, you know, other drugs that potentially

1 could interact with it in terms of inhibition.

2 DR. OREN: Dr. Laughren specifically asked if a  
3 compliance study should be required. I think it would be  
4 to the company's marketing advantage if they sponsored such  
5 a study. I'm not sure if that needs to be a preapproval  
6 requirement, especially because in many ways, the proof of  
7 the pudding with the drug will be if people in fact are  
8 compliant with it.

9 Obviously there are problems with labeling  
10 issues, and people have been buying boxes of cigarettes for  
11 30 years that say right out on the box, this will kill you  
12 if you use it, and people still use it. So labeling is  
13 difficult, but maybe one innovation could be -- and I don't  
14 know if this is currently within the FDA's purview, if  
15 marketing representatives, when talking about the drug,  
16 whether at conventions or in meetings with physicians,  
17 could emphasize the known or the potential risks with QTcs  
18 because certainly hearing a negative from a marketing  
19 representative is a novel feature that might attract  
20 people's attention.

21 DR. LAUGHREN: Well, promotion directly follows  
22 from labeling. So we have a lot of control in what we put  
23 in labeling, and that does influence the promotion.

24 DR. TAMMINGA: Dr. Laughren.

25 DR. LAUGHREN: Could we have some more

1 discussion about some of the other items under Question 4,  
2 first-line versus second-line, and then, there's already  
3 been some comment on a patient medication guide, but I  
4 don't think everyone here's had a chance to comment on  
5 that? Maybe there's universal agreement on that. I can't  
6 tell.

7 DR. TAMMINGA: The medication guide for  
8 patients was something that Dr. Cowdry in his public  
9 remarks actually recommended, and I don't think that --  
10 there may not be a single physician who wouldn't really  
11 concur with that.

12 I don't know how much of an innovation that  
13 would be. Is that common to have patient guides written by  
14 the FDA?

15 DR. LAUGHREN: It's more common. I mean, we're  
16 using them more and more, and again the idea is to provide  
17 key information to patients and their families, to guide  
18 them in their use of the drug, and what they should be  
19 telling their physicians about, you know, their other  
20 medications and perhaps other conditions they may have that  
21 may be influenced, you know, by interacting with the drug  
22 in some way.

23 So more and more, we're using those when  
24 there's something useful that can be conveyed that would  
25 impact on their care.

1 DR. TAMMINGA: I think what's particularly  
2 fortunate about that now is that the National NAMI has  
3 actually initiated a program to have consumers and families  
4 become more informed and become more active in these kinds  
5 of issues.

6 Dr. Cook.

7 DR. COOK: There's a particular point to  
8 educate about in this case, and this comes back to what Dr.  
9 Cowdry said. There are some things patients need to know  
10 to call the physician immediately or head to the ER right  
11 away, and others that they need to know, well, that's  
12 tough, kind of stick it out.

13 One of my concerns is by the history of these  
14 medications, patients may have actually gotten used to the  
15 idea that lightheadedness is okay and actually counseled,  
16 oh, you're going to feel lightheaded from this medication,  
17 but in this setting, obviously not all lightheadedness is a  
18 severe event, but it may be a prelude to a fatal  
19 arrhythmia. Education of what it is and how to describe it  
20 to your physician would be helpful.

21 DR. TAMMINGA: Dr. Marder.

22 DR. MARDER: What would be most useful would be  
23 a patient information which wasn't specific to ziprasidone,  
24 but that related to antipsychotic drugs, and that had the  
25 advantage, you know, the advantages and disadvantages of

1 the different drugs rather than a specific one for  
2 ziprasidone.

3 Now, that may be difficult to do, and it's a  
4 big leap forward to start with ziprasidone, but I think in  
5 the long run, it would be most useful to have it for this  
6 group of compounds.

7 DR. LAUGHREN: If we had head-to-head  
8 comparisons of all the drugs that generated good data, then  
9 we could write those kinds of inserts. I'm not sure that  
10 we're at that point.

11 DR. TAMMINGA: Dr. Katz..

12 DR. KATZ: Yes. Not to beat it into the  
13 ground, but before the committee discusses these other  
14 subsections of Question 4, first-line versus second-line,  
15 we've had some discussion about box warning, but I don't  
16 really have a good feel from the committee as to whether or  
17 not people think a box warning specifically is necessary or  
18 not necessary, and Dr. Hamer was pretty clear. You said  
19 you didn't think it needed to be a box warning.

20 But I just don't have a sense of the committee  
21 on that specific question.

22 DR. TAMMINGA: Dr. Malone.

23 DR. MALONE: I was thinking it was necessary.  
24 I was thinking that a box warning with some of this was  
25 necessary at this point in time at least.

1 DR. TAMMINGA: Would any of the other committee  
2 like to weigh in? It's really a box warning of a  
3 hypothetical risk?

4 DR. MALONE: Well, in my box warning, I would  
5 also put that the effect of QTc in children and adolescents  
6 is fairly unknown, and it is known that it does have a  
7 definite effect in adults, so that there should be extra  
8 caution.

9 DR. LAUGHREN: Maybe it would be helpful to  
10 give a little bit of discussion and background on what the  
11 general policy is about when we use the box as opposed to  
12 not using the box.

13 It's generally for a very serious event, an  
14 event that, you know, we feel very strongly is related to  
15 taking the drug. Generally, in my experience, it's when  
16 the events, you know, have actually been realized, and  
17 you're more likely to include a box if there's some  
18 important information you can convey in the box that might  
19 prevent the event from happening, if there's some kind of  
20 monitoring or screening or something or some kind of advice  
21 you can give that might help to detect or predict, you  
22 know, prevent the event from happening.

23 So it's sort of some general background about  
24 when we use boxes. I mean, just as an example, in this  
25 recent labeling change for thioridazine, we did use the

1 black box, but, of course, there, you know, that decision  
2 was in part based on the fact that there have been a number  
3 of post-marketing reports and other literature reports of  
4 actual cases of torsade occurring with thioridazine.

5 DR. TAMMINGA: Dr. Katz.

6 DR. KATZ: I would just add one other aspect,  
7 which is that not only do we necessarily only restrict it  
8 to cases where we think there's something you can do to  
9 prevent it, but also if we think it's a serious and a  
10 significant enough risk that it might affect your decision  
11 as to whether or not to use the drug in the first place.

12 DR. TAMMINGA: One might wonder whether you  
13 could have something like an invisible box that you could  
14 activate whenever there was an event that became apparent.

15 DR. GRADY-WELIKY: With the better  
16 understanding of what a black box means, I guess my sense  
17 would be I don't think we know enough right now to make it  
18 a black box warning, that the company hasn't shown us data  
19 that shows any torsade or any significant clinical event  
20 based on the QTc interval change. So I would say no to the  
21 black box.

22 DR. LINDENFELD: They also haven't shown you  
23 any data on any other drugs, and I would just be cautious  
24 about -- at least somewhere in there, it would be my strong  
25 feeling that they ought to say something in a very strong

1 way about using other drugs that are known to prolong the  
2 QT interval when combined with this, and I think we're also  
3 talking about using this drug by physicians who don't  
4 usually think about prolonging the QT interval, and who  
5 might not be aware of the very large number of drugs or the  
6 fairly large number of drugs that do prolong the QT  
7 interval.

8 I think while we don't know the precise risk,  
9 and it may be very low with this drug alone, most of us  
10 would guess that the risk would go up substantially when  
11 combined with other drugs that are known to prolong the QT  
12 interval.

13 DR. OREN: This comment is directed  
14 specifically and only to the black box question. I think  
15 that the only places we see black boxes are in the obituary  
16 sections of the newspaper as well as in the PDR, and I  
17 think that without that level or near that level of danger,  
18 we would be risking the situation of crying wolf and  
19 causing label fatigue if we used a black box prematurely.

20 I think all of the warnings and all of the  
21 concerns that have been expressed here should be in the  
22 labeling and in the education, but I would personally  
23 encourage holding off on the black box at this point.

24 DR. COOK: Okay. I'm not that strongly in  
25 support of the black box, but I moved in that direction

1 with the idea that basically relates to what's happened  
2 with thioridazine. It's going to be a lot harder, I think,  
3 to make an impression with the black box after years of not  
4 having it and then moving toward having it.

5 It also shows that perhaps the only difference  
6 between -- and I know there's some other quantitative  
7 differences, but they're not sufficiently reassuring to me.  
8 The biggest difference between sertindole, thioridazine and  
9 this medication is that we have post-marketing data that  
10 showed the deaths.

11 So I'm coming back to your comment that  
12 although we could be reassured by the mortality data, that  
13 without the post-marketing data, we have a drug that  
14 prolongs QT, which may be a severe risk, and we should tell  
15 people that up front.

16 Now, if it turns out to be unwarranted, I'd be  
17 much more feeling like people's behavior could change in  
18 the easing-up side than the tightening-up. I'm not a 100  
19 percent on this, but that's sort of how I lean on it.

20 DR. TAMMINGA: Dr. Katz.

21 DR. KATZ: Well, there are, I think, other  
22 differences between this drug and sertindole, other than  
23 the post-marketing reports, which is that about 7 or 8  
24 percent of patients in that development program had QTcs  
25 greater than 500 milliseconds, and the mean increase in QTc

1 was greater on certain as it is here. But, right, there  
2 were no other cases.

3 DR. WINOKUR: Just to weigh in, since we're  
4 kind of informally polling, I'm not in favor of the black  
5 box. I agree with the gist of the comment that you made  
6 before, that we've seen actually quite a bit of data on the  
7 population that's been studied, and then we've also  
8 discussed the importance of the QTc findings and the  
9 vagaries of interpreting that and extrapolating that.

10 So we certainly need to be attentive to that  
11 and need more data, and again many of us have addressed the  
12 concerns about when other drugs are on the scene, but I  
13 think that's a different type of labeling and advising at  
14 this point than what would be implicated with the black  
15 box.

16 DR. TAMMINGA: Dr. Marder.

17 DR. MARDER: Well, I'm going to be very  
18 ambiguous in this answer, but I think guiding clinicians to  
19 make sure that patients aren't taking other drugs that  
20 prolong the QT interval and making sure that they're not  
21 using excessive doses, if it takes a black box to save  
22 lives in that particular -- if it does work that way  
23 insofar as the labeling and the advertising, and it would  
24 really save lives by preventing clinicians from doing that,  
25 I could be convinced to support it, and if there was

1 something in between, like bold print or something like  
2 that, that would guide clinicians to do that, and that that  
3 had to be part of the marketing of the compound, that would  
4 make me feel better.

5 DR. KATZ: There are bolded warnings as opposed  
6 to boxed warnings, which are usually bolded, also. How  
7 they actually influence anybody's behavior is another  
8 question.

9 DR. TAMMINGA: Does the FDA have any ability to  
10 influence something like a company's activity in educating  
11 the physicians during the post-marketing period?

12 DR. KATZ: Yes, I think we do. I think that's  
13 something that could probably be worked out between the  
14 agency and the sponsor.

15 DR. TAMMINGA: In this particular situation,  
16 especially as Dr. Lindenfeld pointed out, that this drug  
17 will be used by people not characteristically used to  
18 looking for cardiac abnormalities or changes in cardiac  
19 parameters, it may be that Pfizer could take a lead in  
20 doing some educational work amongst psychiatrists.

21 DR. ORTIZ: I think I'm the last one to vote on  
22 the black box, and my feeling is that the incidence of  
23 torsade de pointes and the overdose data don't suggest to  
24 me that a black box is necessary. But I think a strong  
25 warning is.

1 DR. TAMMINGA: We haven't yet addressed Dr.  
2 Laughren's question about first-line versus second-line  
3 status, and I don't want to really end before we address  
4 that to some degree.

5 Dr. Marder may be the one amongst us who has a  
6 lot of experience in this area, and you might want to lead  
7 off the discussion.

8 DR. MARDER: Well, I was going to ask a  
9 question. Does first-line versus second-line mean that the  
10 drug should -- one way to interpret it, that the drug  
11 should only be considered after other drugs have been  
12 considered, the other is that this drug should only be used  
13 after other drugs have been shown to have problems.

14 What's your interpretation of first-line versus  
15 second-line?

16 DR. KATZ: Well, I think you can write labeling  
17 any way you want. So I don't think there is a specific  
18 definition. I would say in most cases, in my experience,  
19 when we talk about second-line, the labeling says something  
20 along the lines of where other appropriate drugs have  
21 failed, but you could easily say or when the patient's not  
22 a candidate for other available treatments. So it could be  
23 both.

24 Usually I think in practice, it's when other  
25 drugs have failed, but you could do it any way you want.

1 DR. LAUGHREN: Very often, the language  
2 includes both failures and patients who can't tolerate  
3 other appropriate drugs. So if that's what you meant by  
4 it.

5 DR. KATZ: Well, that's one way to fail on a  
6 drug, is to not be able to tolerate it, but if you're  
7 asking whether or not you can call second-line, you can  
8 call usage second-line when other drugs haven't been tried,  
9 but you think they're not going to work. Usually we ask  
10 that the other drugs be tried, if there's no compelling  
11 reason not to.

12 DR. LAUGHREN: Actually, if I could just add  
13 another comment, another issue along these lines, when we  
14 make a drug a second-line drug, is the question of whether  
15 or not you actually have evidence that the drug works in  
16 patients who are in some sense refractoried to other drugs.

17 You know, we have not been, you know, uniform  
18 about requiring that. For example, the change that we've  
19 just made with the drug thioridazine, we've made it a  
20 second-line drug, but that's clearly in the absence of any  
21 systematically-collected data that it's superior in  
22 patients who've failed in other drugs.

23 So we could go either way with that, and again  
24 one of the questions that I posed is whether or not you  
25 think, you know, there should be data here on the efficacy

1 side to suggest some benefits, you know, over other drugs  
2 in the class.

3 DR. MARDER: Let me just put out, it would seem  
4 to me that in healthy individuals, treated at a dose of  
5 about 80 milligrams a day, it hasn't reached the threshold  
6 for me that would make it a second-line drug.

7 On the other hand, in some settings where  
8 clinicians are treating vulnerable populations and are  
9 escalating the dose, it might not be, but based on that, my  
10 tendency would not be to add the term "second line" but  
11 just make it sure that clinicians as they prescribe it  
12 consider these other problems with the drug.

13 DR. TAMMINGA: Dr. Winokur.

14 DR. WINOKUR: I feel strongly, based on what  
15 we've had presented and heard, that it should be in the  
16 first line, and I think there are at least a couple of  
17 reasons for that opinion.

18 First of all, I think that the notion of  
19 showing that a new drug in our field is better than what's  
20 currently out there is a really tough standard, and I would  
21 take almost any therapeutic category and wonder, except for  
22 very selected cases, when and how it's been possible to  
23 show that.

24 What we've had is a lot of drugs that have been  
25 shown to be comparably effective, but once they're out

1 there, as we know clinically, we often find they have great  
2 utility in selected niches, but it's kind of at a different  
3 phase.

4           The drug and our understanding of how to use it  
5 appropriately will be greatly disadvantaged if it's  
6 immediately relegated to second-line status, especially  
7 when we've seen data to at least equate it to standard  
8 accepted first-line drugs. We have no reason to feel that  
9 from an efficacy perspective, it's inferior, and I think we  
10 may learn over time about ways that it's particularly  
11 clinically valuable, and so the only additional reason to  
12 put it in the second-line status is, in my understanding of  
13 that, if there were health concerns that ought to cause it  
14 to be really held back, and we've already kind of had that  
15 discussion.

16           DR. TAMMINGA: Dr. Rudorfer.

17           DR. RUDORFER: Yes, I agree. I think it would  
18 be a mistake to force people to start off on the wrong  
19 foot, to have an unfortunate experience with a different  
20 drug first, but I think our efforts should be directed at  
21 alerting clinicians that thoughtfulness is required to use  
22 this drug, that it just shouldn't be casually introduced  
23 because it's the newest thing around.

24           DR. TAMMINGA: Anybody else want to weigh in on  
25 the first- or second-line question? Dr. Ortiz.

1 DR. ORTIZ: Yes. I think I'd agree that the  
2 data that we've been presented and the information  
3 certainly makes it a very reasonable first-line choice.

4 DR. TAMMINGA: I wonder if there might be  
5 additional comments on the other topic that Dr. Laughren  
6 brought up about whether or not we would recommend that the  
7 company look for a special niche or a special treatment  
8 group where efficacy was superior.

9 When I was looking through some of the initial  
10 material, it really wasn't in the neuroleptic refractory  
11 group that it seemed to me there was some indication that  
12 ziprasidone might be superior but rather in cognitive  
13 dysfunction, and one might wonder whether a recommendation  
14 to look for superiority in the area of the treatment of  
15 cognitive dysfunction.

16 I don't know why one -- the company's probably  
17 in the process of doing it, but whether something like that  
18 might be useful.

19 DR. GRADY-WELIKY: It's not exactly a comment  
20 on what you just asked, but in terms of recommendations for  
21 additional studies, post-approval, if that's the decision,  
22 one would be to look more closely at women receiving  
23 ziprasidone.

24 I think Dr. Lindenfeld brought up the idea, and  
25 it's in the back of the material, that women are more

1 likely to have prolonged QTcs, and the numbers were fairly  
2 small, at least in the 054 study. So that would be one  
3 suggestion.

4 I think the other thought would be to look at a  
5 broader group, as Dr. Cook raised, in terms of the ethnic  
6 groups. I think the company did a great job at having a  
7 large number of non-Caucasian subjects, but it's not clear  
8 who's in that other category, and we do know that there's a  
9 lot of differences across ethnic groups, particularly in  
10 Asian and Hispanic populations.

11 DR. TAMMINGA: Dr. Winokur.

12 DR. WINOKUR: I think early in his  
13 presentation, Dr. Harrigan did a very nice job of pointing  
14 out that as is true with other of the atypicals, this drug  
15 has a very unique pharmacology that may lend itself to  
16 notions about specific utility and efficacy, and I'm sure  
17 between the company's efforts and very capable clinicians  
18 out there in the field working with the populations, there  
19 will be a lot of ideas about how to apply that.

20 But, you know, again the point is that I think  
21 we can really think about looking at the match of clinical  
22 needs and opportunities and pharmacological distinctiveness  
23 that may help to get past the sort of broader studies that  
24 have to be done to bring things to this point, so we can  
25 vote on the first question that we had to vote on but can't

1 really get to the point of kind of dissecting out the kinds  
2 of questions that that agenda would raise.

3 DR. TAMMINGA: Do you think that those studies  
4 might be driven by the field, indeed not be required by the  
5 FDA? I would ask Dr. Laughren and Dr. Katz if they have  
6 any more questions that they'd like the committee to  
7 address or if you think that we've covered our pink sheets.

8 DR. LAUGHREN: I guess my final question would  
9 be again on the first study that was proposed here, whether  
10 or not the committee thinks that there should be strong  
11 encouragement or requirement that some kind of study be  
12 done to try and come up with an estimate of what the risk  
13 might be, some kind of quantitative estimate, recognizing  
14 that that's perhaps a very difficult study to do, but it's  
15 an unknown here, and it's possible that we may never or not  
16 for a long time know the answer to that question, if we  
17 were to rely solely on post-marketing reporting, because  
18 unless a drug that has some marginally greater effect on  
19 the QT results in a lot of cases of torsade, we're not  
20 likely to pick up a small differential increase in post-  
21 marketing reporting of sudden deaths.

22 So that's just sort of, you know, one remaining  
23 question. How strongly should we push for that kind of  
24 study, again recognizing it's a difficult study to do?

25 DR. TAMMINGA: Dr. Califf, who was one of the

1 people who seemed a strong supporter of that, is not here.  
2 Maybe Dr. Moss or Dr. Lindenfeld would like to lead off on  
3 this question.

4 DR. LINDENFELD: I'd be interested in Dr. Moss'  
5 comments, too, but I think it would be important to have  
6 additional data on people who are on a substantial number  
7 of other medications, particularly some of those ones that  
8 increase the QT interval a small amount but do affect the  
9 QT interval.

10 DR. MOSS: I would agree with that. I  
11 personally think the most important thing is to do just  
12 simply a very simple higher dose there. I would be very  
13 reassured if higher dose did not increase the QT interval.  
14 I think that this would bode very, very well.

15 I think to require outcome events, that's going  
16 to get reported anyway. They should be encouraged to, but  
17 I don't know how you can actually make that a requirement.

18 DR. LAUGHREN: So you would be reassured by  
19 just a better exploration of the dose-response for QT,  
20 basically?

21 DR. MOSS: I would, indeed. That would be very  
22 reassuring. I think the data they've presented has been  
23 very, very solid, but the one area that we don't know, we  
24 just have really a small dose above what is the 160  
25 milligram dose, and to have a 240 or 300 milligram dose in

1 a relatively small number of patients, just to see if there  
2 is a dose effect, if in fact there's not, that would be  
3 reassuring.

4 DR. TAMMINGA: If there aren't any more  
5 comments from the committee or questions from the FDA, I'll  
6 take the prerogative to thank the committee for working  
7 hard all day, to thank Pfizer for presenting, and for the  
8 FDA for inviting us all.

9 Thank you.

10 (Whereupon, at 4:56 p.m., the meeting was  
11 adjourned.)

12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25