

1 it is fungal or dermatitis or whatever.

2 DR. DRAKE: Fred?

3 DR. MILLER: This is just a point of information.  
4 How costly is this preparation, when we talk about cost  
5 effectiveness?

6 DR. STERN: Correct me if I am wrong, it is about,  
7 average wholesale price is \$1.50 or so a gram in a 30-gram  
8 tube. \$45.00 average wholesale price for this 30-gram tube  
9 plus or minus \$6.00. Is that about right? So, it ain't  
10 cheap.

11 DR. MILLER: So I think this is the point. Again,  
12 if we don't need the preparation, if, indeed, it is not a  
13 tinea and would respond to a lesser steroid, what should be  
14 used as we are trying to economize.

15 DR. DRAKE: I am going to go back to my question  
16 to the committee. Do you want to let stand this absolute,  
17 "Thou shalt not ever use this ever in any circumstances," or  
18 do you want to put a modifier on there, "Because it hasn't  
19 been studied."

20 I guess I am still very concerned. I am with  
21 Lloyd. I apologize. As a committee chair, I am supposed to  
22 be just a facilitator and I promise I am going to quit after  
23 this, but I would like a sense of the committee as to where  
24 you are on this issue because it may be just three of us.  
25 It may be just Lloyd, Dr. Chesney and myself.

1 But I would like to have you give a sense of the  
2 committee to the agency where you would like them to explore  
3 a method of not sending people to the courtroom or being  
4 forbidden to use something when they might see a particular  
5 need for it at a particular time for a short period of time.

6 DR. STERN: So you are asking also about the  
7 label, then, which already has that very direct--

8 DR. DRAKE: It already has it but I think we  
9 certainly have the right to suggest that, perhaps, a  
10 modifier be put on there because I don't like to see any  
11 drug ever restricted from a physician being able to use it  
12 in any patient.

13 DR. KILPATRICK: Madame Chair, we are in a  
14 "Catch 22" because we don't want to recommend a weakening of  
15 the language.

16 DR. DRAKE: But this is an absolute forbiddance.  
17 I don't think at any time a drug should be absolutely  
18 forbidden to a physician to use if they think that  
19 particular drug is going to help that particular patient.  
20 We have been protected by law on that for years.

21 I quit. I am not going to say any more. John?  
22 And then I am going to call for a sense of the committee so  
23 we don't dwell on this anymore.

24 DR. DiGIOVANNA: I tend to agree with you and I  
25 wonder where this wording came from. I get the

1 understanding that this is a new wording and that it is a  
2 response--I get the sense it is a response from Schering to  
3 the adverse events that have been reported.

4 I agree with you that, while I don't personally  
5 use the drug, I don't personally like the drug, I think it  
6 is abused, clearly, there are physicians who find it useful.  
7 I agree with you, we should carefully think if we are going  
8 to be extreme in what is said and going to put our force of  
9 rule or force of numbers behind it, then we should feel,  
10 certainly, that it is true.

11 While I think that, in most situations, it should  
12 not be used in children, I think, depending on how sternly  
13 that is stated is something that should be given  
14 consideration. Now that I have said that tersely, Dr.  
15 Stern.

16 DR. JORDAN: It is interesting. If you read the  
17 indications and usage of this product, it is for the topical  
18 treatment of the following dermal infections; tinea pedis,  
19 cruris, tinea corporis due to organisms. Of course, this is  
20 probably not where it is used.

21 But then, again, I think maybe to solve this  
22 problem, they do have verbiage here that the lotion is "not  
23 recommended" rather than "not used." Maybe that would be  
24 more appropriate for the physicians that use it for some of  
25 these other purposes.

1 DR. DRAKE: I would like to ask the sense of the  
2 committee because we could debate this all night. Please; I  
3 am going to ask the sense of the committee on the question.  
4 I am not going to word it because I want to leave it up to  
5 the FDA to take the sense of the committee, but I would like  
6 to know the members of this committee who feel that,  
7 perhaps, there shouldn't be an absolute ban but some thought  
8 be given into providing language that allows a physician to  
9 use it in certain instances only but without--I am going to  
10 ask for the sense of the committee now.

11 I want to know who is in favor of putting some  
12 kind of a modifier on there so that somebody is not in  
13 trouble if they choose to use this product. Please raise  
14 your hand if you are in favor of that.

15 [Show of hands.]

16 DR. DRAKE: So you have got ten. John, so that at  
17 least helps you. I think the committee does not think this  
18 product should not be widely used or even routinely used on  
19 the pediatric population, and certainly diaper dermatitis.  
20 However, none of us want to put it in such hard language  
21 that no physician would feel comfortable using it if they  
22 thought there was a need or be beneficial to one of their  
23 patients.

24 DR. MINDEL: Not none.

25 DR. DRAKE: Yes?

1 DR. MINDEL: I voted against.

2 DR. DRAKE: How many are against it? I'm sorry.

3 I forgot. How many are against?

4 [Show of hands.]

5 DR. DRAKE: Two are against. So it is 10 to 2.

6 DR. BERGFELD: Can we have some discussion of why  
7 we are against?

8 DR. DRAKE: Yes.

9 DR. BERGFELD: The reason I voted against it is  
10 because, under question 3, I have here--

11 DR. DRAKE: But those are not the questions we are  
12 using today, Wilma. Those were old in the book. They are  
13 not the questions we are using.

14 DR. BERGFELD: The point of fact is the sponsor  
15 proposed a pediatric-use labeling. Is that not the same?

16 DR. DRAKE: There were some documents that were  
17 removed from the packets earlier and then Wilma got a late  
18 packet. That is a late packet and that is not what is  
19 before us. The questions that are before us are on this  
20 document right here.

21 Is there anything anybody else wants to add to  
22 Question 4? I am going to go to Question 5. I am trying to  
23 get us done halfway on time here.

24 Question 5 is, "Should the pediatric section, in  
25 addition to other labeling sections for Lotrisone Cream be

1 changed to mirror labeling for Lotrisone Lotion as discussed  
2 in the above question?" That is sort of what we discussed  
3 earlier, should there be any difference.

4 I would like the committee to vote on this. If  
5 there is discussion, fine. If not, I would entertain a  
6 motion.

7 DR. ROSENBERG: Make them the same.

8 DR. DRAKE: Is that seconded?

9 [Second.]

10 DR. DRAKE: All in favor, please raise your hands.

11 [Show of hands.]

12 DR. DRAKE: Bingo.

13 Question 6, "Does the committee have  
14 recommendations regarding additional ways," now this is  
15 where you can get into some information that you have been  
16 on the table a lot for the committee. We have about ten  
17 minutes before break. "Does the committee have  
18 recommendations regarding additional ways to address the use  
19 of products containing superpotent topical corticosteroids  
20 in children and infants?"

21 Jon?

22 DR. WILKIN: If we could amend that to instead of  
23 saying "superpotent," just, "at the higher end."

24 DR. DRAKE: Okay; saying, "higher-end topical  
25 corticosteroids." Now is the time for you guys to give all

1 your very good ideas that we have heard here.

2 DR. ROSENBERG: I make a motion that the agency  
3 consider a baby product with half percent hydrocortisone in  
4 it.

5 DR. DRAKE: Just a second, Bill. May I ask a  
6 point of order. Is it up to the agency to consider it or is  
7 it up to a company to present it to the agency?

8 DR. WILKIN: Well, you know, if a sponsor presents  
9 us anything, we will consider it. I think he is encouraging  
10 a sponsor to consider--

11 DR. ROSENBERG: It is sort of like "Field of  
12 Dreams." If you approve it, the companies will come, I  
13 think.

14 DR. WILKIN: I will say to that, we will promise  
15 to consider it.

16 DR. PLOTT: I think that the clinical-trial data  
17 has to support the rationale for using a combination  
18 product. Historically, I have personally been involved with  
19 another combination product which did not come to the market  
20 because it could not meet that justification.

21 I think the problem with having a lower potency  
22 topical steroid probably has something to do with being able  
23 to meet the requirements of a combination product, not that  
24 the utility would not be appreciated, simply it does not  
25 meet the hurdle that is necessary.

1 DR. DRAKE: Then, Bill, that makes your motion  
2 appropriate because what we are hearing from Todd is that  
3 even if they wanted to, there are rules in place that would  
4 preclude that. So, do you want to make a motion that the  
5 agency consider some new rules or additional rules. I see  
6 Jon is reaching for the mike.

7 DR. WILKIN: I am not sure exactly what Dr.  
8 Plott's product seemed, in their minds, to fail to meet some  
9 sort of endpoint. I would say that when we think about  
10 antifungal corticosteroid combination products, we are  
11 really looking for the antiinflammatory aspect. The sponsor  
12 can select the time from phase II trials where they think  
13 they are going to have the greatest delta, and they can end  
14 up powering it to where they--if there is an effect, I think  
15 they can achieve that effect.

16 DR. DRAKE: Bill?

17 DR. ROSENBERG: I don't want to preempt this, but  
18 I think we are really talking realistically. Let's back up.  
19 The word you used earlier, Jonathan, I forget what it was  
20 but it was very good. Let's back up on the entry-level  
21 criteria for the study. Let's envision the real world  
22 settings in which these products are used which are in  
23 primary-care settings, often as, by the way, where we don't  
24 need to upgrade and add cost and so forth, "Do you think  
25 this might be a ringworm? What should we do?"

1           Let's do a study with those kinds of patients, not  
2 in dermatologists' offices. Let's do it in offices where  
3 they have no CLIA certificate and where they don't do a KOH,  
4 and let's let the doctors put these different armed, coded  
5 products on those kinds of walk-in patients and see how the  
6 combination, the lesser combination, would do as compared to  
7 a plain Lotrisone.

8           I bet it would do a lot better because I bet  
9 80 percent are not fungus of the ones who get it. But these  
10 are the ones that the doctors who are seeing these patients,  
11 and appropriately seeing these patients. This is the way  
12 the system should work.

13           If these doctors think this may be a fungus, and  
14 would take part in this kind of a trial, I think you could  
15 do it with data and you could show that, perhaps, the  
16 combination has utility and efficacy over anyone of the  
17 single agents.

18           DR. WILKIN: If I could respond to that. I think  
19 it is a philosophical approach to what is the kind of  
20 information one wants from the phase III trials. I would  
21 argue that if you can differentiate the patient, if you can  
22 actually figure out what is the cause of the redness and the  
23 scale, that you actually have a better database.

24           If it turns out that the product would work for  
25 both a tinea and also for an eczematous condition that

1 doesn't have tinea, then I think it would be from a much  
2 more informed position that the physician who would choose  
3 to use this without doing the KOH and the culture, they  
4 would have the certainty that it would work in both  
5 circumstances.

6 DR. DRAKE: We have five minutes left. I want to  
7 make sure we get everything in that we want to, so, please,  
8 for the panel, try to keep your suggestions concise. I see  
9 Dr. Chesney, John, Joe and Mary. Mary, is yours pertinent  
10 for right at the moment?

11 DR. SPRAKER: Any time.

12 DR. DRAKE: Dr. Chesney, John, Joe and Mary.

13 DR. CHESNEY: Just a comment. I don't know if you  
14 are all aware that the NIH has funded eight to ten pediatric  
15 pharmacokinetic research units across the country now, and  
16 they are in fact, right now, looking at an antifungal  
17 Fortinia. They are requiring a mycological diagnosis and  
18 coming up with some very, very interesting information.

19 So the mechanism is there for doing these studies  
20 in children. You don't even have to go to primary-care  
21 offices. These are very sophisticated pediatric  
22 pharmacokineticists and physicians who are totally set up to  
23 do these studies now.

24 DR. DRAKE: John?

25 DR. DIGIOVANNA: I would respectfully like to

1 disagree with Dr. Rosenberg, for the first time, in that I  
2 believe it was Senator Moynihan who coined the phrase,  
3 something about the dumbing down of medicine. I think that  
4 the fact that we have come to a point where there are people  
5 who are using less sophisticated, less accurate, techniques  
6 for larger percentages of the population doesn't necessarily  
7 empower us to go ahead and try to develop less active, less  
8 dangerous, medications to fit that need.

9 I think that if we had a medication that was  
10 really great for all diseases, maybe we would all have to  
11 find another specialty and that would be fine. But I do  
12 think that, while if a medication is useful, it should be  
13 available, I don't know that the best course is to go and  
14 try to find medications which are effective when the best,  
15 most sophisticated techniques we have are simply on  
16 available or not useful.

17 DR. DRAKE: Joe?

18 DR. MCGUIRE: Two brief ones. First, I am sure  
19 the sponsor is already planning to put out a "Dear Doctor"  
20 letter that would contain the changes that you now have in  
21 the product information sheet. Unfortunately, I think that  
22 is going to have to go to all pediatricians, which is a big  
23 mailing.

24 The second item, which is something that I believe  
25 the agency has to think about, is that we have left out a

1 very large part of the population which is the geriatric  
2 group who have pruritus of the scrotum, pruritus of the  
3 anus, pruritus of the vulva. They get this product and they  
4 use it over and over again.

5 I hardly ever use class 1 or class 2 steroids in  
6 older people, whatever "older" means. I will talk to Rob  
7 later. But they get very atrophic very, very quickly.

8 DR. DRAKE: I think that is a wonderful comment,  
9 Joe. Thank you for bringing us back to what we are supposed  
10 to be doing and that is giving suggestions to the agency.

11 Mary?

12 DR. SPRAKER: Would the committee like to  
13 recommend to FDA that the sponsor be asked to present a  
14 specific plan to the agency regarding how to further educate  
15 pediatricians about the problems associated with  
16 inappropriate Lotrisone use?

17 DR. DRAKE: How does the committee feel about  
18 that? Would you like to have the sponsor present a formal  
19 plan to the agency? Let's get a sense of the committee.  
20 How many would like to do that, raise your hand.

21 [Show of hands.]

22 DR. DRAKE: That is sort of like voting for  
23 Motherhood and Apple Pie. Todd, I don't think that is too  
24 restrictive.

25 DR. BERGFELD: I think that it is too limited,

1 just to pediatricians. I mean, if you looked at the  
2 statistics, the family-practice docs and the internists were  
3 really the high users. So I think that has to be a very  
4 large program of education. Pediatricians could be focused  
5 because we are dealing with wee ones and we are all  
6 sympathetic to that, but there is a larger population at  
7 risk.

8 DR. KILPATRICK: Madame Chairman, may I add to  
9 that also.

10 DR. DRAKE: Yes.

11 DR. KILPATRICK: On the handout that we were given  
12 by the agency, there is a suggestion for postmarketing  
13 surveillance. It may be that the two could be combined in  
14 some way so we know who is doing what.

15 DR. DRAKE: That is a very good suggestion. Jim,  
16 you just earned your keep today.

17 DR. KILPATRICK: I earned my \$33.00.

18 DR. DRAKE: You did. That is a very good  
19 suggestion. I like that a lot.

20 John?

21 DR. DiGIOVANNA: There is a little bit of a  
22 conflicting statement here. If you are going to label that  
23 it shouldn't be used at all in children under 12, why would  
24 Schering want to have it sent at all to pediatricians.

25 DR. DRAKE: We have just suggested that labeling

1 be modified just a tad.

2 DR. MCGUIRE: Because that is a group whose  
3 practice is going to be modified the most, and they need to  
4 know.

5 DR. DRAKE: Would the committee like to have the  
6 FDA report back to us in some future meeting about what  
7 Schering is doing to address these concerns? No? Okay.  
8 Oh; yes? How many would like to have a follow up on this:  
9 Raise your hand..

10 [Show of hands.]

11 DR. KILPATRICK: By E-mail.

12 DR. DRAKE: By E-mail. We would like to have  
13 something, Dr. Wilkin. The committee would love to learn  
14 what Schering has got in mind to further this educational  
15 program.

16 I have one suggestion. I think that the  
17 information that has been presented here today, particularly  
18 by Dr. La Grenade, I think that kind of stuff is really  
19 interesting. I think the whole thing should be presented.  
20 I would certainly encourage anybody at this table, or the  
21 FDA, themselves, or Schering, to think about getting this  
22 written up so that it appears in multiple journals.

23 People still look at their journals so I would  
24 strongly think about publishing it in journals in different  
25 capacities.

1 I am going to do one last thing. I am going to  
2 ask each member of the committee what ideas they might have  
3 plus I am going to go back and hit you guys. Give me your  
4 best idea for the committee.

5 Eduardo; what is the best idea you have got to  
6 make this better?

7 DR. TSCHEN: I think there are two things; the  
8 information in the package and in the tube as well as the  
9 education to the physicians who are going to be prescribing  
10 it. I think the drug rep is a big source of information to  
11 most of the clinicians. I think it is an incredibly good  
12 source of information for most of the clinicians who are  
13 practicing in this field.

14 DR. DRAKE: John?

15 DR. DiGIOVANNA: I think one point that has been  
16 made and I think would be very useful with respect to  
17 Question 6 is including information about the specific known  
18 adverse events in a way that is clear for people using it  
19 and the parents of infants using it so that they are aware  
20 of the differences in the risks in children and adults with  
21 respect to the adverse events which have been observed,  
22 mainly atrophy and striae.

23 DR. DRAKE: Fred?

24 DR. MILLER: I would just echo what they said but,  
25 again, I would say that I don't think that the educational

1 process can reach everyone. I just think there are too many  
2 lacunae and people will slip through. So it needs to be,  
3 again, the label on the tube.

4 DR. DRAKE: Bob?

5 DR. JORDAN: I agree with labeling the tube and  
6 the box, particularly when it comes to the pediatric group.  
7 But I think one point maybe the sponsor should understand,  
8 we are all not very comfortable with the strength of this  
9 corticosteroid in this product.

10 I would like to see something, at least some  
11 statement, as to the strength of this.

12 DR. DRAKE: And the side effects from it.

13 Yes?

14 DR. BERGFELD: I have only a brief addition. In  
15 the product information piece, as one reads through it, for  
16 the division of the component active ingredients, I am not  
17 hit by anything that I should be particularly worried about.  
18 As stated, in dermatology, we are very worried about skin  
19 atrophy and striae. In some way, I would like that brought  
20 out in this piece as well as in the patient information.

21 DR. DRAKE: Dr. Chesney?

22 DR. CHESNEY: Pediatricians need to be educated  
23 but please be sure to include family practitioners in any  
24 "Dear John" letter because they also treat many, many  
25 children.

1 DR. DRAKE: And internists, because they take care  
2 of the elderly, the geriatrics.

3 Rob?

4 DR. STERN: Nothing to add.

5 DR. DRAKE: What? Jim.

6 DR. KILPATRICK: Some of you may not realize, but  
7 the FDA does not pay for overtime. I have earned my keep  
8 today, so I have no other comments.

9 DR. DRAKE: Bill?

10 DR. ROSENBERG: I have a recommendation for the  
11 Chair. I know that the Chair spent her year on Capitol Hill  
12 on the Senate side with Bob Dole, but I think adoption of  
13 the House policy of allowing members to come back and talk  
14 into the microphone under special orders when everybody else  
15 has gone home would be useful. That would help some of us.

16 DR. DRAKE: When everybody else has gone home?

17 DR. ROSENBERG: Yes; that is my suggestion.

18 DR. DRAKE: I have instituted this policy of  
19 really forcing all the members to talk because, sometimes,  
20 one or two members talk and nobody else does. But I have  
21 tried to force everybody to talk. We have been a little bit  
22 restricted on time, but I agree with you. I think these  
23 committees have so many good ideas.

24 Joe?

25 DR. McGUIRE: Thanks, Lynn. I have said what I

1 wanted to say.

2 DR. DRAKE: Joel?

3 DR. MINDEL: I hate to be serious again.

4 DR. DRAKE: That's okay.

5 DR. MINDEL: But the studies on which this present  
6 drug is based were done twelve years ago. The drug on which  
7 we are saying we have to have the duplicate labeling was  
8 done twelve years ago with the present modification.

9 I would like to see this new drug limited to a  
10 seven- or eight-day labeling maximum use, period.

11 DR. DRAKE: Okay; that is a thoughtful suggestion.

12 Now, you experts have had a chance to think. Dr.  
13 Epps?

14 DR. EPPS: One recommendation for wording may be  
15 two separate sentences such as, "Not to be used in diaper  
16 dermatitis due to striae and whatever side effects." And  
17 the second one, "Not recommended under the age of 12."

18 DR. DRAKE: Ted?

19 DR. ROSEN: I have nothing further to add. I  
20 think you all have heard the same recommendations multiple  
21 times. They are all good ones.

22 DR. DRAKE: Steve?

23 DR. FELDMAN: We talked about this drug has been  
24 proven safe. Safety, in small trials, doesn't really tell  
25 you about safety for less common events. It is clear from

1 the postmarketing data there have been a lot of adverse  
2 events. That could be listed under adverse reactions much  
3 more prominently.

4           Again, it raises the issue--I don't think we  
5 should say that this drug has been proven safe. On the  
6 contrary, the postmarketing data has shown that there are  
7 adverse events reaction with this drug with the labeling  
8 that has been out there limiting its use.

9           So I think the committee should feel really  
10 comfortable making decisions that say the labeling needs to  
11 be strengthened or its use should be curtailed in some way.  
12 I think it was an excellent point that was brought up that  
13 the new product really has not been shown to add anything  
14 over existing product and even the old product that was out  
15 there hasn't really been shown to add anything over existing  
16 products.

17           I think the idea of comparing the numerator and  
18 denominator of the adverse-event profile of Lotrisone to  
19 Diprosone would be very important because if you did find  
20 that the adverse events are much more common with Lotrisone,  
21 when you know that the drug is equally potent in terms of  
22 its steroid effects, then you would really say that  
23 something is going on with the marketing of the product or  
24 the use of the product that is of serious concern given the  
25 risk/benefit ratio.

1 DR. DRAKE: Mary?

2 DR. SPRAKER: Echoing Wilma. When you read the  
3 package insert, there is a word or two about the adverse  
4 events. I think a whole paragraph on the problem in  
5 children, how many children have been seen with striae, the  
6 adverse reports that have been reported would help emphasize  
7 and give the practicing physician more information go to on.

8 DR. DRAKE: I think you earlier even said about a  
9 black box. We never got back to that, but they might want  
10 to consider that.

11 DR. DRAKE: Mark?

12 DR. ELGART: As I sit here listening to this, I  
13 think that probably 20, maybe more, percent of my practice  
14 is off-label use of drugs. I think that most of us do that.  
15 I think that no matter how aggressive we are on the label,  
16 we cannot, as you have said, restrict totally the use of  
17 these medications.

18 That is probably good. You can lead a horse to  
19 water but you can't make him drink. You can lead a family  
20 practitioner to what the right way to use this is but, if he  
21 wants to use it another way, he may be right, for him.

22 So I think that we can't be too obstructionist in  
23 the way that we put this material out.

24 DR. DRAKE: Lloyd?

25 DR. KING: Actually, I am struck by how visual we

1 are and, in the coming of the E-commerce area, I am struck  
2 by the pharmaceutical industry is becoming worldwide. If we  
3 can develop symbols for smiley faces and all this for the  
4 computer, a shorthand for "I'm happy," "I'm sad," would it  
5 not be a challenge like developing international signs for a  
6 roadway, for bathrooms, et cetera, if we could come up with  
7 some kind of symbolic language that says, "Don't use this,"  
8 with an X mark, some improvement on the skull and  
9 crossbones.

10 So I think the challenge is people are visual.  
11 They are not going to read all these things if they are  
12 illiterate. But they see a skull and crossbones, they get  
13 the message. So I think we could take a lead from E-  
14 commerce and say smiley faces. These things may be the  
15 challenge of the future.

16 May I ask a question from all the folks from the  
17 agency. Do you have what you want? Do you have what you  
18 need from us at this point?

19 DR. WILKIN: Yes. I would like to thank the  
20 sponsor, Dr. Plott, for his presentation, the invited  
21 guests, experts who presented and the committee who  
22 deliberated in great depth over these, I think, difficult  
23 questions because they had both scientific aspects and they  
24 also had societal values imbedded.

25 Let it be said that dermatologists considered

1 their colleagues in other specialties. We heard that  
2 message as well. I would thank you, Dr. Drake. We got very  
3 good information which we will take back and think about.  
4 We heard the charge. We will report back.

5 DR. DRAKE: I, too, want to thank the sponsor. I  
6 want to point out there are lots of people in the audience  
7 here today who have antifungal products and what not. I  
8 think you have heard a lot of very positive suggestions.  
9 Bill, "Tinea/Baby?" What was it? I don't remember. But  
10 you have heard a lot of position suggestions. Please don't  
11 let us stop you from developing something new. It is always  
12 good for our patients.

13 Now, we have kind of run over. I am going to have  
14 a seven-minute break. I am going to reconvene at 4 o'clock  
15 because, if we don't, we won't get out of here and it is not  
16 fair to the next company. So I want to reconvene at 4:00.

17 [Break.]

18 **NDA 20-966 - Dermex II (zinc oxinate) Ointment**

19 **Dermex Pharmaceuticals**

20 **For Treatment of Actinic Keratosis, Basal Cell Carcinoma**  
21 **and Squamous Cell Carcinoma**

22 DR. DRAKE: We are reconvened. The last thing on  
23 our agenda today is the open session. Mr. Henriquez, would  
24 you please do the conflict of interest statements.

25 **Conflict of Interest Statement**

1 MR. HENRIQUEZ: The following announcement  
2 addresses the issues of conflict of interest with regards to  
3 this meeting and is made a part of the record to preclude  
4 even the appearance of such at this meeting.

5 Based on the submitted agenda and information  
6 provided by the participants, the agency has determined that  
7 all reported interests in firms regulated by the Center for  
8 Drug Evaluation and Research present no potential for a  
9 conflict of interest at this meeting when evaluated against  
10 the agenda.

11 We would, however, like to disclose for the record  
12 that Dr. Lynn Drake has interests which would not constitute  
13 a financial interest within the meaning of 18 USC 208-A but  
14 which could create the appearance of a conflict. The agency  
15 has determined, notwithstanding these interests, that the  
16 interests of the government and her participation outweigh  
17 the concerns that the integrity of the agency's programs and  
18 operations may be questioned.

19 With respect to the FDA's invited guest, Dr.  
20 Stephen Feldman, Dr. Theodore Rosen and Dr. Mary Spraker  
21 have reported interests which we believe should be made  
22 public to allow the participants to objectively evaluate  
23 their comments.

24 Dr. Feldman would like to disclose that his  
25 department has a grant with Dermex for a pending NDA. Dr.

1 Theodore Rosen would like to disclose that approximately six  
2 years ago, his department received a grant from Allergan for  
3 a study of Naftin. Dr. Rosen was an investigator on the  
4 study but received no personal remunerations. In 1999, Dr.  
5 Rosen received an honorarium from Allergan for a lecture  
6 concerning Naftin.

7 Dr. Mary Spraker would like to disclose that she  
8 is a speaker for Dermex' Distinguished Lecture Program. Dr.  
9 Spraker's talks to do involve speaking about any of Dermex'  
10 products. In the event that the discussions involve any  
11 other products or firms not already on the agenda for which  
12 the FDA participants have a financial interest, the  
13 participants are aware of the need to exclude themselves  
14 from such involvement and their exclusion will be noted for  
15 the record.

16 With respect to all other participants, we ask, in  
17 the interest of fairness, that they address any current or  
18 previous financial involvements with any firms whose  
19 products they may wish to comment upon.

20 DR. DRAKE: Thank you.

21 Dr. Wilkin?

22 **Introductory Remarks**

23 DR. WILKIN: This afternoon, we will consider the  
24 zinc oxinate ointment product. Dr. Ko will give the agency  
25 presentation. At the end, what we are interested in does

1 the committee have comments. We actually have no specific  
2 questions to give to the committee. I think it will be  
3 explanatory after Dr. Coe's presentation.

4 DR. DRAKE: I guess the first presenter is the FDA  
5 and that is Dr. Hon-Sum Ko.

6 **FDA Presentation**

7 **NDA 20-996 Dermex (zinc oxinate) Ointment**

8 DR. KO: Thank you, Madame Chairman.

9 [Slide.]

10 My presentation will be on NDA 20-996 from Dermex  
11 Pharmaceuticals.

12 [Slide.]

13 They have a product called zinc oxinate which is a  
14 chelate of zinc chloride with 8-hydroxyquinoline in an  
15 ointment base. Now, its active ingredient is said to be at  
16 least, in part, a metal-ligand complex formed by the  
17 reaction of 1 mole of zinc chloride with 2 moles of 8-  
18 hydroxyquinoline in situ during the manufacturer of the drug  
19 product.

20 As well, the sponsor states that an excess of zinc  
21 ion is needed for its activity.

22 [Slide.]

23 Zinc chloride has been used in Mohs surgery as a  
24 fixative. It is also available for nutrition  
25 supplementation when diluted. For 8-hydroxyquinoline, it is

1 used as a chelating agent, for example, in nuclear medicine  
2 to chelate indium, radioactive indium. It also has  
3 antibacterial properties and so it may be used in some  
4 topical products.

5 [Slide.]

6 The proposed indications for this NDA has changed  
7 with time. When the NDA was first submitted in March, 1998,  
8 six indications were requested; squamous-cell carcinoma,  
9 basal-cell carcinoma, actinic keratoses, Kaposi's sarcoma  
10 and also genital warts and verruca warts.

11 When a resubmission was given to the agency in  
12 September of 1999, only three indications were sought.  
13 These were squamous-cell carcinoma, basal-cell carcinoma and  
14 actinic keratoses.

15 [Slide.]

16 In the submission of 1999, a clinical protocol was  
17 provided. It is included in your briefing package in  
18 Attachment 1.

19 [Slide.]

20 Basically, the protocol involves having patients  
21 biopsied to verify the diagnosis and have photographs before  
22 and after treatment. There were two phases in the study. A  
23 patient may be given 8-hydroxyquinoline or zinc chloride or  
24 vehicle in the blind phase which is followed by an open  
25 phase with the Dermex product.

1 Up to three applications may be given. And then,  
2 as mentioned, there would be a post-treatment biopsy.

3 [Slide.]

4 We are going into a summary discussion of the  
5 clinical data.

6 [Slide.]

7 This table provides the material that we have  
8 received from the sponsor for the three indications; actinic  
9 keratoses, basal-cell carcinoma and squamous-cell carcinoma.  
10 There were descriptions of ten patients of actinic keratoses  
11 in 1998 and one more in 1999. Basal-cell carcinoma, we had  
12 fourteen patients in 1998 and eight in 1999. There was one  
13 squamous-cell carcinoma description in 1999.

14 In both submissions, the same photographic cases  
15 were presented. There were five of them. They are also  
16 present in the sponsor's package which I think you have with  
17 you right now.

18 [Slide.]

19 I am going into just a very brief discussion of  
20 the clinical data of the 1999 cases. These were more  
21 representative and were presented in greater detail as there  
22 was also histological information.

23 There was one case of actinic keratoses in a 60-  
24 year-old Caucasian female having multiple lesions on the  
25 face. Treatment with the product resulted in necrosis after

1 the application.

2 [Slide.]

3 For basal-cell carcinoma, eight cases were  
4 reported in the 1999 submission with seven males and one  
5 female. The age range was between 42 and 81. There were  
6 seven Caucasians and one black. These patients had disease  
7 duration lasting between six months to 30 years. The  
8 lesions were almost all in the head and neck area except one  
9 which was in the right hand.

10 Serial histological specimens showed that four out  
11 of the eight patients showed no tumor on the last follow-up  
12 biopsy after treatment.

13 DR. ROSEN: Can I interrupt you a second. Does  
14 that mean that the other four out of eight did have tumor on  
15 the last biopsy submitted?

16 DR. KO: Yes.

17 [Slide.]

18 The third indication is squamous-cell carcinoma.  
19 There was one case report of a 72-year-old Caucasian male  
20 having skin ulceration over the cheek. Serial histology  
21 still showed presence of squamous-cell carcinoma at the last  
22 biopsy.

23 [Slide.]

24 There is limitation of the efficacy data because,  
25 really, the data is regarded as anecdotal. The investigator

1 for the submission in 1999, his qualifications have not been  
2 verified. The endpoints have not been clear-cut, defined, a  
3 priori and there are issues on study design including  
4 randomization and blinding which have been problematic.

5 The sponsor did also present some animal data  
6 apart from the human data. However, these are not  
7 appropriate to support a human indication.

8 [Slide.]

9 Concerning the safety data, the cases presented in  
10 1999 did not, really, provide any safety information to  
11 support the adverse-reactions section of the label which  
12 lists a number of possible side effects including burning,  
13 inflammatory response, contact dermatitis, increased  
14 sensitivity to sunlight, itching, oozing, soreness,  
15 darkening of the skin and scaling.

16 [Slide.]

17 In your package, under Appendix 1, you have the  
18 regulations concerning adequate and well-controlled studies.  
19 This slide is really just a summary of what the agency  
20 regards as adequate and well-controlled studies to support  
21 indications in an NDA.

22 The student needs to have clear-cut objectives and  
23 a summary of methods of analysis. It should be properly  
24 designed to allow valid comparison with proper control. It  
25 needs to have good subject-selection criteria to assure that

1 programs actually have the disease or condition to be  
2 studied.

3           Methods of assigning patients to the treatment  
4 would minimize bias such as by randomization with or without  
5 stratification. There should be measures to minimize bias  
6 on the part of subjects, observers and in the data analysis.  
7 The evaluate of response needs to be clearly defined and  
8 reliable and there should be adequate analysis of the  
9 results of the drug effects.

10           [Slide.]

11           This slide shows the regulatory history of this  
12 application. The original submission in March of 1998 was  
13 not fileable because of deficiencies. Subsequent to this,  
14 the applicant requested conference with the agency and this  
15 was held. The application submitted new material in 1999  
16 and requested the agency to file the application for review.

17           Despite deficiencies, the regulations to allow the  
18 agency to file the application. So this was filed and  
19 reviewed.

20           [Slide.]

21           The clinical biostatistical review showed the  
22 following deficiencies. The application lacks proper  
23 format, content and information on compliance with IRB  
24 regulations. There were no adequate and well-controlled  
25 studies to support safety or efficacy of the Dermex product

1 in the treatment of the requested indications, actinic  
2 keratoses, basal-cell carcinoma and squamous-cell carcinoma.

3 [Slide.]

4 Other review disciplines also found deficiencies  
5 with the application. The chemistry, manufacturing and  
6 control team noted that this NDA lacks specifications such  
7 as quality attributes, analytical methods and acceptance  
8 criteria for starting materials, active pharmaceutical  
9 ingredient or drug substance or proposed finished drug  
10 product.

11 Without the analytical controls, the identity,  
12 strength, quality and purity of the drug product cannot be  
13 ascertained. It also lacks stability data to support the  
14 expiration date and data to evaluate differences in  
15 formulations between batches used for the clinical studies  
16 and the proposed to-be-marketed drug product.

17 [Slide.]

18 There are also issues of the manufacturing  
19 microbiology part because this NDA does not provide a  
20 statement indicating whether the product is sterile or non-  
21 sterile. If it is sterile, then information on the  
22 sterilization process validation data needs to be provided.

23 If non-sterile, then it would need microbial  
24 limits-testing methodology and corresponding acceptance  
25 criteria or scientific rationale for lack of this testing.

1 Also, if it is non-sterile, then there would be a need to  
2 provide a preservative, if any, its effectiveness validation  
3 data or demonstrating that the antimicrobial properties are  
4 inherent in the non-preserved product.

5 Also, if it is non-sterile, it needs  
6 microbiological stability data.

7 [Slide.]

8 Pharmacology, toxicology discipline issues relate  
9 to the lack, in this NDA, of in vitro and preclinical animal  
10 data routinely collected to help identify potential  
11 toxicities of the product, or studies that can help bridging  
12 existing data on individual components of the Dermex  
13 product.

14 [Slide.]

15 Because of these deficiencies in the application,  
16 it really precludes approval of this application. The  
17 agency brings this to the committee to see whether you have  
18 any recommendations.

19 DR. DRAKE: In the interest of time, would it be  
20 worthwhile to hear from the sponsor immediately and then we  
21 can kind of address or comments all together.

22 Dr. Potestio, welcome. Please proceed.

23 **Sponsor Presentation**

24 **Summary of Company**

25 DR. PTESTIO: My main purpose here is to

1 introduce our company. Our company is an extremely small  
2 company. It consists of three people. The first one, Dr.  
3 Russell Jordan who did all the formulation for this drug, is  
4 home, extremely sick. He is in heart failure, terminal  
5 stages of hepatitis C, uncontrollable diabetes and nephrosis  
6 and his doctor, as you can obviously tell, has kept him at  
7 home.

8 Our second member of this is Mr. Carl Hanson who  
9 is a registered pharmacist who is compounding our  
10 medications and presenting this to the FDA. He has also  
11 been in research with Abbott Laboratories. I am a retired,  
12 board-certified obstetrician-gynecologist. The reason that  
13 I am retired is because I lost my right eye back in 1998 due  
14 the retinal detachment.

15 The reason this company was formed was originally  
16 not because of the skin-cancer cure that we have but because  
17 of the fact that my wife came down with a right upper-  
18 quadrant pain, and we buried her five-and-a-half weeks  
19 later. The next day after she had her pain, we brought her  
20 in to the internist who did not discover any gall stones but  
21 a wild-growing pancreatic cancer.

22 She underwent bypass surgery to avoid obstruction  
23 and was put on 5FU for chemotherapy. Everybody in the  
24 hospital at that particular time knew that 5FU caused severe  
25 nausea and vomiting except our learned oncologist. I quit

1 being a doctor and became a husband and looked all over for  
2 any kind of cure and called all the pharmaceutical companies  
3 to see if they had anything. They had nothing.

4 Finally, the name of Russ Jordan came up and the  
5 reason I knew Russ Jordan was because he did oncology and  
6 research all his life. When I first met him, he was at  
7 National Jewish working in immunology at that particular  
8 time. I took care of his wife and daughters while I was in  
9 private practice.

10 I called Russ and told him what my problem was and  
11 he formulated some oral medication, the lowest possible dose  
12 to give to my wife. With this medication, ladies and  
13 gentlemen, she had no nausea, no vomiting and no side  
14 effects.

15 In spite of the fact that it was the lowest  
16 possible dose and she only took it for two weeks before she  
17 died of pulmonary edema, the tumor began to recede. Two  
18 months later, after her death, he called me up. We had  
19 lunch and he discussed starting a pharmaceutical company.  
20 He had done all of his work through a Dr. Marie Gonzalez in  
21 the Free Clinic of Mexico where they had treated at least  
22 over 100 skin cancers.

23 Every one of the skin cancers was first diagnosed  
24 by biopsy, treated, post-op biopsy to verify it and at least  
25 a five- to ten-year follow up to make sure it didn't recur.

1 The controls were usually the same person as they had  
2 multiple lesions.

3 We started the company, but my main purpose was  
4 not the skin. My main purpose was the oral as I knew he had  
5 a cure for breast cancer, lung cancer and GI cancer, from  
6 what I could see. But we needed a lot of money and a lot  
7 more research to bring it on the market.

8 So we decided to start crawling before we started  
9 walking and came out with our skin-cancer product. Ladies  
10 and gentlemen, I have used this product. It is totally  
11 different than what I just heard a few minutes ago from the  
12 previous speaker. Every time I have used this product, I  
13 have seen virtually no side effects. I have seen the tumor  
14 disappear after only one application and a short follow up.  
15 I have seen no recurrence.

16 There is going to be one out of every five humans  
17 in this world that is going to come down with cancer of the  
18 skin. Ladies and gentlemen, we do have a drug that needs to  
19 be out there that does work. It is most unfortunate that  
20 this drug is in the hands of a small pharmaceutical company  
21 with limited money. But we will bring it on the market with  
22 the blessing of the FDA as we do not want to go herbal  
23 because skin cancer is too serious of a disease to put in  
24 the hands of the lay people and it should be in the hands of  
25 the professionals who know what they are treating and how

1 they are treating it.

2 Thank you so much.

3 DR. DRAKE: Thank you.

4 Mr. Hanson?

5 **Updating the Committee on Dermex**

6 MR. HANSON: I will try to make this a brief as  
7 possible. You have the handouts. One thing I would like to  
8 correct and ask Dr. Ko--you said the animal studies did not  
9 pertain to the human cancer cells; is that correct? I want  
10 to ask you that now.

11 DR. KO: I didn't say that.

12 MR. HANSON: I think you did. You said that  
13 animal studies did not pertain--squamous cell in the cow  
14 does pertain to the human. A hundred studies were done.  
15 They were included in the filing that we made. I just  
16 wanted to make that correction.

17 A lot of the deficiencies that are in there for  
18 the analytical part of it, we have limited funds. We are a  
19 small company. So we did not start with the manufacturing  
20 entity until March when we found out that it was in the  
21 final stages for this advisory committee.

22 At the time, I called for an inspection. We have  
23 received an inspection. All of the supposed deficiencies on  
24 the analytical has been addressed. The microbial problem is  
25 taken care of. We have hired and contracted a microbial--

1 actually, Nelson Laboratories in Utah is going to do our  
2 microbial work.

3 We have installed a pure pharmaceutical water  
4 system. We have the machinery now. The analytical part was  
5 not done before because, in my experience in manufacturing  
6 from Abbott and from my own compounding, I have 48 years of  
7 experience in pharmacy.

8 We did not start any of the analytical work  
9 because it was a moot thing because I was doing a mortar-  
10 and-pestle up to that time. We have an ANDA in place for  
11 the equine horse which we are waiting the final approval on.  
12 We also have an IND in for the squamous-cell cow. We are  
13 working on the dog. We have 44 indications for the dog. It  
14 sounds like kind of an overall type thing.

15 If there are not any more questions on that, I  
16 would like to get on with the handout, if I could. I will  
17 briefly go through that.

18 I inclosed a letter that I wrote to this Mr.  
19 Castle. I informed Dr. Jonathan Wilkin that there was a lot  
20 of dysfunctional letters and correspondence that evolved  
21 after we were notified that we were going to be before the  
22 advisory committee. I had eight phone calls in to one  
23 gentlemen with no answer. I would hope that the FDA would  
24 treat small companies better than we were treated at this  
25 time.

1           The treatment that we received from Dr. Wilkin's  
2 division was exemplary. I want to comment and thank Dr.  
3 Wilkin and also Mary Jean. At one point, I had no idea of  
4 the language needed or what was needed and I told Mary Jean  
5 at one point that, "I am a farm boy who came to town on a  
6 load of turnips and fell off." At that point, she was very  
7 gracious.

8           Let's go to the archival information. The  
9 original application was for more indications but Dr. Jordan  
10 had a tremendous amount of valuable clinical work in his  
11 office. His office was inundated with twenty to thirty feet  
12 of water at the same time that Colorado State University  
13 lost their library. We lost a tremendous amount of  
14 information.

15           But it was our decision, at that time, to go ahead  
16 with what we could salvage at that time and work from there.  
17 So we do have some information on the--quite a bit on the  
18 warts, but it seemed like a moot thing to do that at this  
19 time, to cloud the issue. Kaposi's sarcoma, he had many,  
20 many cases. We were able to salvage one which was not  
21 applicable at this time.

22           If you will notice, we limited down to the three.  
23 The reason, now, also, I am putting this in the archival, we  
24 asked, at this time, for the accelerated approval with  
25 expedited review. Expedited review is an executive order

1 asking that, due to small companies with limited assets,  
2 that they expedite the review which Dr. Wilkin and his staff  
3 did

4           The accelerated approval, the reason we placed it  
5 there is that it would remain in postmarket clinical  
6 studies. In other words, we didn't feel that we had a  
7 complete case to file, but we placed ourselves in that  
8 position so that it would be under the direction and the  
9 guidelines of a protocol that would be substantial to  
10 substantiate the work that has been done.

11           We also realized at this time, we were trying to  
12 seek more clinical help. I know there are quite a few  
13 dermatologists here, but I was appalled. We approached four  
14 very prominent dermatologists in Denver. Each one of them  
15 gave the same response. One was a good friend of Dr.  
16 Potestio's. The response that he got from his friend was,  
17 "Frank, we have two rooms going with surgical procedures  
18 that we average \$2,000 to \$3,000 from. Why should I get a  
19 product on the market that might eliminate my surgery?"

20           I am sorry about that, but we did make a very good  
21 effort to try to set up a clinical study in Denver which is  
22 a good place for it, but we came against a brick wall.

23           We did give the reasons why we thought it should  
24 be an expedited marketing position. It provides a very  
25 meaningful advantage over the existing treatment which is

1 surgical excision, radiation and electrodissection.

2 I will go through these very fast. They are kind  
3 of mundane. Demonstrates reliability and effectiveness in  
4 the following. The supportive evidence is covered by  
5 Subpart E. Procedures; complies within the scope of serious  
6 and life-threatening criteria, which we discussed with a  
7 member of the division in 1997 and 1998. We confirmed it.  
8 We complied with the fact that it had to be within the six  
9 months previous to a filing.

10 I don't see any mention of this in any of the  
11 correspondence we received. Supporting evidence should  
12 provide the effect of a surrogate endpoint for Dermex.  
13 Distribution and use will be restricted during the specified  
14 time administered by the FDA, Dermex II will comply with--  
15 anyway. We had decided on this protocol that we will comply  
16 with the protocol in a postmarketing condition in a very,  
17 very strict sense and limit the marketing very rigidly.

18 We actually have found and have made the first  
19 approach to Kaiser. I am a consultant for geriatrics with  
20 Kaiser at the present time, part-time. We found that,  
21 basically, economically--you can see No. 7 is economic  
22 importance.

23 HMOs and Medicare are trying to save money. This  
24 product will save a tremendous amount of money for Medicare  
25 and for HMOs. We have found great favor in approaching some

1 of the HMOs, particularly Kaiser. They are not in the  
2 position of trying to do surgery to make money. I am sorry  
3 to have to make that statement, but I have been in the  
4 medical profession for more years than I care to talk about.

5 Information available reveals that there is no  
6 other chemical treatment available for the indicated  
7 illnesses. Highly selective. We have data here from a Sir  
8 Alpert which I am going to include in there. Sir Alpert  
9 started working with chelation as far back as 1930, 1940 and  
10 1950.

11 Chelation is not a chemical reaction, as  
12 purported. It is actually a claw where the two chemicals  
13 come together, which brings me to the point that one is 8-  
14 hydroxyquinoline which is not under any prescription  
15 control. Zinc is definitely not. We have two entities here  
16 that are not under any control at the present time by  
17 prescription.

18 Dr. Jordan has accumulated sufficient evidence in  
19 treating topical Kaposi's sarcoma. We are going to pursue  
20 this more aggressively in postmarket clinical studies. I  
21 tried to eliminate a lot of the information that we have.  
22 Bridging from the information that we provided, which they  
23 said was not done for toxicology and pharmacology, I believe  
24 we sufficiently gave enough information which, according to  
25 the CFRs that I studied, were allowed, if it has been used

1 previously, either component has been used previously, in a  
2 manner that would pertain internally or externally. Both of  
3 these products meet that.

4 I put in there selected toxicity mainly to show  
5 you that 8-hydroxyquinoline has been used and it is in most  
6 of the USPs and formularies around the world. Diaper  
7 dermatitis; I know I listened to a lot of discussion this  
8 day on Lotrisone. I think there was some opposition. I  
9 would make one suggestion at this time. If you want to know  
10 what the pharmacist is going to on labeling, you should talk  
11 to a pharmacist.

12 Effective oxyquinoline ointment on diaper  
13 dermatitis, when you consider the amount of area on a child,  
14 on a baby, what, 25, 30 percent of the body area is  
15 buttocks, so it was used on that. Activity with some of the  
16 things here. We have potential amebocide for 8-  
17 hydroxyquinoline. The thing was brought up about a  
18 preservative. The original talks that Dr. Jordan had with  
19 the division was to use eucerin which has nickel in it which  
20 actually interferes with the zinc and would replace it. So  
21 we went to a plasty-base, which is Squibb's original  
22 product.

23 We have antibacterial, antifungal, activity for  
24 the oxine. That is the next page, 11, I think it is. I  
25 don't have my pages numbered here. There is sufficient

1 evidence. We tried to highlight most of it so that you  
2 could just review it.

3 Indium 111 is a very important one for us because  
4 I did work on the IND for--I was a nuclear pharmacist and I  
5 worked on the IND with indium 111 with a Dr. Klingan-Smith.  
6 This actually is an isotopic follow through the body of 8-  
7 hydroxyquinoline. If you look under mechanism of action and  
8 effect, labeling of leukocyte, which we did within the cell,  
9 the radioactive indium dissociates from oxyquinoline and  
10 becomes firmly attached to the cytoplasm components while  
11 the nonradioactive oxyquinoline is released by the cell.

12 They also established, in these studies, the fact  
13 that carcinogenicity, you go to the other page, here, is,  
14 "Although earlier studies suggested oxyquinoline might have  
15 carcinogenic potential, recent studies have not shown the  
16 carcinogenic effect in rats and mice given oxyquinoline in  
17 feed concentrations of 1500 or 3000 parts per million."

18 "Indium 111 is excreted in breast milk," and such  
19 like that, which we did find.

20 Toxicology and carcinogenesis studies of 8-  
21 hydroxyquinoline; profound. We have got survival of dosed  
22 male and female rats and mice in two-year studies comparable  
23 to that of the corresponding controls, the high-dose rats  
24 and mice of each sex exhibited slight decreases in mean body  
25 weight and decreased feed consumption.

1 "In vitro, 8-hydroxyquinoline did not induce  
2 either unscheduled DNA synthesis in rat hepatocytes or  
3 transformation--" "An audit of the experimental data for  
4 these carcinogenesis studies on 8-hydroxyquinoline was  
5 conducted. No data discrepancies were found." I rushed  
6 that.

7 Let's go on to zinc. Zinc oxide has been  
8 preferred--when my wife and I were raising our nine  
9 children, we used zinc oxide and didn't have any Lotrisone.  
10 We also used calcium and zinc undecylenate with very good  
11 success, a product called Keldicine.

12 We have got oral zinc. Strength usually available  
13 for zinc chloride, we have got zinc gluconate, but it is  
14 zinc, 1.4 milligrams of elemental goes down to 60 milligrams  
15 of elemental zinc. Canada has 10 to 50. I was told I had a  
16 high PSA and they recommended that I take zinc orally. I am  
17 taking 30 milligrams a day. Zinc chloride has been used in  
18 an injection form, no apparent problems.

19 Zinc-chloride mouthwash on plaque and zinc levels  
20 on surface enamels. That is pretty self explanatory. Zinc  
21 chloride paste for the debridement of chronic leg ulcers. I  
22 think that is self-explanatory.

23 Zinc chloride spray--magnesium hydroxide ointment.  
24 This spray was used, and I don't think I highlighted that,  
25 but it was used in the incision and OB procedures,

1 vaginally.

2 Does zinc really cure common cold? Zinc has been  
3 profoundly taken internally as lozenges and whatever have  
4 you. Zinc deficiencies. Okay; on a chelate, the worst  
5 possible scenario is that the chelate releases the zinc. So  
6 what do we have in the pharmacokinetic range? We have zinc  
7 and we have 8-hydroxyquinoline.

8 8-hydroxyquinoline and zinc, as a chelate oxine,  
9 is very stable. Sir Alpert did about five years of work on  
10 stability and, as far as the stability of the product goes,  
11 we did confer and provide mathematical constants for the  
12 chelation.

13 Chelation, I might address. I just received--I  
14 have two friends of mine that are pharmaceutical chemists.  
15 They are professors, Ph.D.s at the University of Idaho.  
16 Both of these people have been working on a good assay.

17 The assay for the chelate is very hard to do  
18 because it is non-ionic. You can't draw it out. So the  
19 best possible way is to break it up and bring it out so you  
20 don't have--then, you don't have a good assay. But we have  
21 found a way to analyze a chelate which we are now working on  
22 and will have in place.

23 By the way, our inspection, we gave a deadline of  
24 September 1 to have all of the problems solved and we are  
25 well on the way. We have got about 50 percent of them done

1 already.

2 Zinc, the super mineral. Self-explanatory. Okay;  
3 we have got, important in wound healing, zinc. The element  
4 also functions as an antioxidant. Helpful in treatment of  
5 acne. Hastens healing of peptic ulcers.

6 What diseases are associated with zinc? They have  
7 got pig studies and whatever there. Zinc's little-known tie  
8 to immunity. Zinc, an unsung mineral. This, by the way, is  
9 one-tenth of the information references that we gave to the  
10 division. Zinc and the common cold. Zinc in plasma. I am  
11 not going to spend any time with these. I think they are  
12 pretty--zinc deficiency.

13 What we have here is we have a product that is  
14 made of two components that are not under the direct  
15 division of the FDA, if I may be corrected on that, Dr.  
16 Wilkin? 8-hydroxyquinoline was used in baby oil for many  
17 years. I don't know if many of you are familiar with that.  
18 8-hydroxyquinoline is not in bagbone. Many pediatricians  
19 are writing bagbone for diaper rash. I call them back and  
20 tell them not to use it, it has too much phenol in it.

21 We then put in Dr. Jordan's curriculum vitae to  
22 establish the fact that here is a man that did these  
23 clinical studies in Mexico, at that time under the protocol  
24 set by the FDA which is not the same as it is today. But he  
25 did comply with them.

1           You can see that he was in charge of research at  
2 many places. He has a Ph.D. in microbiology and virology  
3 from the University of Michigan. We could not establish--he  
4 is very ill. Only his wife and the physicians at this time  
5 are able to confer with him, but it kind of establishes the  
6 fact that here is a man of very high quality. As a matter  
7 or fact, he worked for Kettering, Charles Kettering  
8 Institute. He was director of that.

9           Let's go on and--are we running out of time?

10          DR. DRAKE: Mr. Hanson, we are very appreciative--

11          MR. HANSON: Could I go through the slides? I  
12 don't want to seem abrupt, but I will be. To give a whole  
13 day on Lotrisone and then give us about twenty minutes to  
14 give a presentation on a very viable product--I would like  
15 ten more minutes.

16          DR. DRAKE: May I finish, though.

17          MR. HANSON: Sure.

18          DR. DRAKE: Just so you know, the company, the  
19 sponsor's portion was thirty minutes and they were held to  
20 that. So your thirty minutes is up but we would happy to  
21 give you a few more minutes to go through the pictures.

22          DR. DRAKE: I will skip and go to the--all right;  
23 I'll tell you what. Let's go to the pictures. All of the  
24 advisory committee has the pictures?

25          DR. KILPATRICK: Sir, would it not be more

1 advantageous to you and your colleagues if you could get  
2 some of the reactions of members of the committee to what  
3 you presented and what we have in front of us rather than  
4 spending the time taking us through things--

5 MR. HANSON: Could I show the slides?

6 DR. KILPATRICK: I am not the chair, but--

7 DR. DRAKE: Number one, the agency said that they  
8 are not going to approve it as it stands now. We are very  
9 appreciative that you gentlemen have brought this forward.  
10 I think it is wonderful that three people have made this  
11 gallant effort to start a company and do it on your own. It  
12 is very admirable.

13 By the way, Dr. Potestio, I want to tell you how  
14 sorry we are about your wife and about the tragedies that  
15 led you into this, but thank goodness you are using it for  
16 something very positive.

17 So we are very grateful that you brought this  
18 forward. I really admire you guys for doing this. It is  
19 really important that people--America is founded on  
20 individual people doing something or trying to do something  
21 great. My question to you, and I am happy to use the time  
22 either way, is we can spend the next twenty-five minutes,  
23 which you have--which you don't have but the whole committee  
24 has--trying to help you maybe be successful by giving you  
25 some strong or good advice.

1 Or we are happy to go through the pictures. But I  
2 honestly believe that, if I was in your position, this group  
3 is the one that is going to approve it. Maybe you would  
4 like to hear from this committee on how to do your job more  
5 effectively so that you can move forward.

6 MR. HANSON: All right. At this time, I would  
7 like to make another statement. Dr. Jordan, at one of his  
8 last visits, said that if we have too much problem, let's  
9 just go herbal. We have a product that we have made, I have  
10 made and compounded herbally, that we have used on animals,  
11 squamous-cell on cows' eyes. It is a totally herbal  
12 product.

13 We are in a position to go ahead and manufacture  
14 that but we are trying to keep this under the auspices and  
15 the guidance and the protection of the medical profession.  
16 If this was released, organically chelated zinc for skin  
17 care, it would be horrendous. It is something that we just  
18 want. Our patent does state that we do have that ability,  
19 and we do have that ability.

20 So I would like to make that statement at this  
21 time.

22 DR. DRAKE: Thank you. I think now what I would  
23 like to do is, again, thank you for coming forward and thank  
24 you for your efforts. I think this entrepreneurialism is  
25 wonderful.



1 phase I, phase II, phase III protocols that you have heard  
2 from the rest of us.

3 This is my contribution to where I think you have  
4 to go.

5 MR. HANSON: Thank you very much, Doctor.

6 DR. DRAKE: Dr. Stern?

7 DR. STERN: I had a question and, perhaps, a  
8 suggestion. Since we just received this, there may be this  
9 material, but it wasn't clear to me in either presentation  
10 about the status of human studies, committee review, IRB  
11 review for the various protocols that have involved humans.  
12 Certainly, it is my impression that the only information  
13 gathered with appropriate approval of the Human Studies  
14 Protection Committee can be, in fact, even entertained by  
15 the FDA.

16 DR. DRAKE: Dr. Wilkin?

17 DR. WILKIN: There are not IRBs exactly  
18 everywhere. Europe has a somewhat different system. It is  
19 the Declaration of Helsinki.

20 DR. STERN: Right; but some sort of protection.

21 DR. WILKIN: Yes. It is in our regs that that is  
22 to be an important component of the information that comes  
23 to us. Now, the IRB regs, I think, go back to possibly  
24 1978, 1979, something like that. Sometimes, there are data  
25 that precede those. We are not excluded from considering

1 that.

2 If we have a product that is out there that was  
3 studied under questionable circumstances but it is for a  
4 life-threatening kind of situation, it is something that we  
5 would think about, looking at the data; yes.

6 DR. STERN: But is it not helpful to an  
7 investigator to review their protocol with the Committee for  
8 the Protection of Human Subjects?

9 DR. WILKIN: Oh; it is expected that there will be  
10 either the IRB, Declaration of Helsinki and that there will  
11 be consent form.

12 DR. STERN: Informed consent.

13 DR. WILKIN: Yes.

14 DR. DRAKE: Dr. Bergfeld.

15 DR. BERGFELD: I would like to make a few  
16 statements. First of all, I laud you at your attempts to  
17 coming forward with a nonsurgical approach to skin cancers  
18 and, perhaps, others. I think that the clinical photographs  
19 that we have all been looking at are very nicely presented.  
20 One, we can see what has happened.

21 I think that the loss of your databank due to  
22 flood is a major insult to your credibility in moving  
23 forward. I think that you have a great need to somehow  
24 associate yourselves with someone or some company that has  
25 more funds than you do to allow you to go forward with the

1 studies because I think this would be a worthwhile new  
2 therapy for us in medicine.

3 I wouldn't want to be discouraging here because  
4 you have presented us a very unique idea and a very unique  
5 product. We have had some experience with the old Mohs  
6 technique with the zinc chloride as well and we do know, in  
7 dermatology at least, that this was a very effective therapy  
8 and now currently being used for melanoma.

9 I had leaned over to Dr. Jordan here to ask him if  
10 there had been any clinical studies on Dr. Mohs' cases and I  
11 don't believe there have been. They never came for review  
12 by anyone.

13 DR. DRAKE: So we are a step ahead. They at least  
14 came.

15 DR. BERGFELD: You have come. So I think that  
16 gathering up some information, putting it together a little  
17 bit tighter, focusing and perhaps getting one of other  
18 colleagues over here interested in what you have had to say,  
19 might allow you to go forward.

20 DR. DRAKE: Other comments?

21 MR. HANSON: If I could make a statement right  
22 here. Dr. Jordan did have clinical studies that he did in  
23 Mexico on malignant melanoma. He did have excellent  
24 results.

25 DR. DRAKE: Dr. DiGiovanna?

1 DR. DiGIOVANNA: Just a point of clarification.  
2 On page 42, it says that Dr. Jordan is an M.D., Ph.D. He is  
3 the one who did the clinical studies?

4 MR. HANSON: We could not verify that. Due to his  
5 illness, we could not make proper contact so we sent a  
6 letter back to Dr. Wilkin and his division saying that we  
7 could only verify his Ph.D. at this time. So I want to  
8 clarify that. We have clarified that--someone assumed that  
9 he did have an M.D. There was evidence that he did, but we  
10 could not authenticate it.

11 So I sent a letter to Dr. Wilkin and Mary Jean.  
12 You did get that letter? Yes; thank you. That is a good  
13 point.

14 DR. DRAKE: Other comments from committee? Dr.  
15 King?

16 DR. KING: I just had a simple question. It kind  
17 of threw me off looking at the data, and I didn't look at it  
18 very much. I was trying to figure out what the difference  
19 was between using zinc as a fixative and, say, formaldehyde.  
20 It wasn't clear to me you were fixing the tumor or the  
21 process in the skin and then there is surgical removal  
22 because it is almost like repeating so-called Mohs surgery  
23 where you fix it and then cut it out, because when I was  
24 looking at the animal, the cow data, or maybe it was the  
25 Yorkie, it looked like you had fixed it with zinc and then

1 you had gone back and repeatedly cut it out.

2 I guess I am having trouble with what is the exact  
3 protocol.

4 MR. HANSON: Mode of action?

5 DR. KING: No, no; I know what the mode of action  
6 is in one sense. It is a fixative. So you use it for  
7 electron microscopy. What I was asking is what is the  
8 protocol? Is it zinc chloride fixative and zinc  
9 interactions and then you cut it out or you just put it on  
10 there like another chemical Effudex and seeing what happens.

11 The protocol is the problem; I don't know what you  
12 are doing, actually.

13 MR. HANSON: I see. With the Yorkie, he actually  
14 put it on, but he was having trouble getting it to stay on  
15 with the dog. It was such a large fibrosarcoma. So he  
16 called and actually what he did was he put it in an  
17 ointment, which is kind of crude. Anyway, he made a  
18 solution out of it and injected the fibrosarcoma.

19 DR. KING: That would be a version of injecting  
20 formaldehyde or formalin and fixation. It looks to me like  
21 you are doing chemical fixation and then debriding it. That  
22 is a different issue. That is why I was asking you is it  
23 just topical and watch what happens with no scarring or is  
24 it doing surgical removal after fixation?

25 MR. HANSON: No; it wasn't. Actually, the

1 debriding that he made was due to--the healthy tissue was  
2 actually interfering with his work so he had to debride it  
3 to continue on with the topical application. But the  
4 topical application was the final--I see what your point is.

5 DR. KING: I am just having trouble. Rubbing on  
6 the cream is different from rubbing on the cream and then  
7 cutting it out. I never saw a tumor get out of formalin if  
8 it was adequately excised.

9 MR. HANSON: It is kind of interesting. Go ahead,  
10 Dr. Drake, if you want to make some other comments.

11 DR. DRAKE: I think Wilma hit the nail on the  
12 head. I think everybody in dermatology would be very  
13 enthusiastic and eager to have a nonsurgical approach to  
14 skin cancer, or for any other cancer, for that matter. But,  
15 clearly, this is an area in which there is a great demand.  
16 I couldn't support Rob Stern any more by saying that it  
17 really needs to be focused.

18 Finally, I think you need to get--whether you  
19 approach some venture capital folks, or maybe somebody in  
20 this audience that has a company might have an idea to  
21 partner with you, but I think, conceptually, you need a  
22 partner of some sort so that you can do the necessary work  
23 that is credible and scientifically valid so that the agency  
24 and this committee can consider it seriously.

25 But, in terms of concept of needing a nonsurgical

1 approach, that would be very interesting to this committee.

2 MR. HANSON: I have some of the product that we  
3 have made. This was sent for a chemical analysis, by the  
4 way. You can see it is kind of a yucky green. I am going  
5 to do something here in a minute. This actually only  
6 attacks cancer cells. I just put some on my skin and there  
7 is no reaction. I am going to eat it. Isn't that neat?  
8 Call 911, would you?

9 DR. DRAKE: Mr. Hanson, you know what I am going  
10 to do now so that we can use our time wisely, I am going to  
11 poll the panel and ask the panel to each person give you  
12 their single best idea, including you guys.

13 MR. HANSON: That would be great.

14 DR. DRAKE: Let each member of the panel, much as  
15 we did with Schering, I want to ask the panel members to  
16 each give them their one best idea on how to be helpful to  
17 you. I am going to ask the panel to be original. If it has  
18 already been said, think of something new.

19 Eduardo.

20 DR. TSCHEN: An interesting idea. I think more  
21 formal clinical trials will be a great idea, formal clinical  
22 studies.

23 DR. DiGIOVANNA: I am not that original. I have  
24 to agree with Wilma. I think partnering with someone who  
25 has extensive knowledge in formal trials would be helpful.

1 DR. MILLER: The FDA has listed the things that  
2 need to be complied with with the NDA, so each of those  
3 items would have to be complied with before you would be  
4 able to go forward.

5 MR. HANSON: We are addressing those aggressively.  
6 Yes.

7 DR. JORDAN: I think the notion to focus this,  
8 maybe just pick one of these tumors, like basal-cell, and  
9 focus in on those studies, but do them well.

10 DR. DRAKE: That is an excellent idea; do just one  
11 tumor. Wilma, go ahead. You are not off the hook.

12 DR. BERGFELD: Because I am an entrepreneur-like  
13 therapist, I would say that I would hope that you would go  
14 forward. It sounds very interesting to me.

15 MR. HANSON: We will. We are, aggressively.

16 DR. DRAKE: Dr. Stern?

17 DR. STERN: I think I made my suggestion. I think  
18 it will also help to be in contact with an institutional  
19 review board and Protection of Human Subjects.

20 MR. HANSON: Thank you.

21 DR. DRAKE: Jim?

22 DR. KILPATRICK: Again, three words; focus, focus,  
23 focus.

24 DR. STERN: Very good.

25 DR. DRAKE: Actually, I gave Rob credit for that,

1 but it was you. You are exactly right. I'm sorry. Sorry,  
2 Jim. You are the one that had that good idea.

3 DR. STERN: Dr. Kilpatrick just says what I think.

4 DR. DRAKE: Bill?

5 DR. ROSENBERG: I just think you have to realize  
6 that, over the years, individuals have come forward and said  
7 this or that would cure cancer. There are people with  
8 cancer who will turn anywhere for help. Now, with skin  
9 cancer, we have treatments that work. I think to suggest  
10 that we are going to be able to treat to people until we  
11 know so is wrong.

12 So I think the studies need to be done with people  
13 who understand that--either people or animals. I think  
14 starting with animals makes more sense. It is easier to get  
15 permission to study cancer in animals. I think if you were  
16 to work with a veterinary group or an academic veterinary  
17 group and see if you can cure skin cancer in animals, I  
18 think the world would beat a path to your door.

19 But I think you must be very cautious, or more  
20 than cautious. I think you just must realize that there are  
21 too many people who have cancer and are too upset and too  
22 sad who can't just think that somebody has got a cure for  
23 it. You don't want to be in that category.

24 MR. HANSON: I agree with you wholeheartedly. Too  
25 many of my friends have beaten the path to Mexico to look

1 for the wonder drug. When you say there are treatments for  
2 it, are you talking about the excisions? There is no  
3 topical--I think Effudex is no longer recommended for any  
4 type of skin cancer, actinic keratoses.

5 DR. ROSENBERG: There is actually something that  
6 is being studied now.

7 DR. DRAKE: I don't want to go there. I would  
8 like to continue, please, because we are getting close to  
9 adjournment hour and I would like to call on Joe. We are  
10 going to do this other table, too. So, Joe?

11 DR. McGUIRE: You have a concept and you have a  
12 product and you are frustrated because people are skeptical.  
13 That is the way it is going to be until the information is  
14 presented in a way that someone other than you can  
15 understand it. It is very hard for me to understand your  
16 data, here.

17 I think you need to sit down with some friendly  
18 but smart person and ask them how to get your data in shape  
19 so that it is interpretable by someone else. This was a lot  
20 of work but it is also very difficult for an outsider to  
21 understand.

22 DR. DRAKE: Joel?

23 DR. MINDEL: Nothing. Thank you.

24 DR. DRAKE: Jon? I want to go back down here.

25 Dr. Epps?

1 DR. EPPS: Once you have your protocols and your  
2 process together, have as many subjects as you can and also  
3 some controls.

4 MR. HANSON: The control, by the way, was done by  
5 Dr. Jordan down there. He used the same patient. One of  
6 those photos was the cheek. That was a surveyor that was in  
7 Mexico. He put the separate components on other lesions  
8 that this man had and the base and used that type of  
9 control. In other words, the same immune system, the same  
10 person, persons. That was his control.

11 DR. DRAKE: Mr. Hanson, what I want to say is you  
12 are using--another thing, if you are going to come before  
13 this committee again, I think you have to have credible  
14 people. We don't even know, and you don't even know, what  
15 credentials Dr. Jordan has, or Mr. Jordan, or whatever he  
16 is.

17 I think you really have to go back and look at the  
18 credibility of your work because the outside world is not  
19 going to buy in until they understand who is doing the work.  
20 By controls, I guess I would like to elucidate a little bit.  
21 By controls is, you may treat a tumor with this product and  
22 then you go back and remove it by an excisional method, the  
23 traditional way, and look at it histologically and see if  
24 the tumor is all gone.

25 So that is what I am thinking of. When I think of

1 controls, I want to make sure that the tumor is gone and  
2 that this product will do the job.

3 MR. HANSON: Yes; I do

4 DR. ROSEN: Not only focus, focus, focus, but  
5 data, data, data. It is not only the quality of data but  
6 the quantity of data. There is more than one method of  
7 treating skin cancer now, not only excision. Effudex is  
8 still used in actinic keratoses. Superficial multicentered  
9 basal-cell, intralesional interferon. There are immune-  
10 modifier-response drugs that are being studied now for the  
11 treatment of skin cancer.

12 You will have to have data that is in comparable  
13 quantity to perform comparably so that you show that your  
14 product is equally good to the other options but has an  
15 advantage of no surgery, smaller recuperation time, better  
16 cosmetic outcome or something. But you have to have a  
17 quantity of data that will be comparable to the other  
18 methods that are available.

19 I would also echo one of the other comments on the  
20 other side of the room. Human data is, A, hard to come by  
21 and it is risky and requires institutional review board.  
22 Having lost a pet to a very aggressive sarcoma, you could  
23 easily, probably more easily, partner with a veterinary  
24 academic group and do a world of good for treating animal  
25 tumors. You are held to somewhat less stringent standards,

1 if you wanted to start there and at least prove the  
2 viability of your product in one group of mammals.

3 MR. HANSON: Thank you.

4 DR. DRAKE: Steve?

5 DR. FELDMAN: I feel more comfortable if the  
6 studies were clearly blinded as well as controlled.

7 MR. HANSON: Thank you.

8 DR. DRAKE: Lloyd?

9 DR. KING: It has been my experience in life the  
10 great idea whose time has not come is a failure. It  
11 oftentimes fails because it is not presented in a way that  
12 users, or the people who need to concept, understand. So I  
13 think you are going to have to do the focus and set up this  
14 in a way--you have got to speak English to English and  
15 French to French.

16 This is, so far, more a testimonial and not in the  
17 usual format. So I think you have a great idea. You are  
18 just not convincing because you are speaking French to  
19 English folks.

20 DR. DRAKE: With that, the hour is getting quite  
21 late. What I want to do is I want to thank you, Mr. Hanson,  
22 and you, Dr. Potestio, for coming and, once again, warmly  
23 welcome you to the world of investigation. I hope our  
24 comments have been helpful.

25 With that, I would like, then, to--

1 MR. HANSON: If I could take a minute and thank  
2 the advisory committee for their patience with us and good  
3 advice.

4 DR. DRAKE: We wish you great success.

5 MR. HANSON: We are going to market this. Thank  
6 you.

7 DR. DRAKE: Dr. Wilkin?

8 DR. WILKIN: Dr. Drake, we thank the invited  
9 guests, the experts, the sponsor for their earnest  
10 presentation, the members of the committee and you, the  
11 Chair, for the comments. They have been helpful for us.  
12 They were directed at the sponsor, but they were helpful for  
13 us to hear as well. Thank you.

14 DR. DRAKE: Is there any further business to come  
15 before this committee? We stand in recess until tomorrow.


16 [Whereupon, at 5:15, the proceedings were recessed  
17 to be resumed at 8:30 a.m., Friday, June 30, 2000.]

18

- - -

**C E R T I F I C A T E**

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in dark ink and is positioned above a solid horizontal line.

**ALICE TOIGO**