

1 this when the pharmacist gives them their counseling? That  
2 is where it should be, it should be at the pharmacy  
3 counseling. The physician may decide on what to give but  
4 the pharmacist is going to give it month to month, in an  
5 out.

6 Usually in counseling -- I know in New York State,  
7 where I am, in a very litigious area, everyone signs for  
8 everything, and they sign that they want counseling or not.  
9 Shouldn't the patient have basically an informed consent so  
10 that they, as the ones who determine whether they have the  
11 illness or not, be informed even more than the  
12 professionals?

13 There is one other comment that I would like to  
14 make, and that is the use of the databases that you are  
15 talking about. You talk about Medicaid, and my experience  
16 is with the New York State Medicaid -- these are claims  
17 databases. These are not scientific databases. They are  
18 really good for claims on drugs, but for doing scientific  
19 research they have a lot of shortcomings. Thank you.

20 DR. KENT: I will answer the first part and ask  
21 Dr. Andrews to talk about the databases. Lotronex will have  
22 a patient package insert that we are discussing with the  
23 FDA. It is not in your briefing document today because it  
24 is early in its formation but there will be a patient  
25 package insert.

1           In terms of signing an informed consent, we don't  
2 think that is appropriate. If you want to do that for  
3 Lotronex, then most of the drugs you prescribe you would  
4 have to have an informed consent for, if you think about  
5 their potential complications.

6           We do agree that counseling of the patient is very  
7 important. From our perspective, the two professionals who  
8 should be doing that are, number one, the physician -- and  
9 we keep talking about how the physicians aren't doing their  
10 job but that is what they are licensed to do; that is what  
11 they are supposed to do. If they don't do that, then  
12 medical licensing boards and, unfortunately, malpractice  
13 lawyers and things like that -- we do have checks and  
14 balances but we have an intermediary who is supposed to be  
15 teaching patients. We can do a certain amount that you have  
16 seen us present, and we certainly welcome all of your  
17 suggestions about the program that we are proposing. We  
18 cannot sit there, in the physician's office, with the  
19 patient and say, "you, sir, are a thoughtful, careful,  
20 understanding physician and you have portrayed this  
21 correctly to your patient," and "you, sir," or "ma'am, are a  
22 sloppy, uncaring physician and this patient is in great  
23 danger." We can't be there to do that.

24           Let me ask Dr. Andrews to talk about the  
25 databases.

1 DR. ANDREWS: Well, you are absolutely right, not  
2 all databases are robust for all research questions. What I  
3 can say is that we have selected for our studies databases  
4 that have a proven track record, as well as investigators  
5 who have a very careful approach to using these databases,  
6 and each of these has been very useful in the past in  
7 evaluating specific issues relating to drug safety.

8 The key issue is to take the research questions  
9 and make sure that you develop the appropriate methodology,  
10 including the appropriate database, and if the outcomes can  
11 be well defined, then utilize those databases that are  
12 appropriate. In the case of ischemic colitis, the  
13 difficulty is that there is a broad spectrum of disease  
14 including acute and transient cases that may not even come  
15 to medical attention. We will miss those cases --  
16 absolutely, but we have confidence that we will be able to  
17 evaluate cases representing symptomatology that could be  
18 possible cases, as well as those cases that are defined. In  
19 terms of complications of constipation, serious  
20 complications such as perforation, should be very easy to  
21 identify in a database, supplemented with, again, the  
22 ability to abstract medical records for further detail.

23 DR. HANAUER: You have a follow up?

24 DR. BLUM: Just one follow up, in using those  
25 databases, have you decided on any way to look for

1 hepatotoxicity? Whereas the constipation and the ischemic  
2 colitis are very vocal, the hepatotoxicity can be very  
3 silent.

4 DR. ANDREWS: A very good question. We have not  
5 explored that in great detail because we don't feel we have  
6 a signal in that area. There is certainly a lot of research  
7 relating to use of a variety of methods in epidemiology to  
8 evaluate hepatotoxicity.

9 DR. HANAUER: Mr. Hull?

10 MR. HULL: I just wanted to make one follow-up  
11 comment to Dr. Kent's reference to the patient package  
12 insert. The patient package insert is a comprehensive part  
13 of our communications program, and will be made available  
14 not only in the patient sample distributed by physicians,  
15 but also in the form of a separated patient package insert  
16 available at the pharmacy level. So, we have multiple  
17 mechanisms and use of repetitive mechanisms to ensure  
18 patients get the appropriate information.

19 DR. HANAUER: Dr. Kramer?

20 DR. KRAMER: This is a related question. In terms  
21 of your communications package, some of the points of  
22 communications or channels are directed to the patient and  
23 one thing I noticed was that yellow important information  
24 sticker. That seems a little non-specific to me, and I  
25 wonder if there is any research, if there is knowledge on

1 the effect that that sticker has as opposed to other  
2 messages, including size of the sticker and what it says.  
3 The patient would have no way of knowing what that  
4 information inside is. It might be a more detailed  
5 description of all the benefits to the drug. For example,  
6 how would a patient know that there is a reference to actual  
7 warnings inside?

8 MR. HULL: Thank you for the question. I would  
9 like to reenforce that the entire communications program is  
10 a multi-faceted program and it doesn't rest upon any one  
11 component; it is the integration of all the components. I  
12 tried to elucidate that the components of the program that  
13 we have for the healthcare practitioners as well as the  
14 office staff, the hospital and retail pharmacies, as well as  
15 the patients all work together. Then we use those vehicles  
16 in a repetitive manner to communicate the important  
17 information around appropriate patient selection and  
18 management of constipation, as well as the early  
19 identification of ischemic colitis. So, we see these as a  
20 comprehensive effort to get important information not only  
21 to healthcare professionals but to patients as well.

22 DR. HANAUER: Last question and then we are going  
23 to take a break.

24 MR. LEVIN: In terms of the patient package  
25 insert, are we talking about a medication guide as defined

1 in regulation as to format?

2 DR. KENT: We haven't discussed that with the FDA.  
3 What we are working on is a patient package insert. Again,  
4 our understanding is the med. guide, at least as defined by  
5 FDA, would be used in a very select number of drugs, drugs  
6 with very serious risk-benefit problems. Based on that  
7 definition, again, we would maintain this drug does not fall  
8 into that category.

9 DR. HANAUER: That is one of the final questions  
10 that is coming to us for recommendations to the agency. We  
11 will take a 15-minute break and resume at 11:10.

12 [Brief recess]

13 DR. HANAUER: We will have the FDA presentation  
14 that is going to be initiated by Dr. Victor Raczowski.

15 **FDA Presentation**

16 **Benefit-Risk Reevaluation of Marketed Drugs**

17 DR. RACZKOWSKI: Dr. Hanauer, members and guests  
18 of the advisory committee, ladies and gentlemen, FDA is  
19 convening this meeting of the Gastrointestinal Drugs  
20 Advisory Committee to discuss benefit-risk reevaluation of  
21 marketed drugs.

22 [Slide]

23 As you know, FDA approved Lotronex, for treatment  
24 of irritable bowel syndrome in women in whom diarrhea was a  
25 predominant bowel symptom, in February of this year. Since

1 then, FDA has received additional safety reports suggesting  
2 that a reevaluation of the benefit-risk profile of Lotronex  
3 is indicated.

4 [Slide]

5 FDA's presentations will have several themes.  
6 First, we will discuss the benefit-risk evaluation of  
7 Lotronex. We will specifically talk about the potential  
8 benefits of Lotronex, the potential risks of Lotronex and,  
9 importantly, how the benefit and risk are combined for  
10 Lotronex.

11 We will also discuss risk management options that  
12 are available, such as labeling changes including medication  
13 guides, patient education, advertising, drug distribution  
14 limitations and withdrawal.

15 Finally, we will discuss assessing the impact of  
16 risk management interventions. In other words, FDA believes  
17 that it is not sufficient to just institute a risk  
18 management intervention without evaluating whether or not  
19 that intervention is having the desired impact or desired  
20 goal. Thus, in order for risk management interventions to  
21 be meaningful, they should have established goals and should  
22 be followed by an evaluation of whether those goals have  
23 been achieved.

24 [Slide]

25 There will be several FDA presentations. I will

1 begin by talking primarily about the benefit reevaluation of  
2 Lotronex. I will be followed by Dr. Hugo Gallo-Torres who  
3 will discuss the safety aspects related to gastrointestinal  
4 serious adverse events associated with Lotronex.

5 [Slide]

6 I will then return and discuss various risk  
7 management options. Subsequently, Dr. Nancy Ostrove will  
8 specifically discuss medication guides.

9 [Slide]

10 Dr. Evelyn Rodriguez will discuss risk  
11 intervention studies, including two case studies in which  
12 labeling has been used and the desired impact of labeling  
13 has been assessed. Finally, I will summarize the issues.

14 [Slide]

15 As stated in the package insert, Lotronex is  
16 indicated for the treatment of irritable bowel syndrome in  
17 women whose predominant bowel symptom is diarrhea. The  
18 indication for Lotronex in the currently approved labeling  
19 states that the safety and effective of Lotronex in men have  
20 not been established.

21 [Slide]

22 The primary issues associated with benefits of  
23 Lotronex revolve around two principal questions: Limiting  
24 the administration of Lotronex to target populations and/or  
25 limiting the administration of Lotronex only to responders.

1 [Slide]

2 Regarding the first issue, if a drug is not  
3 effective in a population taking the drug, then those  
4 patients experience risk from taking the drug without  
5 benefit. Similarly, going to the second issue, if a drug is  
6 not effective in an individual taking the drug, that is, if  
7 a patient is a non-responder, that patient experiences risk  
8 without benefit.

9 [Slide]

10 Let us look at the first issue, limiting Lotronex  
11 administration to the desired target population.

12 [Slide]

13 What is the target population for Lotronex? As  
14 stated in the indication, Lotronex is indicated for women  
15 with diarrhea-predominant IBS. It is not indicated for  
16 women with other subtypes of IBS. As an example of this,  
17 and there were some questions that came up in the previous  
18 discussion, some subgroup analyses from the two principal  
19 phase III studies performed by the sponsor suggested that  
20 Lotronex may not be effective in women with IBS who have a  
21 pattern of alternating diarrhea and constipation. In other  
22 words, when the primary endpoint was assessed between the  
23 placebo group and the Lotronex treatment group in the  
24 subgroup of patients, the results were similar in terms of  
25 the percent of patients responding to either placebo or to

1 Lotronex.

2 [Slide]

3 There is also a need for a legitimate diagnosis of  
4 irritable bowel syndrome. Lotronex should be used only in  
5 women with a genuine diagnosis of diarrhea-predominant  
6 irritable bowel syndrome. It should not be used in women in  
7 whom a casual diagnosis of IBS has been made. It should  
8 also not be used in patients who have been misdiagnosed with  
9 IBS because of symptoms that are masquerading as IBS.

10 And, we are interested in hearing the advisory  
11 committee's input as to what sorts of things we can do from  
12 a risk management perspective that can ensure that patients  
13 who are administered Lotronex are those patients who do have  
14 a genuine, legitimate diagnosis of diarrhea-predominant  
15 irritable bowel syndrome. Again, patients who are receiving  
16 Lotronex because of an inappropriate, casual diagnosis or a  
17 misdiagnosis of IBS will be exposed to the risks of the drug  
18 but to its potential benefits. So, we are also interested  
19 in finding from the advisory committee whether there are any  
20 specific criteria or tests needed for selection of women who  
21 might benefit from the drug's use.

22 [Slide]

23 Lotronex is not indicated for men. Data from  
24 phase II trials suggested that Lotronex is not effective in  
25 men even at dosages eight times that used in women.

1 Lotronex is not indicated for pediatric use, and this is  
2 primarily just from a lack of data in that population.

3 [Slide]

4 Moving to the second issue, limiting the  
5 administration of Lotronex to responders --

6 [Slide]

7 Lotronex is a drug with a modest beneficial effect  
8 in terms of the percentage of patients who benefit from the  
9 drug. I will explain the issue and then I will run through  
10 the data demonstrating this. Forty percent of women with  
11 diarrhea-predominant IBS who took Lotronex did not improve.  
12 Another 40 or 50 percent of those patients improved  
13 spontaneously or due to other factors, for example, a so-  
14 called placebo effect. Improvement attributable to Lotronex  
15 was only demonstrated in about 10-20 percent of patients.

16 [Slide]

17 The data on this slide and the following slide  
18 come from pages 180 and 181 of the briefing document. These  
19 data are in tabular form. This is the FDA's briefing  
20 document. Similar data in graphical format can be found on  
21 page 259 of the briefing document from the approved package  
22 insert, which is page 6 of the package insert, and Glaxo  
23 Wellcome has presented that data graphically, or very  
24 similar data earlier today.

25 Let me run through these data. There were two

1 principal phase III efficacy studies demonstrating the  
2 efficacy of Lotronex. These data, shown on this slide are  
3 only the data from the diarrhea-predominant subgroup,  
4 looking at the primary endpoint of a clinical trial, the  
5 percentage of patients who experienced adequate relief of  
6 abdominal pain or discomfort, and it is expressed in terms  
7 of monthly relief. These particular analyses were done by  
8 the FDA statistician, and here is a last observation carried  
9 forward analysis, and they are largely consistent with the  
10 data that have been presented at previous advisory  
11 committees by the company and they are currently in the  
12 package insert.

13 Both trials were 3-month trials in terms of when  
14 the patients were randomized to treatment, either placebo or  
15 to Lotronex at 1 mg b.i.d. Again, all the patients in the  
16 trial were women with diarrhea-predominant IBS. If we look  
17 at the response to placebo, we see that roughly 40 percent  
18 of women at any given month had an apparent response based  
19 on the primary endpoint. If we look at the Lotronex  
20 response, we see a slight increment at month 1, from 39  
21 percent to 50 percent. Thus the effect that is attributable  
22 to Lotronex is only the difference between the two, about 11  
23 percent. Similarly, at month 2 the difference between 58  
24 percent on Lotronex and 43 percent shows an effect  
25 attributable to Lotronex of only 15 percent. Finally, at

1 month 3, 60 percent minus 41 percent, and an effect  
2 attributable to Lotronex of only 19 percent.

3           If you ask the question then of all the women who  
4 responded or who appeared to respond what percentage of them  
5 had a response that was attributable to placebo or to other  
6 spontaneous factors, those results are shown in column 3,  
7 simply by dividing 39 by 50 percent. You can see that  
8 between 68 percent and 78 percent of patients in this trial  
9 had an effect that was not attributable to Lotronex but was  
10 attributable to other factors, such as spontaneous  
11 improvement or other unknown factors related to the trial.  
12 In other words, out of 10 women who appeared to benefit from  
13 the effects of Lotronex, 7 or 8 of them are not improving  
14 from the effects of Lotronex but, rather, they are improving  
15 spontaneously or due to other factors. Only 2 or 3 of these  
16 patients are improving due to an effect attributable to  
17 Lotronex.

18           [Slide]

19           These are the data from the second major efficacy  
20 study, study 3002. Again, we see very similar results. We  
21 have a placebo effect or spontaneous improvement effect  
22 ranging between 40 and 47 percent. If we look at the  
23 effect attributable to Lotronex, it is only 19 percent at  
24 month 1; 12 percent at month 2; and approximately 16 percent  
25 at month 3. Again, we see very similar numbers in terms of

1 the percentage of those who improve who have an effect  
2 attributable to Lotronex, only 2 or 3 of those patients out  
3 of 10.

4 [Slide]

5 What conclusions do we draw from this? Of women  
6 with diarrhea-predominant IBS who take Lotronex and improve,  
7 between 68 and 80 percent improve spontaneously or due to  
8 other factors not attributable to Lotronex. Many of these  
9 patients may continue to take Lotronex because of a false  
10 belief that improvement is due to a drug when, in fact,  
11 improvement is probably due to other factors.

12 [Slide]

13 These patients are exposed, possibly chronically  
14 because they believe that they are experiencing a drug  
15 benefit, to risks of the drug without benefit from the drug.

16 So, questions that we would like to pose before  
17 the advisory committee's perspectives on terminating  
18 treatments in patients who fail to respond to Lotronex, and  
19 how such patients can be identified.

20 Similarly, we would be interested in identifying  
21 specific conditions that should be met before the drug is  
22 used on a long-term basis. Are there ways by which  
23 responders can be identified, or are there things that the  
24 sponsor could do to identify such responders?

25 [Slide]

1           The overall conclusions about the benefit are that  
2 Lotronex is a palliative, not curative, treatment for IBS.  
3 Lotronex has not been shown to prevent progression of IBS  
4 symptoms. The proportion of women who have benefit  
5 attributable to Lotronex is modest.

6           One point which is not made on that slide is that  
7 in the two phase III studies women with severe abdominal  
8 pain and discomfort were specifically excluded from the  
9 study. Therefore, the effects of Lotronex in women who have  
10 the most severe abdominal pain and discomfort is not known  
11 simply because there is not data because those patients were  
12 excluded from the phase III studies. Because it is not on  
13 the slide, I will say that again -- women with severe  
14 abdominal pain or discomfort were excluded from the phase  
15 III study. Therefore, we do not have data on the patients  
16 who are most severely affected with abdominal pain and  
17 discomfort.

18           [Slide]

19           The administration of Lotronex only to target  
20 population would help optimize the benefit-risk ratio for  
21 the drug. Finally, administration of Lotronex only to true  
22 responders would help optimize the benefit-risk ratio. We  
23 would be interested in the advisory committee's input on  
24 these issues.

25           I will now turn the podium over to Dr. Hugo Gallo-

1 Torres who will discuss Lotronex and serious adverse events  
2 of the gastrointestinal tract.

3 **Serious Adverse Events of the Gastrointestinal Tract**

4 [Slide]

5 DR. GALLO-TORRES: Dr. Hanauer, members and guests  
6 of the advisory committee, ladies and gentlemen, I am going  
7 to briefly summarize Lotronex-associated serious adverse  
8 events of the GI tract.

9 [Slide]

10 This is a summary of the type of events that we  
11 have seen. The type of events can be categorized under  
12 colonopathies or hepatotoxicity. The colonopathies have  
13 been either constipation associated or ischemic colitis.  
14 So, we have one, two and three categories. There were no  
15 cases of constipation-associated colonopathies before  
16 approval. There were 4 cases of ischemic colitis and 1 of  
17 hepatotoxicity, for a total of 5 hospitalizations pre-  
18 approval.

19 There have been 7 cases of constipation  
20 associated, 8 of ischemic colitis and 2 additional cases of  
21 hepatotoxicity post-approval, for a total of 7 constipation  
22 associated, 12 ischemic colitis, 19 total colonopathies and  
23 3 cases of hepatotoxicity.

24 [Slide]

25 These 7, 12 and 3 are reproduced again here. Of

1 the 7, 6 have required hospitalization. Of these 12,  
2 ischemic colitis cases, 8 have required hospitalization, and  
3 of these 3, 2 have required hospitalization, for a total of  
4 16 cases that have required hospitalization up to June 1,  
5 the cut-off time for this particular set of data. Of the 6  
6 constipation-associated cases, 3 have required surgery up to  
7 June 1. No case of ischemic colitis has required surgery.  
8 We asked Dr. Mangel to refer to this new case that he  
9 summarized for you because we believe that is the first case  
10 of ischemic colitis that has required surgery.

11 [Slide]

12 Again going to hepatotoxicity, there were 3  
13 serious adverse events, 2 post-approval, 1 before approval,  
14 and 2 have required hospitalization. I am going to refer to  
15 every one of these three cases very briefly.

16 [Slide]

17 I am going to refer to patient 1, patient 2 and  
18 patient 3. All 3 patients were females. This one was 33  
19 years old; this, 75 years old; and this, 80 years old. The  
20 adverse event in this patient occurred 22 days after  
21 initiation of therapy; this patient, on the first day; and  
22 this patient, 35 days after the beginning of the therapy.  
23 One important point I would like to make is that all these  
24 patients were on co-medications. All three patients were  
25 receiving multiple medications.

1 [Slide]

2 Again referring to patient 1, 2 and 3, and very  
3 briefly referring to the symptoms and signs that these  
4 patients had, this particular patient had depression and was  
5 overweight. The second patient had congestive heart  
6 failure, ascites, COPD and renal failure. We do not know  
7 what signs and symptoms patient 3 had.

8 What did the abnormal laboratory tests consist of?  
9 They all consisted of elevations in liver function tests.  
10 Specifically, transaminases, ALT, AST, alkaline phosphatase  
11 in all 3 cases and elevation of bilirubin in the first  
12 patient that was described in detail in the first advisory  
13 committee on alosetron.

14 Upon discontinuation of the medications in patient  
15 1 and patient 3 all liver function tests became normal. We  
16 have no idea what happened to the liver function tests for  
17 patient 2 upon the challenge.

18 [Slide]

19 Turning to the colonopathies, specifically to  
20 ischemic colitis again, there was a total of 12 serious  
21 adverse events, 4 occurring pre- and 8 post-approval, and 8  
22 of these 12 required hospitalization and I referred to 1  
23 case of surgery before.

24 [Slide]

25 There is no such thing as representative or

1 typical case of ischemic colitis, from what we have heard  
2 this morning. These cases, especially the ones from the  
3 spontaneous reporting system, are by definition incomplete  
4 but I chose these cases to make a few points.

5 This is a patient, a 53-year old female who had  
6 diarrhea-type IBS. She had history of diverticular disease,  
7 and one of the first points I want to make is that several  
8 patients have been shown to have diverticular disease. This  
9 particular patient was put on this antibiotic for suspected  
10 diverticulitis and, indeed, if we look at all the cases of  
11 ischemic colitis there have been co-medications such as ERT,  
12 estrogen replacement therapy, and at least in one case  
13 imetrex, and these two medications, as mentioned by Dr.  
14 Wolfe this morning, have been associated with ischemic  
15 colitis.

16 The patient was treated with alosetron 1 mg b.i.d.  
17 for 2 days. She was hospitalized for 2-3 days because of  
18 rectal bleeding. Here is another point I would like to  
19 stress to you. All patients with ischemic colitis have  
20 experienced hematoctetia, rectal bleeding. On CT, this  
21 patient had thickening of the splenic flexure, which was  
22 compatible with colitis or ischemic colitis and, again, up  
23 to the case described by Dr. Mangel this morning we have not  
24 seen the thumb-printing, the typical description of ischemic  
25 colitis in a textbook. Colonoscopy in this patient

1 confirmed ischemic colitis. And, this is one more point I  
2 would like to stress, this is the way to diagnosis ischemic  
3 colitis, by colonoscopy, and in this particular case the  
4 histopathology confirmed ischemic colitis.

5 So we were looking, more or less, for  
6 characteristics of these patients to look for risk factors,  
7 predisposing factors and so on.

8 [Slide]

9 In summary, we have under presentation and  
10 diagnostic criteria rectal bleeding that I mentioned before,  
11 hematoecetia; abdominal pain; and bloody diarrhea. The  
12 duration of treatment at onset has been anywhere from 2 to  
13 54 days. The abdominal CT scan has included mural  
14 thickening of varying degrees of severity in the small and  
15 the large bowel.

16 [Slide]

17 Colonoscopy has shown in the case of ischemic  
18 colitis patchy, friable, ischemic or hyperemic, edematous  
19 mucosa with erosions that later become necrotic, ulcerated  
20 and hemorrhagic with mucosal sloughing. The  
21 histopathological findings have consisted of mild edema of  
22 the lamina propria, focal coagulation necrosis of  
23 superficial crypts. So, up to the case described by Dr.  
24 Mangel, the lesion appears to be superficial. Indeed,  
25 normal architecture and spacing of deeper crypts have been

1 described. With this case, now I am not sure.

2 [Slide]

3 Turning to constipation-associated colonopathy, we  
4 have seen a total of 7. All of them occurred post-approval;  
5 no case pre-approval and 6 of the 7 have required  
6 hospitalization and 3 of these have required surgery.

7 [Slide]

8 Going through the same model that I applied  
9 before, I am going to talk very briefly about the 3 patients  
10 that required surgery, patient 1, patient 2 and patient 3.  
11 The 3 patients were female. This patient had alternating  
12 IBS. The serious adverse event in this patient happened 27  
13 days after the initiation of therapy; this one, on the  
14 second day; and in this patient, 17 days -- the slide is  
15 wrong. It is 17 days, not 7 days.

16 The specific symptoms and signs in these patients  
17 were cramping, abdominal pain, fecal impaction, nausea and  
18 vomiting. In this patient, abdominal pain, fecal impaction  
19 and distention. And, abdominal pain, fever and peritoneal  
20 signs in the third patient.

21 [Slide]

22 The specific complications consisted of  
23 perforation of the sigmoid colon. It was an abscess in this  
24 patient. Small bowel obstruction. There was also active  
25 colitis in patient 2, and toxic megacolon, gangrenous

1 colitis, and transmural ischemia in this patient who also  
2 had bacteremia as well as heart and renal failure.

3 [Slide]

4 The specific surgical procedure consisted of  
5 repair of the sigmoid colon perforation in this patient;  
6 temporary decompression and colostomy in patient 2; and  
7 total colectomy. This patient had the entire colon removed,  
8 and ileostomy.

9 [Slide]

10 Briefly summarizing constipation, there was 1 case  
11 of fecal impaction that did not require hospitalization, and  
12 this patient experienced abdominal pain and constipation.

13 [Slide]

14 There have been 3 cases of fecal impaction that  
15 were hospitalized but that did not require surgery. One  
16 patient had abdominal pain and constipation. The next had  
17 abdominal pain and small bowel obstruction. The third had  
18 abdominal pain, bowel obstruction. She also had a stercal  
19 ulcer in the distal transverse colon and ischemic  
20 ulceration.

21 [Slide]

22 Finally the three cases that needed surgery, that  
23 were hospitalized, of course, one because of small bowel  
24 obstruction that required temporary decompression. The  
25 second, perforation of the sigmoid colon that required

1 repair of the perforation. And, the third case that I  
2 mentioned to you, of toxic megacolon, gangrenous colitis and  
3 transmural ischemia that required total colectomy with  
4 ileostomy.

5 [Slide]

6 I would like to finish my brief presentation by  
7 reminding you that Lotronex is a good medication for IBS.  
8 Nevertheless, it is palliative; it is not curative. It is  
9 symptomatic, and it has not been shown to prevent  
10 progression of symptoms.

11 [Slide]

12 I would like to remind you further that irritable  
13 bowel syndrome is a functional gastrointestinal disorder  
14 whose natural history is not associated with life-  
15 threatening sequelae, progression to colonic organic  
16 disease. It is certainly not associated with ischemic  
17 colitis, and most certainly not associated with constipation  
18 that may require surgery.

19 That is all I have to say, Mr. Chairman.

20 **Risk Management Options**

21 [Slide]

22 DR. RACZKOWSKI: I would now like to review some  
23 risk management options that are available to both FDA  
24 and/or to Glaxo Wellcome in terms of the risk management  
25 program for Lotronex.

1 [Slide]

2 As is summarized in the briefing document, there  
3 are several risk management options for marketed drugs.  
4 These include labeling, communications and educational  
5 programs, advertising, packaging, restricted distribution  
6 and withdrawal. An additional item which is not included  
7 here, which has been alluded to by the sponsor, has to do  
8 with performing additional studies in order to understand  
9 risk factors and etiologies for various adverse events.

10 [Slide]

11 I will briefly run through these options. When we  
12 talk about labeling, we talk both about the label which is  
13 on the immediate container and the outer package -- that is  
14 the sticker that defines the identity of the product, the  
15 milligram or weight of the product, and those sorts of  
16 things, including expiration and stability. But primarily,  
17 I think what we will be focusing on in this advisory  
18 committee is information that is provided either to  
19 professionals or to patients. That can be in two forms,  
20 either as a package insert for prescription drugs, and those  
21 package inserts include both the professional labeling as  
22 well as the patient package insert. A new mechanism that we  
23 now have in order to communicate with patients are  
24 medication guides.

25 [Slide]

1 I will briefly discuss these items about the  
2 patient because Nancy Ostrove, who will be following me,  
3 will be discussing them in more detail. But the first two  
4 items, the patient package inserts and medication guides,  
5 are items that can be used to inform patients about the  
6 benefits and risks of the drug and how to recognize those  
7 risks, should they occur.

8 What a patient package insert is basically, and  
9 Lotronex currently does have a patient package insert  
10 appended to the labeling, is basically an extension of the  
11 professional labeling and it can be distributed to patients  
12 when the drug is dispensed, however, that is not required.  
13 Important information about the drug is communicated in lay  
14 language.

15 [Slide]

16 A medication guide, which Dr. Ostrove will talk  
17 about in some detail, is an information leaflet for  
18 patients. In contrast to patient package inserts, FDA can  
19 require a medication guide. This is a relatively new  
20 mechanism that we now have in order to inform the patients  
21 about the benefits and risks and important things to be  
22 aware of when they take drugs, and these must be distributed  
23 to patients when the drug is dispensed and they may be used  
24 with unit-of-use packaging to enforce distribution.

25 [Slide]

1 I would now like to turn to professional labeling.  
2 The professional labeling for Lotronex, the sections that we  
3 have been working with Glaxo Wellcome on in terms of  
4 improving the risk management for this drug include the  
5 indications and usage section, the contraindications  
6 section, warning section, precautions, adverse events and  
7 the patient package insert.

8 [Slide]

9 Warnings sections describe serious adverse  
10 reactions and potential safety hazards. They also describe  
11 limitations in use imposed by serious adverse reactions, and  
12 they describe steps that should be taken if serious adverse  
13 reactions occur.

14 [Slide]

15 In order to qualify as a warning, there is only  
16 need for reasonable evidence of an association of a serious  
17 hazard with a drug. A causal relationship need not have  
18 been proved.

19 [Slide]

20 Boxed warnings are one mechanism, and a primary  
21 mechanism by which the prominence of a warning can be  
22 increased, particularly to healthcare providers. Boxed  
23 warnings refer to special problems, particularly those that  
24 may lead to death or serious injury, and these can be  
25 required by FDA and they are ordinarily based on clinical

1 data.

2 [Slide]

3 However, they may also be based on serious animal  
4 toxicity and, in general, the specific frequency of serious  
5 adverse reactions and, if known, approximate mortality and  
6 morbidity rates are included in boxed warnings.

7 The principal consequence of a boxed warning on  
8 advertising is that there are no reminder ads. Dr. Ostrove  
9 will be able to talk a bit more about what reminder ads are.  
10 Basically, those are ads in which simply the name of the  
11 product appears, for example, on a pen without any  
12 additional safety or efficacy information about the drug.

13 [Slide]

14 Well, how are boxed warnings used? This gets to  
15 the issue of when does FDA make a determination about when  
16 to request or impose a boxed warning. There are two major  
17 criteria. One is when there is an adverse reaction that is  
18 serious in proportion to the potential benefit or, when the  
19 benefit-risk should be considered before a drug is  
20 prescribed. For example, the physician and patient together  
21 may choose to either put the patient on alternative  
22 medication, or not to use the medication, or to put the  
23 patient on the medication before the drug is prescribed.

24 [Slide]

25 How are they used? Well, serious adverse

1 reactions that are preventable or decreased in frequency or  
2 severity by appropriate patient selection, for example, are  
3 often included as boxed warnings. Or, if careful monitoring  
4 is required, and example of this might be liver function  
5 tests to monitor for hepatotoxicity. Or, if there is a need  
6 to avoid certain concomitant therapy, or the specific need  
7 to avoid using the drug in a specific clinical situation.

8 [Slide]

9 They are also sometimes used for contraindicated  
10 situations or just to communicate important risk-benefit  
11 information about a drug. An example of this is when a drug  
12 is the only one in a class to have a particular risk that  
13 makes it inappropriate for first-line therapy.

14 We do have some preliminary data on some  
15 comparative agents that are used in the treatment of  
16 irritable bowel syndrome with drugs such as Imodium, Pepto  
17 Bismal, Lomotil and opium. For Pepto Bismal, Lomotil and  
18 opium, in the last roughly 25 or 30 years, there have been,  
19 according to our preliminary reports, less than a handful of  
20 adverse events reported as constipation. Constipation for  
21 Imodium, in contrast -- our preliminary reports indicate  
22 that there are several hundred reports of constipation.  
23 However, only seven of these have resulted in  
24 hospitalization. Again, this goes back to 1977.

25 [Slide]

1           So, the question has arisen should Lotronex have a  
2 black box, and the sponsor had requested the opportunity to  
3 discuss black boxes at this advisory committee. Well, with  
4 constipation there is a clear causal relationship of  
5 Lotronex with this adverse event. It is a dose-related side  
6 effect that occurred in 25-30 percent of patients who  
7 received Lotronex in clinical trials. About 10 percent of  
8 patients who took Lotronex in clinical trials had to  
9 discontinue the drug permanently because they could not  
10 tolerate it.

11           As Dr. Gallo-Torres has summarized, we have seen  
12 constipation reported as a serious adverse event now in 7  
13 patients taking Lotronex, and 6 of these patients have been  
14 hospitalized and 3 underwent surgery.

15           [Slide]

16           Another possibility is a boxed warning for  
17 ischemic colitis. Again, in the initial clinical trial  
18 database the causal relationship to Lotronex was suggested  
19 but it was unclear. There were only 4 cases of ischemic  
20 colitis that were reported prior to approval. However,  
21 since approval, and using the cut-off date of June 1, 2000,  
22 we have seen an additional 8 reports, for a total of 12  
23 reports and we believe, because of the frequency of these  
24 adverse event reports and a lack of other explaining  
25 factors, that there is a causal relationship to Lotronex.

1 FDA is also concerned about the lack of serious  
2 sequelae from ischemic colitis. So far, none has resulted  
3 in colectomy or death but we question whether that is a  
4 reassuring finding at this point, that none of these cases  
5 of ischemic colitis has gone on to more serious  
6 complications.

7 [Slide]

8 So constipation, again, has a clear causal  
9 relationship with Lotronex. I think I have summarized these  
10 data before -- 7 patients taking the drug, 6 patients were  
11 hospitalized, 3 underwent surgery. These were serious  
12 adverse event reports.

13 [Slide]

14 Additional risk-management tools that can be used  
15 are communication and educational programs. There are a  
16 number of options that can be used either by the FDA and/or  
17 the sponsor. These include "dear healthcare practitioner"  
18 letters and mailings by the sponsor, press releases, talk  
19 papers which is something that the FDA does, or health  
20 advisories to communicate serious health risks.

21 [Slide]

22 It is important to remember that communications  
23 should be geared not only to healthcare practitioners but  
24 also to consumers. And, educational programs by sponsors  
25 can be directed to healthcare practitioners to ensure the

1 optimal prescribing and implementation of necessary  
2 precautions.

3 [Slide]

4 Other options include educational programs by  
5 sponsors for the public or patients through toll-free  
6 numbers, Internet sites, newsletters and collaborative  
7 efforts with patient advocacy groups, and also in sales  
8 force outreach. I do believe that the sponsor has presented  
9 several of these.

10 [Slide]

11 In addition, advertising can be modified as part  
12 of the risk management program. Advertising can be  
13 restricted to general type in order to ensure that the drug  
14 is prescribed by physicians who are most experienced in the  
15 disease entity or the use of those types of drugs, and there  
16 can also be a voluntary restriction of direct to consumer  
17 advertising. In general, advertising must present a brief,  
18 accurate and balanced representation of adverse reactions,  
19 contraindications and effectiveness. As a reminder,  
20 reminder adds that call attention to the name of the drug  
21 only are not permitted for drugs with a boxed warning.

22 Another option for risk management is packaging  
23 options and restricted distribution. With packaging, for  
24 example, unit for dose packaging can be coupled with a  
25 medication guide or with the patient package insert to

1 ensure that the patient or consumer receives the information  
2 that is intended.

3           Restricted distribution is a mechanism that can  
4 ensure safer use and availability of drug of benefit over  
5 existing treatments to treat serious or life-threatening  
6 conditions. These can either be voluntary or in some  
7 circumstances they can be required by FDA. They only can be  
8 required by FDA when it is for an existing treatment to  
9 treat a serious or life-threatening condition. They cannot  
10 be required by FDA when it is not a serious or life-  
11 threatening conditions. However, this mechanism could be  
12 used, for example, to target a drug toward specific  
13 physicians who have experience with either diagnosis or  
14 irritable bowel syndrome or the diagnosis and management of  
15 some of these complications, such as constipation or  
16 ischemic colitis.

17           [Slide]

18           Finally, the ultimate risk management tool is  
19 cessation of marketing, which could be either voluntary  
20 withdrawal by the sponsor or withdrawal initiated by the FDA  
21 after approval because of an imminent hazard.

22           [Slide]

23           So, in conclusion, there are many options  
24 available to help manage risks for Lotronex, and we would be  
25 interested in hearing which interventions should be

1 considered.

2 [Slide]

3 As is further detailed in the questions, we would  
4 like to hear from the advisory committee and guests which  
5 risk management tool should be used for Lotronex and,  
6 importantly, to specify next steps if goals of a risk  
7 management program for Lotronex are not being realized. For  
8 example, simple education about a particular risk of a drug  
9 to either physicians or patients may not translate into  
10 altered prescribing patterns by that physician or to altered  
11 behaviors by the patient. Therefore, we are looking for  
12 some sort of assurance that education and outreach is  
13 associated with some sort of tangible change in behavior.  
14 We would also like some discussion on when should specific  
15 risk management tools be implemented -- what sort of  
16 thresholds should be used.

17 Thank you very much. I will now turn the podium  
18 over to Nancy Ostrove who will discuss medication guides and  
19 patient package inserts.

20 DR. HANAUER: Thank you, Dr. Raczkowski. Just for  
21 the committee and guests, those are ultimate questions that  
22 we are going to handle this afternoon. For the purpose of  
23 our subsequent discussions this morning, what I want to do  
24 is focus questions regarding those questions rather than how  
25 we are going to address those. I don't want to address

1 those specific questions right now, but if you have  
2 questions eventually about that, that is certainly fine.

3 **Medication Guides**

4 DR. OSTROVE: Good afternoon, ladies and gentlemen  
5 of the committee and the public.

6 [Slide]

7 I want to go into this pretty rapidly and briefly.  
8 Basically, in order to best understand the reason for use of  
9 medication guides as a risk management option, I think it is  
10 useful to have a sense of the variety of written information  
11 that patients can bet with their prescriptions. And, this  
12 is all understanding that in all cases the written  
13 information is intended to reenforce and supplement oral  
14 counseling that is given to the patient by the healthcare  
15 professional. It is not meant to stand on its own.

16 [Slide]

17 So, with that in mind, the first type of  
18 information I would like to just talk about very briefly,  
19 and the first type of information that is being distributed  
20 is in concert with a large-scale private sector effort that  
21 is guided by congressionally mandated goals for distributing  
22 useful written information to patients with their  
23 prescription products.

24 Now, this is information that is not produced by  
25 the sponsor or approved by FDA. It is supplied to

1 pharmacies by independent information providers. Again,  
2 they are not affiliated with the sponsors. It is generally  
3 computer generated at the point of purchase so that, for  
4 instance, in many cases you will see a piece of paper that  
5 is stapled to the bag the prescription comes in.

6 The distribution is fairly wide, at least in terms  
7 of an assessment that we recently did, but the concern that  
8 came up in the recent assessment showed that there is some  
9 improvement needed in the quality of information,  
10 specifically in specific types of information that are  
11 supposed to be in there according to the private sector  
12 program and risk disclosure is a big problem so far.

13 [Slide]

14 The other type of information I am going to talk  
15 about today can basically be called FDA approved patient  
16 labeling, as opposed to the first type. In this case, the  
17 sponsor drafts information; the FDA approves it after  
18 negotiating with the manufacturer. Now, the first type is  
19 basically patient package inserts or patient labeling that  
20 is required by regulations for specific products. Because  
21 the regulations are different, there are different format  
22 and content requirements. The ones that are best known are  
23 patient labeling for oral contraceptives and patient  
24 labeling for estrogen replacement therapy. This labeling is  
25 required to be distributed to patients, however, there are

1 questions that still remain about whether that distribution  
2 requirement is being achieved. A study that FDA did a  
3 number of years ago showed that, for instance, for required  
4 patient labeling for estrogen replacement therapy that was  
5 not in unit of use packaging about a fifth of the patients  
6 actually got it. I can speak from personal experience and  
7 can attest to the fact that many women are still not getting  
8 that information. However, when it is in unit of use  
9 labeling the patients tend to get it, although there are  
10 certain situations when even then the information is taken  
11 out of the unit of use.

12           The second type of patient prescription drug  
13 information FDA approved labeling we kind of refer to as  
14 voluntary patient labeling. Again, this is a case where the  
15 sponsor drafts the information and the FDA negotiates with  
16 the sponsor about the wording and then approves it. There  
17 is generally no uniformity in the format or the content.  
18 So, if the patient gets it they wouldn't know, just by  
19 looking at the format, that this is in fact a piece of FDA  
20 approved labeling. There is also no clear agreement within  
21 the agency as to whether distribution is actually required  
22 in terms of a legal requirement. The anecdotal evidence  
23 that we have indicates that distribution is spotty and,  
24 again, especially when it is not packaged in unit dose  
25 containers.

1 [Slide]

2 This brings us to new patient prescription drug  
3 labeling which we call medication guides. Similar to the  
4 others, this is information that the sponsor would draft and  
5 FDA would approve. It is a regulation, however, that it is  
6 not product specific as the other required labeling is. It  
7 is designed for outpatient products that pose a serious and  
8 significant public health concern for which the patient  
9 labeling is needed for safe and effective use by the  
10 patients. On average, our expectation is that this type of  
11 labeling would be used for between about five and ten  
12 products annually. It also requires that the information be  
13 distributed.

14 [Slide]

15 The circumstances that might trigger the need for  
16 a medication guide and for the FDA to basically tell the  
17 sponsor that this is a product that needs a medication guide  
18 are one of three: In one case you have, for instance, where  
19 the patient labeling could help prevent serious adverse  
20 effects. If you look at Lotronex as an example, the  
21 labeling, for instance, could be focused on patients  
22 recognizing the signs of bloody stools, worsening abdominal  
23 pain and constipation, and then told directly to stop taking  
24 the product and contact their doctor immediately.

25 A second triggering circumstance would be when a

1 patient needs to know of serious risks relative to the  
2 benefits of the product that might affect their decision to  
3 use the product or continue to use the product. Again,  
4 looking at the case of Lotronex, as we heard previously from  
5 Dr. Gallo-Torres and from Dr. Raczkowski, it is a  
6 symptomatic treatment. It is not curative. It has modest  
7 benefits. It doesn't affect the progression of the disease  
8 and, yet, it has some serious problems associated with it.

9 For the third triggering circumstance, that is  
10 when the drug is important to health and patient adherence  
11 to directions is critical to effective. Basically, what we  
12 are looking at here is products that affect serious clinical  
13 outcomes where the efficacy is hard to determine empirically  
14 but is highly dependent on proper administration. So, for  
15 instance, if a product needs to be taken on an empty stomach  
16 and you can't take anything to eat or drink for a period of  
17 time, or needs to be taken in some other specialized  
18 fashion, that is when this particular triggering  
19 circumstance is likely to operate.

20 [Slide]

21 Now, if the FDA determined that a product needs a  
22 medication guide, there are certain requirements that are  
23 written into the regulations that the guide has to be  
24 consistent with. It needs to be written in non-technical  
25 and understandable language. What we are talking about here

1 is that the language needs to be as simple as possible and  
2 needs to be understood by the end user, which is the patient  
3 in this particular instance.

4 It can't be promotional in tone or content. It  
5 needs to be scientifically accurate. It needs to be based  
6 on and consistent with professional labeling but, consistent  
7 with that first bullet, it doesn't have to have language  
8 that is identical to professional labeling because we know  
9 that in most cases that means it is not going to be  
10 understood by the consumer.

11 [Slide]

12 It also needs to be specific and comprehensive.  
13 Research has pretty consistently shown that it is not  
14 helpful to simply disclose general risks of a particular  
15 product, and it isn't especially helpful to disclose  
16 directions without the reasons for why those instructions or  
17 directions are important. You need to give people the  
18 rationale for what happens if they don't follow the  
19 directions. So, this "specific and comprehensive" gets at  
20 that issue.

21 It needs to be at least ten point minimum type  
22 size, legible and clearly presented where, for instance, the  
23 regulation talked about the appropriate use of highlighting  
24 techniques like bolding or underlining or the use of white  
25 space to emphasize specific portions of the text.

1 [Slide]

2 The regulation includes specifics about the  
3 headings that would be included in a medication guide. The  
4 first one, and the one that is really most important from  
5 the perspective of the patient is what is the most important  
6 information I should know about this product? This section  
7 includes a description of the public health concern that  
8 creates the medical guide need. So, if the patient doesn't  
9 read any further than this particular section, they get the  
10 information they need because it is right there, up at the  
11 beginning.

12 Following that there is information about, for  
13 instance, the discussion of disease and the benefits of  
14 treating the condition under what is the product. Then a  
15 discussion of the contraindications and what to do if those  
16 contraindications apply under who should not take the  
17 product. And, clearly, there might be some reference back  
18 to what is the most important information I should know.

19 [Slide]

20 How should I take is instructions for possible  
21 use. What should I avoid while taking the drug? That is  
22 basically the place to have specific important instructions  
23 to the patient that would ensure proper use. So, those are  
24 things you should avoid, activities -- being out in the sun  
25 without sun screen; substances to avoid; risks to mothers,

1 fetuses, nursing infants, children, geriatric patients etc.  
2 Then, finally what are the possible or reasonably likely  
3 side effects of the product?

4 [Slide]

5 I think it is absolutely critical, and I can't  
6 emphasize enough the fact that the law, the medication guide  
7 rule, requires that distribution is made, that the patient  
8 gets it. The manufacturer is responsible for ensuring  
9 distribution by one of two means, either providing enough of  
10 the medication guides to dispensers to give one to each  
11 patient, or providing the means to produce enough of these  
12 so that a patient can get it. The distributors and the  
13 regulation are responsible for passing on the medication  
14 guide. There is a notation on the container label that is  
15 required to be there to let the dispenser know that a  
16 medication guide is available and to let them know where it  
17 is available, specifically how they have it so that they can  
18 give it out to the patient. Again, in the regulation, the  
19 authorized dispenser is required to give it out.

20 [Slide]

21 FDA can exempt any applicant from any requirement  
22 of the medication guide regulations -- so, we leave some  
23 flexibility in there, except for consistency with the  
24 labeling and the title, that is, the medication guide title.  
25 There is another way that a patient might not get it, which

1 is that the prescriber can tell the dispenser not to give it  
2 out. If the prescriber believes that the information in the  
3 medication guide poses problems for a particular patient, a  
4 special concern, the prescriber can say don't give this  
5 patient the medication guide. However, this labeling is  
6 considered to be important enough that if the patient feels  
7 that they want information about the product the patient can  
8 override the physician's withholding request.

9 [Slide]

10 That was very quick but in conclusion, medication  
11 guides are for products that pose a serious and significant  
12 public health concern. They provide a uniform format and  
13 content so that patients have an easier time finding the  
14 information, and they put the important information up  
15 front, and medication guides are required to be distributed  
16 to patients.

17 With that, if you have any questions --

18 DR. HANAUER: One question. Could you just give  
19 us examples of drugs where medication guides have been  
20 required?

21 DR. OSTROVE: That is a good question. Actually,  
22 what I meant to say is that this rule was just put into  
23 effect in 1999. Currently, there are no official medication  
24 guides. There are no products that have official medication  
25 guides.

1 DR. HANAUER: Are there any that are under  
2 consideration besides this one?

3 DR. OSTROVE: Yes, there are but I can't say at  
4 this point what they are.

5 DR. RACZKOWSKI: I would just like to make an  
6 additional comment about medication guides. As Dr. Ostrove  
7 said, the FDA's anticipation is that there will be about  
8 five or ten drugs per year that will get medication guides.  
9 FDA recognizes that labeling has limitations and, therefore,  
10 risk management has to encompass other items in order to be  
11 effective. So, here to discuss postmarketing drug safety  
12 and risk intervention studies is Dr. Evelyn Rodriguez.

13 **Postmarketing Drug Safety and Risk Intervention Studies**

14 DR. RODRIGUEZ: Thank you. Since I am the last  
15 speaker before lunch, I will try to turn on my New York  
16 speed and go through this very quickly.

17 [Slide]

18 Today I am going to talk about postmarketing drug  
19 safety and risk intervention studies, and I am going to  
20 illustrate two risk intervention studies. I will review the  
21 labeling history for each of the two drugs that I am going  
22 to be discussing and I will review the study objective,  
23 methods, results and conclusions. I will then finish up  
24 with some broad summary and considerations for the advisory  
25 committee to consider and some future directions regarding

1 risk intervention.

2 [Slide]

3 I just want to review why we do postmarketing  
4 surveillance. There are limitations of phase III trials  
5 that are conducted in the NDA. Usually we enroll too few  
6 patients to answer a very simple question about specific  
7 efficacy for a specific indication. The patients are too  
8 median aged. That is, we usually don't study pediatric  
9 patients in the pivotal NDA trials and we usually don't  
10 enroll a lot of elderly patients.

11 The population is very narrow in that they have  
12 specific inclusion and exclusion criteria, and for Lotronex  
13 you heard that patients with more severe irritable bowel  
14 syndrome were eliminated from the NDA studies. And, they  
15 are too brief. They could last for several weeks, several  
16 months, certainly usually not more than a year and certainly  
17 not for years.

18 The population of users expands after drug  
19 approval. They expand in terms of age. They expand in  
20 terms of sex. In the case of Viagra, for example, we know  
21 that women use Viagra although it is not indicated. We also  
22 know that men have used Lotronex because we have received  
23 adverse event reports. Race/ethnicity issues are not  
24 usually addressed in the NDA and people of all races and  
25 ethnic groups take the drugs, and very little is known about

1 the use in pregnancy regarding drugs. In addition, there  
2 are a lot of rare events that by virtue of the limitations  
3 of the number of folks in NDA phase III studies you are not  
4 going to be able to detect. So, things that occur in  
5 1/1000, 1/10,000 patients are not going to be detected in  
6 phase III trials.

7 [Slide]

8 How do we do postmarketing surveillance? We have  
9 a database reporting system called the adverse event  
10 reporting system, which is a computerized system that stores  
11 all of the voluntary reports that we receive from physicians  
12 and providers. It is a very cost effective method that is  
13 especially useful to generate signals for rare adverse  
14 events, and we currently receive about 250,000 reports per  
15 year.

16 [Slide]

17 I would like to review for you the factors that we  
18 use in looking at postmarketing causality assessment. We  
19 look at the temporal relationship of the drug to the adverse  
20 events. We look at the biological plausibility that the  
21 drug can cause the event. Any known class effect, of  
22 course, we take into consideration if this is another drug  
23 in a class of drugs. For example, for the fluoroquinolones  
24 we are very careful about QT prolongation among the whole  
25 class of drugs. So, that is something we look at very

1 closely. Any previous premarketing findings in the phase  
2 III studies. For example, Lotronex constipation and  
3 ischemic colitis we were particularly concerned about. And,  
4 anything that looked like it had a dose-related effect.

5 [Slide]

6 With regard to the temporal association, we look  
7 at the onset time and progression of the adverse event very  
8 carefully. We look for confirmation of a diagnosis. When  
9 the drug is discontinued, do we see dechallenge? That is,  
10 does the adverse reaction then go away? Upon restarting the  
11 drug, do we see a rechallenge phenomenon? That is, upon  
12 restarting the drug do we see the adverse event again  
13 recurring? We look at any underlying diseases that may  
14 contribute or may give rise to the alleged adverse event  
15 that is reported to us, and we look at any concomitant drugs  
16 that could confound or can contribute or can actually be the  
17 underlying reason.

18 [Slide]

19 So, how do we use cases identified in AERS? Well,  
20 we develop a case definition, and we either use the  
21 reporter's initial clinical diagnosis or we use one from the  
22 literature. Then, we develop a case series using these  
23 reports. Now, these reports in AERS are not developed into  
24 cases. We have to, by hand, sort of double-check that these  
25 are reports are not duplicative. So we develop specific

1 cases and sort of collate all of the reports for each one of  
2 the cases. We do a careful causality assessment, as I  
3 described. We look at conditions of exposure. Any risk  
4 factors and confounders are also noted.

5 [Slide]

6 There are substantial barriers to reporting which  
7 cause under-reporting. This is a voluntary system. The  
8 physician or provider needs to recognize that this could be  
9 an adverse event. He or she then needs to attribute the  
10 drug to the event, and establishing an adverse event to a  
11 drug is something that a provider may, indeed, wish to do  
12 although having a causality assessment before it is reported  
13 to the FDA is not required. We really would like to know  
14 about as many of these possible events -- it doesn't require  
15 a confirmatory diagnosis for us. We do that later upon  
16 evaluation. Labeled adverse events are less likely to be  
17 reported, and there are substantial constraints -- time  
18 constraints for providers, fear of litigation, desire by  
19 physicians and others to publish these interesting findings,  
20 and fearful that FDA will beat them to the journals, and  
21 privacy concerns are becoming really of increased concern to  
22 the public as well as to providers.

23 [Slide]

24 These are some estimates of under-reporting from  
25 the literature. You can see that they range from 0.3

1 percent for hospitalization for toxicity due to digitalis, a  
2 little bit less than 3 percent in the Rhode Island survey on  
3 serious adverse reactions, and Maryland's survey showed  
4 about 8-13 percent. So, it really spans the gamut. It is  
5 really individual to the drug, to the adverse reaction  
6 itself, and it is very hard to extrapolate for a given drug  
7 situation like this one.

8

[Slide]

9 With Lotronex we did have some premarketing cases  
10 of ischemic colitis and constipation, and in the  
11 postmarketing area we did have serious cases of ischemic  
12 colitis and serious complications of constipation very early  
13 in marketing, which we think is very notable. As Dr.  
14 Raczkowski had alluded to earlier, we did take a look at  
15 some of the other drugs that are used in IBS, and this  
16 really is a huge signal here.

17

[Slide]

18 Possible next steps for assessing the risk and the  
19 incidence -- actually, doing an incidence study for serious  
20 outcomes of ischemic colitis and constipation but it is  
21 going to be difficult to ascertain all of these cases in  
22 automated databases because the ICD-9 codes are non-  
23 specific. You have to cast a very wide net, and there would  
24 be substantial under-reporting for a diagnosis of  
25 constipation.

1 Risk factor identification is going to be very  
2 important, and may be feasible for ischemic colitis if  
3 complete ascertainment is assured, and the company  
4 themselves has alluded to the fact that they need to develop  
5 algorithms to identify IBS patients and constipation for all  
6 of these diagnoses. So, those algorithms still need to be  
7 developed and still need to be validated through medical  
8 record abstraction. Also, constipation as a risk factor for  
9 serious GI outcomes is going to be hard to evaluate because  
10 it is associated with irritable bowel syndrome which is the  
11 indication for the drug. So how do you tease out what came  
12 before, what came after and so forth? I think it is going  
13 to be very difficult to try to tease that out.

14 [Slide]

15 Other possible next steps -- implementing risk  
16 interventions, education, labeling changes and other things  
17 that the company and we have alluded to today, and  
18 evaluating whether the risk interventions are achieving the  
19 desired goals.

20 [Slide]

21 Now I would like to go through a couple of drug  
22 case histories and risk intervention studies associated with  
23 them.

24 [Slide]

25 The first case history deals with a drug that was

1 approved in January of '97, was launched two months later  
2 and seven months after marketing we received the first  
3 report of acute liver failure. After getting more reports  
4 of these adverse events of hepatotoxicity and acute liver  
5 failure, several re-labelings were done, several "dear  
6 doctor" letters were sent to practitioners that included  
7 recommendations for liver transaminase testing.

8 [Slide]

9 Our objective in this risk intervention study was  
10 to assess the impact of labeling changes regarding liver  
11 transaminase monitoring in a large managed care  
12 organization, using automated claims, again, using ICD-9  
13 codes for diagnosis and CPT codes for liver transaminase  
14 monitoring.

15 [Slide]

16 The recommended liver transaminase monitoring did  
17 vary slightly with each labeling change, and the last  
18 labeling change that we were most interested in did  
19 recommend a baseline test, with monthly monitoring for the  
20 first eight months, and these data have been presented to a  
21 previous advisory committee.

22 [Slide]

23 The study was conducted in the United HealthGroup  
24 database and we assembled three separate cohorts. Cohort 1,  
25 which totaled 2307 patients, was assembled before the first

1 "dear doctor" letter in October of '97. Cohort 2 was  
2 assembled after the second "dear doctor" letter in December.  
3 Those totaled 2823 patients. And, after the third "dear  
4 doctor" letter in August, '98 the third cohort was assembled  
5 with about 1400 patients.

6 [Slide]

7 What we discovered was that liver transaminase  
8 monitoring at baseline by cohort occurred about 24 percent  
9 of the time in cohort 1, and then with subsequent cohorts  
10 improved to 45 percent with the third cohort.

11 [Slide]

12 This slide depicts the full compliance with  
13 monthly liver transaminase monitoring by cohort, cohorts 1,  
14 2 and 3, among users of this particular drug. So, in cohort  
15 1 only 2.6 percent of patients in month 1 received liver  
16 transaminase testing. In month 2, 0.8; in month 3, 0.3  
17 percent. It improved slightly with each of the cohorts so  
18 that if you look across month 1 2.6 percent improved to 7.3  
19 percent, which then improved to 9.3 percent in cohort 3.  
20 Nonetheless, if you follow it out to month 4 in cohort 3,  
21 only 0.5 percent of patients had liver transaminase testing  
22 as recommended by the label.

23 [Slide]

24 So in conclusion, there was poor compliance with  
25 full liver transaminase monitoring as recommended by

1 labeling, and there was better compliance with the baseline  
2 liver transaminase testing that improved with each labeling  
3 change to a maximum of only 45 percent.

4 [Slide]

5 These are some investigators who participated in  
6 that study.

7 [Slide]

8 I am going to present now the second drug history  
9 and risk intervention study regarding a drug that was  
10 approved in July of 1993.

11 [Slide]

12 We received the first reports of ventricular  
13 arrhythmia, which was a drug interaction with an antifungal  
14 drug, in December of 1994. There were multiple "dear  
15 healthcare practitioner" letters once more that described  
16 new contraindications and warnings for specific drugs and  
17 underlying medical conditions --

18 [Slide]

19 -- with black box warning for a contraindication  
20 for QT interval prolonging drugs, and cardiovascular and  
21 medical conditions, relegated to a second-line indication  
22 and "dear doctor" letter in June of 1998.

23 [Slide]

24 The study objective was to describe the impact of  
25 labeling changes through June of 1998, and the

1 contraindications were the cytochrome p450, 3A4 enzyme  
2 inhibitor drugs, QT prolonging drugs, and contraindicated  
3 co-morbidities.

4 [Slide]

5 In this study, instead of using a single database,  
6 we used three separate databases, sites A, B and C, and we  
7 looked at two distinct time period, before the "dear doctor"  
8 letter of June 1998, the year before, and then the year  
9 after the "dear doctor" letter.

10 [Slide]

11 We had three different sites with three different  
12 models of healthcare delivery, the first one being an IPA,  
13 the second one being a Medicaid managed care organization,  
14 and the third one being a consortium of HMOs.

15 [Slide]

16 These were the number of patients in each cohort  
17 by site that were available through June of '98, before the  
18 "dear doctor" letter of June of 1998 at each of the sites.  
19 We had about 17,000 in site A, about 4800 in site B and  
20 about 8000 in site C. These numbers varied slightly in the  
21 cohort afterwards. These were separate cross-sectional  
22 analyses.

23 [Slide]

24 These are the results for contraindicated drug or  
25 disease. Before the "dear doctor" letter at site A 29

1 percent of patients had underlying contraindicated drug or  
2 disease that were prescribed the drug, compared to 26  
3 percent. In site B almost 60 percent. That decreased ever  
4 so slightly to 57 percent. In site C 29 percent versus 27  
5 percent -- really not much of a change.

6 [Slide]

7 So, no reduction in use was really found after the  
8 labeling changes and "dear doctor" letter of June, 1998 with  
9 regard to the many contraindications in the label.

10 [Slide]

11 These are some of the investigators and sites that  
12 participated there.

13 [Slide]

14 In summary, and future considerations for the  
15 advisory committee, risk intervention studies are useful to  
16 assess the effect of labeling and "dear doctor" letters, and  
17 these two studies suggest labeling fatigue phenomenon. That  
18 is, with repeated labeling you really cannot accomplish  
19 much. It looks like providers and patients are confused and  
20 do not understand after multiple re-labelings what the  
21 really important message is. Other strategies, such as  
22 education targeting prescribers and patients, may be useful  
23 to encourage the implementation of recommended risk  
24 management efforts.

25 [Slide]

1 Future directions for us -- by "us" I mean  
2 industry, FDA and other agencies who would like to look at  
3 this further, we need to determine how prescribers interpret  
4 information from "dear doctor" letters and other educational  
5 materials that are given them. We need to still determine  
6 what the best format is to inform prescribers and patients  
7 of drug safety concerns -- is it the patient package insert?  
8 What kind of information do we need to put in a med. guide?  
9 Company sales materials and the utility of those materials,  
10 and perhaps even CME courses.

11 [Slide]

12 We need to determine how information,  
13 contraindications and monitoring recommendations are used by  
14 providers, and specifically with this drug what is the  
15 feasibility of constipation as a contraindication in  
16 labeling and in educational efforts.

17 [Slide]

18 We need to conduct risk intervention studies in  
19 multiple databases because, as I showed you in the second  
20 case example, it can vary by site and it may not look so  
21 bad, you know, in site A but when you look at site B --  
22 well, you know, there may be some differences in population  
23 that may make labeling more challenging. And, it needs to  
24 reflect the different range of healthcare services delivery  
25 system, HMOs, Medicaid and so forth. Also, the findings

1 need to be validated in databases with medical record  
2 review.

3 That really is the end of my presentation right  
4 now. I am prepared to answer any questions later.

5 DR. HANAUER: Are you going to make conclusions?

6 [Slide]

7 DR. RACZKOWSKI: Three slides.

8 **Summary of Issues**

9 [Slide]

10 I would just like to summarize briefly some of the  
11 issues that FDA has identified. In terms of the benefit of  
12 Lotronex, number one, if a drug is not effective in a  
13 population taking the drug, those patients experience risk  
14 without benefit. Number two, if a drug is not effective in  
15 an individual taking a drug, for example, if the patient is  
16 a non-responder to that drug, that patient experiences risk  
17 without benefit.

18 [Slide]

19 Irritable bowel syndrome is a functional  
20 gastrointestinal disorder, and the natural history of this  
21 disorder is not associated with life-threatening sequelae or  
22 progression to colonic organic disease such as ischemic  
23 colitis or constipation that may require surgery.

24 [Slide]

25 Lotronex treatment for IBS is a palliative, not

1 curative, treatment. It is a symptomatic treatment which  
2 was not shown to prevent progression of symptoms. Finally,  
3 as Dr. Rodriguez pointed out, labeling as a risk  
4 intervention is, by itself, not sufficient and needs to be  
5 coupled with other risk management interventions.

6 Thank you very much.

7 DR. HANAUER: Well, Dr. Raczkowski, you put us  
8 between a black box and a hard place.

9 [Laughter]

10 Are there questions from the committee for the  
11 agency? Dr. Wolfe?

12 DR. WOLFE: Dr. Raczkowski, you compared Lotronex  
13 to narcotics, which we generally try to avoid. Not only  
14 that, but people are not going to report constipation with  
15 narcotics. We expect it. And, there are serious sequelae  
16 from narcotics. I think a better comparison would be to  
17 glycocyanine or to other spasmolytic agents. Do you have  
18 any comparisons to those drugs?

19 DR. RACZKOWSKI: We don't have data at this time  
20 but we will be looking into it. We do believe the  
21 comparison needs to be made for the same indication because  
22 risk-benefit could differ by indication. So, in other  
23 words, if a drug is used for treatment of irritable bowel  
24 syndrome, that would be the best possible comparison that  
25 could be made.

1 DR. HANAUER: Dr. Blum?

2 DR. BLUM: Yes, first of all with narcotics, after  
3 running a methadone clinic for ten years, it is one 100  
4 percent you get constipated, but I never reported it to  
5 anyone.

6 Another thing is that again, I want to come back  
7 to empowering the patient. We all talk about "dear doctor"  
8 letters, but how about a "dear patient" letter? You know, I  
9 sit on an IRB and when a patient comes in and enrolls in a  
10 study we tell him he is going to get three blood tests over  
11 this length of time, and you may get this, and if you get  
12 this symptom your doctor will do this, that and the other  
13 thing. Why can't that type of information, including blood  
14 tests to look for hepatotoxicity because there is no other  
15 way to do it -- why can't that type of information be  
16 empowered to the patient so the patient knows what is going  
17 on, not just the physician, the nurse practitioner or PA?

18 DR. HANAUER: Dr. Laine?

19 DR. LAINE: It is actually more for the sponsor.  
20 We can wait until afterwards but it is a timing issue -- I  
21 mean, two issues related to the indications. One, I asked  
22 at the last meeting if the sponsor had any information on  
23 saying after a certain period of time who would or wouldn't  
24 respond. Looking at those curves, they flatten out and,  
25 especially given what we are doing today, is there any

1 information to say if somebody doesn't respond at two weeks,  
2 four weeks, three weeks, whatever, that that person should  
3 them no longer take the medication? So, I just bring that  
4 up again for the sponsor.

5           The second issue about timing is since the sponsor  
6 has suggested they want to have an even playing field, I  
7 notice that after yesterday's discussion we felt strongly  
8 that we should only give indications for the length of time  
9 of the trials. I notice looking through the package insert  
10 that there was not a time limit. In other words, it does  
11 not say up to 12 weeks. So, that is something else we  
12 should just consider revisiting.

13           DR. HANAUER: Yes, but I will take the corollary  
14 to that because with alosetron we had data after cessation  
15 of the drug demonstrating going back to baseline, whereas  
16 with the product yesterday there was no subsequent data and  
17 that is what I think drove some of the points of limitation.

18           DR. MANGEL: Dr. Hanauer, can I also give a  
19 clarification?

20           DR. HANAUER: We would love to hear your  
21 clarification.

22           DR. MANGEL: It actually does specify, Dr. Laine,  
23 in the clinical trial section of the label that the clinical  
24 trials were only for 12 weeks duration and, of course, once  
25 again, risk-benefit -- all of this is comparative. As

1 pointed out in the CMS-4 report, an agent such as Prozac, I  
2 believe the clinical studies are 6 weeks. You would not  
3 advocate that after 6 weeks of treatment with Prozac if your  
4 patient is doing well to discontinue Prozac treatment.

5 . You know, we also feel that Pepto Bismal is not a  
6 relevant comparator. We also do know agents, like  
7 dicyclamine, agents like tricyclics -- there are absolutely  
8 reported sequelae of constipation. In the label for  
9 dicyclamine as either a precaution or a warning is the  
10 information about toxic megacolon.

11 We also need to remember that IBS is a multi-  
12 dimensional disorder. Alosetron produces multi-dimensional  
13 benefit. It is absolutely incorrect to represent the  
14 benefit of alosetron as a single percentage. If I could  
15 have slide B13?

16 [Slide]

17 We actually philosophically disagree with the way  
18 benefit is presented. These are numbers from the year 2000  
19 PDR and I just want to extrapolate that same line of  
20 reasoning and this, in my opinion, is absolutely not the way  
21 physicians judge the benefit of medications. Zantac, a drug  
22 we believe is an excellent agent, for gastric ulcer --

23 [Laughter]

24 -- the healing rate on placebo, 51 percent, on  
25 Zantac, 68 percent. This represents a 17 percent

1 differential over placebo. Then you look at Prilosec,  
2 another excellent agent for control of acid suppression, 48  
3 percent versus 75 percent, a differential of 27 percent.  
4 Thus, it would once again suggest that only 1/4 patients  
5 healed their ulcer. Paxil, a very good agent for treatment  
6 of depression, in their follow-up study 61 percent of  
7 placebo, 85 percent of active. This would suggest that only  
8 1/4 patients are getting better.

9 DR. LAINE: I was wondering if you are able to  
10 answer the question I asked.

11 DR. MANGEL: Sure. In the 3001 study for adequate  
12 relief, significant benefit over placebo occurred from the  
13 fourth week of treatment and persisted. For urgency,  
14 consistency and frequency, in 3001 and 3002 benefit was  
15 achieved at the first week of treatment.

16 DR. HANAUER: But I think what he was asking is  
17 how do we know when they are not going to respond?

18 DR. MANGEL: Yes, and in discussions with the FDA,  
19 and you will see a proposal in the draft labeling, if  
20 patients feel they are not a responder after four weeks of  
21 treatment, the treatment should be discontinued. I think we  
22 are in agreement with the FDA that a four-week trial is  
23 suitable. If you don't have benefit by then, to  
24 discontinue.

25 DR. HANAUER: Dr. Raczkowski?

1 DR. RACZKOWSKI: I would just like a second to  
2 respond to Dr. Mangel's comment. I was very careful to say  
3 that the response rates represented the amount that is  
4 attributable to the drug as opposed to other causes. It  
5 does not imply that only 1/4 patients healed the ulcers.  
6 All the patients healed the ulcers, but whatever percentage  
7 is attributable to the drug is a relatively small percent.

8 DR. MANGEL: Yes, and my point, Dr. Raczkowski, is  
9 that I believe that is not the standard way that therapeutic  
10 advantage of medications is evaluated, and for the Lotronex  
11 example in particular, your numbers only referred to the  
12 single endpoint of adequate relief. It is a multi-  
13 dimensional disorder. Lotronex is producing multi-  
14 dimensional benefit. A single summary number does not  
15 satisfactorily represent the benefit.

16 DR. HANAUER: Dr. Welton?

17 DR. WELTON: I have a question that was raised by  
18 you Dr. Rodriguez. I have a concern that we have  
19 significant under-reporting of ischemic colitis even in  
20 these study patients because in one of these reams of papers  
21 we had numbers of patients who had flexible sigmoidoscopies  
22 showing just hemorrhoids but it was only a flex. sig. and a  
23 lot of these patients that we hear about actually have the  
24 disease at the splenic flexure. So, I guess the comment is  
25 if we are going to follow these patients along, I think it

1 requires more than a flex. sig. because I am afraid that we  
2 are only actually seeing seven patients reported but I think  
3 it may be even more.

4 DR. HANAUER: Any other questions from the  
5 committee or guests on these presentations before we take a  
6 break?

7 [No response]

8 We are going to start exactly at 1:30 with the  
9 open forum. Thanks.

10 [Whereupon, at 12:45 p.m., the proceedings were  
11 recessed until 1:30 p.m.]

## AFTERNOON PROCEEDINGS

1  
2 DR. HANAUER: I would welcome you back. We will  
3 now have comments. As you know, this is an open forum,  
4 however, I would like to preface this. The committee has  
5 heard background on the irritable bowel syndrome. We heard  
6 yesterday from Miss Norton about the impact of irritable  
7 bowel syndrome. The point of today's meeting is  
8 specifically to discuss the risk management of Lotronex, and  
9 I would hope that the speakers will limit their discussions  
10 to that, or they will be, not rudely but graciously,  
11 interrupted by me.

12 The first speaker is Dr. Richard Krause, I  
13 believe. We will ask the speakers, please, to disclose any  
14 financial remuneration for your attendance or sponsorship.

**Open Public Hearing**

16 DR. KRAUSE: Yes, I am Richard Krause, from  
17 Chattanooga, Tennessee, and my expenses are being paid by  
18 Glaxo. I am here as a patient advocate. I have been  
19 practicing for 23 years in GI practice, and for the last 10  
20 years have been doing clinical research. For the last 5  
21 years I have done studies on Lotronex, and I have 123  
22 patients on our clinical research study, and probably close  
23 to 100 patients over the last 6 months have been given  
24 prescriptions.

25 So, I have a lot of experience and the only two

1 points I want to make are that I use Lotronex only when the  
2 patients have diarrhea to the point that I feel that it is  
3 disabling to their lifestyle. I also preface, when I give  
4 the patient the prescription, that there is a good  
5 possibility they are going to get constipation, and I go  
6 over with them how we treat the constipation.

7 I think that in listening to what has been going  
8 on this morning, the problem is that most of the patients,  
9 by the time they get back to their physician and tell them  
10 they are constipated, they are into almost a week of  
11 constipation and, in my mind, that is why they are having  
12 the complications. So, I put them on stool softeners. I  
13 tell them to take Milk of Magnesia if they haven't had a  
14 bowel movement in a few days. Then we use Mirolax to add to  
15 that. So, I think if I tell the patient ahead of time they  
16 are probably going to get constipated, they are not going to  
17 get into the major complications.

18 DR. HANAUER: Do you have any data that if you  
19 tell the patients ahead of time that they will not, or is  
20 this just what you think?

21 DR. KRAUSE: Well, we have actually two nurse  
22 practitioners and so we follow up, because in doing the  
23 research studies I know that my patients have gotten  
24 constipated. Of course, the patients in the study are the  
25 worst of the worst. So, I mean, they would rather be

1 constipated and be able to go out to dinner with their  
2 friends than not to be able to eat out at a restaurant. So,  
3 yes, we have feedback and we ask the patients to call back.  
4 So, I mean, I think it is working.

5 DR. HANAUER: So, the patients you put into the  
6 trial were those with severe symptoms?

7 DR. KRAUSE: Absolutely. But, of course, now we  
8 are getting a little more relaxed and so we are warning them  
9 ahead of time they are probably going to get constipated.

10 DR. HANAUER: Okay. That is interesting because  
11 the trials were for patients with mild to moderate symptoms  
12 but we appreciate your honesty.

13 DR. BLUM: Just one question, do you know how many  
14 of your patients have refills?

15 DR. KRAUSE: Have refills?

16 DR. BLUM: Have refilled their prescription, their  
17 initial prescription in the last three months?

18 DR. KRAUSE: I don't really know that data.

19 DR. HANAUER: The next speaker is Ms. Cook.

20 MS. COOK: Thank you for letting me be here, and  
21 thank you, Glaxo, for making me aware of this meeting. I  
22 hope, because I am from Alabama, that you will bear with me  
23 because I do talk a little slow. I am an administrative  
24 assistant for a physician's office. I have had irritable  
25 bowel syndrome for 15 years. I have had all the tests that

1 you can have and, by the way, I am not being compensated by  
2 Glaxo. I am here on my own.

3 I was treated by some of the best  
4 gastroenterologists over the last 15 years. Eight years ago  
5 I was diagnosed. I was told there was no cure, that I just  
6 had to learn to live with it. I have had MMI tests. I have  
7 seen several psychiatrists who tried to see if it was in my  
8 head, and it was not. I have missed a lot of work. My boss  
9 was very generous and let me work from home so that I could,  
10 you know, contribute to the office and do what I needed to  
11 do there.

12 I was on Lomotil two tablets four times a day. It  
13 did not work. In March of this year I was fortunate enough  
14 to be able to get Lotronex. After being on Lotronex, my  
15 life has changed drastically. Prior to Lotronex I was  
16 approved by the Social Security office for disability due to  
17 irritable bowel syndrome. In May of this year I called the  
18 office and told them that I no longer needed the disability.  
19 They still don't understand that. They want to know how I  
20 can do without Social Security benefits. I said I no longer  
21 qualify. So, they are real happy and they want to know what  
22 the name of this drug is. So, I have told them all about  
23 Lotronex --

24 [Laughter]

25 Without Lotronex my life was not a life. I did

1 not have a life. I went constantly with Depends. I lived  
2 with Depends. I carried them in my car; I carried them in  
3 my purse. I kept them on my body; I thought they were a  
4 second skin. I no longer have those. I now visit with my  
5 grandchildren. I go shopping. I didn't check here to see  
6 where the nearest bathroom was. I was able to fly on a  
7 plane here without worrying about whether I was going to be  
8 seated next to the lavatory.

9 My condition has improved drastically. I no  
10 longer have to depend on anything by Lotronex. I have a  
11 drug of choice -- it is Lotronex.

12 DR. HANAUER: Did anyone warn you about potential  
13 complications when you received the drug?

14 MS. COOK: Yes, the detail rep who came into my  
15 office did.

16 DR. HANAUER: The detail rep?

17 MS. COOK: The drug rep.

18 DR. HANAUER: The drug rep told you about it.  
19 What did your doctor tell you about it?

20 MS. COOK: My doctor that I work for -- he said I  
21 have a new drug on the market for irritable bowel syndrome.  
22 Do you have any patients? She said I have an employee.

23 DR. HANAUER: Did they ask what kind of irritable  
24 bowel syndrome you had?

25 MS. COOK: I had diarrhea seven days a week. I

1 knew what I had.

2 DR. HANAUER: And, did the drug rep warn you --

3 MS. COOK: I read the insert myself.

4 DR. HANAUER: You read the insert.

5 MS. COOK: I read the insert.

6 DR. HANAUER: Did the doctor discuss anything with  
7 you?

8 MS. COOK: I am an administrator of a doctor's  
9 office; the doctor did not have to discuss it with me. I  
10 read it all myself. My doctor and I have a good  
11 relationship.

12 DR. HANAUER: Thank you.

13 MS. COOK: You are welcome.

14 DR. HANAUER: Ms. Sandy Conner, please.

15 MS. CONNER: Hello. My name is Sandy Conner.

16 Glaxo Wellcome did pay for my travel expenses but I am here  
17 on my own.

18 I have suffered from irritable bowel syndrome for  
19 about ten years. Over the years, I have also tried over-  
20 the-counter medicines as well as prescriptions to no avail.  
21 It is very hard when you have diarrhea every single day.  
22 Going to work was a struggle and there were times when I  
23 could be on the phone and actually hang up on the person I  
24 was talking to and run to the bathroom. Also, times going  
25 to the grocery store or shopping was a problem. I had

1 almost no social life at all. Even being in a restaurant,  
2 trying to order food and end up not eating and just going  
3 home. Trying to have a relationship is worse. I have two  
4 nieces that live about an hour's drive from me and it is  
5 very hard to even drive to see them.

6 With IBS, it does come on with no warning. So,  
7 after many discussions with my doctor, he put me in a  
8 clinical research study for three months. At that point,  
9 that was definitely the best decision to take this medicine,  
10 Lotronex. My diarrhea seemed to be under control after a  
11 few weeks. I was actually starting to be able to do normal,  
12 everyday things, and I really felt better. When the  
13 clinical study ended after the three months I could continue  
14 on in the one-year study. This was the best year of my  
15 life. I was able to go skiing or work out and actually  
16 enjoyed going to the basketball games.

17 Just when I was starting to enjoy my life again,  
18 the clinical study ended. I was just about to go on  
19 vacation when I received the news. I was flying to Florida  
20 to visit my dad. I had tried everything to get my doctor to  
21 give me more medicine but he couldn't. He said they were  
22 waiting to get approval from the Food and Drug  
23 Administration and that can take a while.

24 I was devastated, as I sat in my doctor's office  
25 in tears. I was really doing so good. But I patiently

1 waited for Lotronex to go on the market. It has been about  
2 a year now and Lotronex was recently approved, and I was  
3 finally able to get the prescription. I have been taking it  
4 twice a day for about three months. My life has been pretty  
5 normal lately. I attended a final women's basketball game  
6 in Philadelphia, and recently I was promoted to executive  
7 secretary for the assistant superintendent of schools.  
8 Without Lotronex, I truly believe none of these things would  
9 have happened. With Lotronex I have control over my IBS and  
10 my IBS does not control me. Thank you.

11 DR. HANAUER: I am going to ask you the same  
12 simple questions. The questions are; did anyone tell you  
13 that there might be a risk to this medication, not when you  
14 were in the trial but once it was approved?

15 MS. CONNER: Yes, the side effects?

16 DR. HANAUER: Yes.

17 MS. CONNER: Yes. I have no constipation.

18 DR. HANAUER: No, but who told you that there  
19 might be side effects?

20 MS. CONNER: My doctor.

21 DR. HANAUER: Okay. Did your pharmacist say  
22 anything?

23 MS. CONNER: No, I did a mail order prescription  
24 for three months.

25 DR. HANAUER: Thank you.

1 MS. CONNER: Thank you.

2 DR. HANAUER: Miss Norton? Nancy Norton again.

3 MS. NORTON: Thank you, members of the committee.

4 I hope you are not going to be tired of hearing from me once  
5 again today but I am here today to speak about the serious  
6 nature of IBS and the disconnect that still exists between  
7 the perceptions of the disease and the actual experience,  
8 and the profound impact this disease can have on the lives  
9 of those who suffer from it.

10 Perhaps because there is a general association of  
11 IBS with life stress and the assumption that it doesn't kill  
12 you, the disorder has historically been marginalized,  
13 symptoms trivialized, and patients dismissed as more in need  
14 of psychological treatment than medical care. Forty million  
15 Americans are thankful that IBS is not a killer disease, but  
16 that is not to say that IBS is not a serious disease. IBS  
17 doesn't kill. Rather, it robs people of their life.

18 As the founder of IFFGD, I began the organization  
19 with the intent of raising awareness. I can assure you that  
20 in 1991, when we began the organization, little information  
21 was available to patients. Unfortunately, there still  
22 persists an attitude by many in the medical community that  
23 IBS is something that need not be taken seriously.

24 It has only been recently that investigators and  
25 practitioners have begun to appreciate that a functional GI

1 disorder such as IBS is a chronic medical condition with  
2 central and peripheral pathophysiology, involving a complex  
3 interaction of multiple mechanisms, a dysregulation of  
4 brain-gut systems. We now have more diagnostic tools to  
5 look at dysfunction. In addition to traditional motility  
6 tests, we have the Rome criteria that facilitate a symptom-  
7 based diagnosis. We have barostats that measure intestinal  
8 tone, and we even have brain imaging that allows us to see  
9 how patterns of neural function differ in patients with IBS.

10 We have entered an era where we can make a  
11 positive diagnosis of IBS based on the Rome criteria, along  
12 with limited tests to rule out other disease factors, rather  
13 than a negative diagnosis based solely on exclusion of other  
14 possible inflammatory, infectious or structural  
15 abnormalities. Yet, in the recent survey, IBS in American  
16 Women, when physicians were asked about their familiarity  
17 with the Rome criteria only 1 percent of primary care  
18 physicians were very familiar with it, and 14 percent were  
19 somewhat familiar with it. In the GI community 18 percent  
20 of gastroenterologists were familiar with it, and 41 percent  
21 were somewhat familiar with the Rome criteria.

22 Thus, there is a failure within the medical  
23 community to make available to patients a safe, consistent  
24 and supportive means of diagnosing IBS in treating the  
25 disease. An affirmative diagnosis of IBS facilitates a

1 positive patient and physician relationship in which a  
2 treatment approach can be formulated which often includes a  
3 combination of treatment modalities that is best suited to  
4 the individual patient. Those patients who do not receive  
5 an affirmative diagnosis may be asked to endure an array of  
6 progressively invasive tests, only to receive in the end a  
7 diagnosis that begins with the words, "there is nothing  
8 wrong with you," and perhaps further, "let's try to treat  
9 the single symptom," knowing full-well that IBS is  
10 characterized by multiple symptoms, leaving the patient to  
11 still contend with either the pain, the diarrhea, the  
12 constipation, the bloating, the gas, the urgency and perhaps  
13 the fecal soiling.

14           At IFFGD we are contacted every single day of the  
15 year by people who come to us, seeking help like refugees  
16 from this type of negative clinical experience. It is  
17 important to understand that IBS is a complex disease entity  
18 with potentially serious and even devastating consequences  
19 for the millions who suffer from it. Does the benchmark for  
20 burden of illness need to be equated to cancer before we  
21 consider a disease to be serious enough to provide medical  
22 management and potential drug therapy? I certainly hope not  
23 for IBS is a disease that we need to take seriously and ease  
24 the toll of human suffering.

25           We have data that shows us the effect on quality

1 of life, and we also have data that demonstrates the  
2 economic impact of IBS increased absenteeism, increases in  
3 annual healthcare bills and perhaps unnecessary surgery.  
4 All of this, again, confirms a pattern of human suffering.  
5 The data is there in concrete terms for those who need to  
6 see it to believe it.

7           For the IBS patient who lives it, it is quite a  
8 different matter. The person who lives with IBS is  
9 continually making adjustments in their life to accommodate  
10 the symptoms they experience. Little by little we begin to  
11 shut ourselves off from society and family because of our  
12 symptoms. IBS can be a very isolating condition. It is  
13 difficult to express the loss that those of us feel for  
14 months and years of living with IBS. Symptoms may range  
15 from mild to severe, from inconvenient to devastating. For  
16 some people, they may question whether to eat that hot spicy  
17 food they crave; for others it is the question of do they  
18 eat at all. There is a common expression among us, "nothing  
19 in; nothing out." Depending on what commitments a person  
20 has for the day or the week, they try to juggle this  
21 complicated guessing game of what to eat, what to drink,  
22 what medications to take, their sleep patterns, their stress  
23 management techniques, and all of this often to no avail.

24           We have recognized IBS as a chronic disease. We  
25 are making progress but a recent article in the British

1 Medical Journal made the observation that classically only  
2 half of patients with chronic disease are identified. Only  
3 half of those identified receive treatment, and only half of  
4 those treated are treated adequately, meaning that only 12  
5 percent are being optimally managed. I would ask that we  
6 move forward in offering patients the best possible care.  
7 The responsibility is a shared one, beginning in medical  
8 school and carried through to the practicing physicians.  
9 The pharmaceutical companies also share in the  
10 responsibility of educating the medical community and the  
11 general public about the risks and the benefits of any  
12 medication.

13 Last but not least, we, the patients, share in the  
14 responsibility of educating ourselves about any medical  
15 condition and medications we are taking. Our goals have  
16 been to raise awareness and provide educational information  
17 and support to those who suffer from functional GI  
18 disorders. At IFFGD, we have been fortunate to be part of a  
19 community of scientists and clinicians who share our  
20 concerns and believe in the needs of these patients. I hope  
21 that we will all continue this effort to improve the lives  
22 of IBS patients. Thank you.

23 DR. HANAUER: Thank you.

24 **Discussion and Questions**

25 We have a lot to go over in the next period of

1 time. So, I will try, as best I can, to moderate the time  
2 frame related to where we are at in the questions. So, I am  
3 going to take it from the very beginning of our charge,  
4 which is to look at goals and outcomes of risk management --  
5 well, actually we have four charges which are, number one,  
6 to look at goals and outcomes of risk management plan for  
7 Lotronex. Number two, what are the interventions that we  
8 would advise in that program? Number three, how to assess  
9 the impact of the interventions? And, number four, God  
10 forbid the impact doesn't work, what would be the next  
11 plans?

12 So to begin with, the first question that we are  
13 charged with is to discuss the specific safety goals and  
14 outcomes that the committee would like to see through  
15 implementation of a risk management program for Lotronex.  
16 And, we are going to go through the specific examples. The  
17 first is, should there be dissemination of the current  
18 safety information about Lotronex? Should that be  
19 disseminated? Is there any dissent that it shouldn't be?

20 [No response]

21 So, then the question is we do have updated safety  
22 information about Lotronex, who should it be disseminated  
23 to? What are the priorities according to this committee?  
24 And, the options include the whole list that we have seen  
25 from the physicians to the pharmacists, to patients, family

1 members.

2 DR. LAINE: It struck me that the sponsor and  
3 everybody else agrees that the whole gamut was reasonable.  
4 So, I don't see any downside so I would say that whole list.

5 DR. HANAUER: Any dissent to that? Everybody  
6 should hear it? The entire tree. Is that clear?

7 [No response]

8 The next question is a goal should be to assure  
9 that patients at high risk for toxicities of Lotronex are  
10 not treated with the drug. If so, how are these patients to  
11 be identified? I guess I would ask the committee are you  
12 able to ascertain from the data that we have been given who  
13 is at high risk for complications? Your silence says no.

14 DR. AVORN: Well, clearly preexisting constipation  
15 and other obvious things have come up, and those are  
16 obviously important. But I was struck this morning with the  
17 need for a lot more data, which I assume Glaxo is going to  
18 be collecting, on the risk factors -- in a really rigorous  
19 epidemiologic sense -- that would make it more possible in  
20 the future to predict who is at increased risk beyond just  
21 history of constipation and other obvious things. I am  
22 pleased to hear that that is going to be identified in the  
23 course of the next months to years by Glaxo and their  
24 collaborators.

25 DR. HANAUER: Yes, Dr. Wolfe?

1 DR. WOLFE: In addition to the risks for  
2 developing constipation, obviously the preexisting  
3 constipation, we mentioned the possibility of identifying  
4 those at risk for non-occlusive disease by people who had  
5 been smokers or taking birth control pills. They may be at  
6 increased risk but, again, the numbers are very, very small.

7 DR. HANAUER: Do you believe you have evidence to  
8 say that those people are at increased risk?

9 DR. WOLFE: The only evidence is of the seven  
10 cases we looked at, four of the seven were taking estrogens  
11 or birth control pills.

12 DR. HANAUER: So, what do you want to do about  
13 that?

14 DR. WOLFE: If we are adding risk -- again, this  
15 is a situation, in a way, until proven otherwise where when  
16 we identify possible risk factors they should be identified.  
17 Again, you have definite and possible and this is a possible  
18 risk. First of all, we know that people who smoke and take  
19 birth control pills are at increased risk for developing  
20 thrombotic events, and here is a situation where it looks  
21 like it may be a possibility and that is something that  
22 needs to be investigated but do we wait until the proof is  
23 there before we tell people to stop smoking?

24 DR. WELTON: But do we know this is a thrombotic  
25 event? I have no data that says this is a thrombotic event.

1 Smoking and birth control pills lead to increased thrombotic  
2 events but I am not sure ischemic --

3 DR. WOLFE: Well, it seems they are at increased  
4 risk.

5 DR. BRANDT: Hi, my name is Larry Brandt and I was  
6 asked to come here as a consultant in ischemic colitis,  
7 which is probably the most narrow definition of my  
8 responsibilities I have ever had. So, it is a pleasure to  
9 do so.

10 I wanted to address the point that you made about  
11 the smoking being a risk for ischemic disease of the colon.  
12 Smoking has never been shown to be a risk for ischemic  
13 disease of the colon, although it has been shown to be a  
14 factor in the development of thrombotic disease of the  
15 mesenteric circulation. The difference between small bowel  
16 ischemia and colonic ischemia is that small bowel ischemia,  
17 in probably close to 65 percent of cases, has an anatomic  
18 abnormality that one can identify. That anatomic  
19 abnormality, be it an embolus or a thrombus, has a positive  
20 association in a very weak way with cigarette smoking.  
21 Colon ischemia has never been shown, in a majority or even a  
22 significant minority of cases, to have any anatomic factor  
23 that predisposes to it.

24 So, I think that although it may be a permissive  
25 factor, I don't think that there is any evidence to show

1 that people who smoke are at higher risk for colon ischemia  
2 than people who do not smoke.

3 DR. HANAUER: From your review -- take off your  
4 consultant hat and help us for a second -- do you feel that  
5 you are able to assess who is at risk for complications from  
6 this drug, given the data that you have seen?

7 DR. BRANDT: No, I really can't. I think that the  
8 hormone replacement therapy and the oral contraceptives are  
9 always a warning flag to me because in our younger  
10 population that is probably the most frequent associated  
11 factor, making people predisposed to develop this disease. I  
12 am not even sure that the small number of cases that were  
13 reported here show that in these cases oral contraceptives  
14 or hormone replacement therapy were particular risk factors,  
15 although in general they are.

16 So, my answer would have to be no, I don't. I  
17 would obviously be a little bit more concerned with people  
18 over the age of 55 than younger than 55. But aside from  
19 that very global difference, I don't see any precipitating  
20 factors.

21 DR. WOLFE: Larry, most of the patients you have  
22 described -- a lot of them are hospitalized patients with  
23 hypoperfusion, hypotension, surgical patients who are  
24 chronically ill. This is a different population of  
25 patients. We cannot rule out the possibility of cofactors

1 playing a role in etiology.

2 DR. HANAUER: So, the point is you would like to  
3 study potential cofactors.

4 DR. WOLFE: We have seen this kind of pattern with  
5 estrogens all over the place and I think, rather, the burden  
6 of proof is that it isn't a cofactor.

7 DR. BRANDT: I don't think anybody would disagree  
8 with doing a study to evaluate possible precipitating  
9 factors, as is planned. You were in error though when you  
10 said that the majority of my patients were hospitalized.  
11 The majority of my patients were not hospitalized if we  
12 restrict the discussion to colon ischemia, especially colon  
13 ischemia that spares the right side.

14 DR. HANAUER: Moving on, Dr. Welton, did you have  
15 a question regarding this? No? Dr. Kramer?

16 DR. KRAMER: Actually, most of what I heard this  
17 morning and what I just hearing now around the table is a  
18 question of what is the probability of having certain  
19 factors, perhaps risk factors, in people who suffer the  
20 complications. Well, it seems to me what we really want to  
21 know is what the probability of complications is in the  
22 people who have specific risk factors. That is the reverse  
23 probability of what we have just been talking about, and it  
24 seems to me the best way, and perhaps the only way, to get  
25 at that is to pursue some of the studies that were actually

1 planned this morning, case-controlled studies and cohort  
2 studies, analytic epidemiologic studies. I think it is far  
3 more difficult to simply look at the people who suffered  
4 complications and try to look at their risk factors and  
5 develop the reverse probability. As a matter of fact, I am  
6 not aware of a way to do that.

7 DR. HANAUER: Dr. Laine?

8 DR. LAINE: Just in terms of other risk factors  
9 again, it is somewhat intuitive but I reiterate what I said  
10 this morning, and perhaps a somewhat broader definition of  
11 recent history of constipation, thinking that those patients  
12 are alternators who shouldn't be on the drug anyway, and I  
13 would perhaps suggest, again, a slightly broader definition  
14 to include people with recent constipation within some  
15 relatively short time frame, the last couple of months or  
16 something like that.

17 DR. BLUM: No one yet has addressed how we are  
18 going to look at the potential for hepatotoxicity. We know  
19 that the databases do not give us that information, and I  
20 think that there is a potential. We have seen it with other  
21 drugs recently, and I think that is something that should  
22 really be addressed.

23 DR. HANAUER: Are you able to identify any risk  
24 factors for hepatotoxicity in the patients that you have  
25 seen?

1 DR. BLUM: No.

2 DR. WELTON: Just a follow up again on Dr. Laine's  
3 question, from the data that was presented this morning,  
4 about how many months or weeks were those episodes of  
5 constipation were that preceded these complications? Is  
6 that data available?

7 DR. MANGEL: No, it is not. In aggregate, no, it  
8 is not.

9 DR. WELTON: But for each patient, can we go back  
10 and say some patients had a history of decompaction and  
11 constipation, and is there a way to find out how far ahead  
12 that was so we could try to get a handle on what might be a  
13 relatively safe time period?

14 DR. MANGEL: We would be glad to go back and get  
15 as much information on that as we can.

16 DR. WELTON: Thank you.

17 DR. HANAUER: Please identify yourself for the  
18 group.

19 DR. MCGILL: Jim McGill, Indiana University,  
20 consultant for Glaxo regarding issues about hepatotoxicity.  
21 I would like to make just a couple of comments relevant to  
22 your comments, sir. The term has been that there are  
23 several patients -- three have been identified that have  
24 suffered hepatotoxicity. There are very few cases, and I  
25 think it bears to pay some attention to each of those, and I

1 think it will be evident there are fewer than that.

2           The two that came after release of the drug -- it  
3 is important to note the details of these patients. The  
4 first one was commented on as a 78-year old woman who came  
5 into the hospital, who was near-dead, quite frankly. She  
6 was hypotensive. Comments were made that she had ischemic  
7 limbs. She had anasarca preceding the dosing of the one  
8 dose of drug, and a week later was found to have elevations  
9 of amino transferases.

10           Now, I think that emphasizes nothing to do with  
11 hepatotoxicity of a drug but a very sick patient, and it  
12 would be incorrect to be thinking about that as  
13 hepatotoxicity.

14           The second patient is just --

15           DR. HANAUER: Were the other complications related  
16 to it?

17           [Laughter]

18           DR. MCGILL: No, I don't think so. I don't think  
19 any of them were.

20           DR. HANAUER: They took the pill and then the next  
21 day were hospitalized with all this stuff?

22           DR. MCGILL: I don't think it is anaphylaxis, no.  
23 In fact, the second patient was an 80-year old woman who  
24 had, I think, several weeks of drug therapy, who came in --  
25 and it is not clear why she was hospitalized, but what is

1 clear is that she was hospitalized. The amino transferases  
2 were elevated, and a common bile duct dilatation was shown  
3 on a CT scan, and her amino transferases resolved very  
4 quickly.

5 Now, there are many things we don't know about  
6 this, but what is very compelling with the information we do  
7 have is that this patient, like many other patients, would  
8 have passed a stone and would have come, and probably  
9 because she had abdominal pain is why she was admitted, not  
10 because of some elevation of amino transferases. So, I  
11 think it would be incorrect and bad thinking and bad  
12 medicine to assert as a drug-induced complication, though it  
13 is possible but I think the evidence doesn't support that.

14 The first case that was presented at the time that  
15 the drug was initially approved, it is interesting to think  
16 about. That is, this patient was a younger woman who did  
17 have obesity, which is a risk factor for some amino  
18 transferase changes. She did have within four weeks, a very  
19 reasonable time course, amino transferase changes. They did  
20 persist and they normalized almost all factors within two  
21 days of cessation of the drug.

22 Now, the fact that in placebo and drug there were  
23 no significant differences tells us that it is unlikely that  
24 she would have had a metabolic cause for her amino  
25 transferase changes. So, then you have to be thinking that

1 she had some immunoallergic cause for her problems. And,  
2 she is the one who had Crohn's disease and asthma, and was  
3 taking a variety of other medicines as well.

4 So in that case, the one that was initially  
5 understood, it may be that she did have a drug-associated  
6 change in liver function tests but it is still hard to  
7 implicate that as a primary cause, and to say that it raises  
8 a flag of generalized hepatotoxicity because I think,  
9 although anything can happen but now, 121,000 different  
10 patients later, and there may be one person who has had some  
11 twice elevated amino transferase changes -- that is not very  
12 compelling, nor should it drive attention away from  
13 important stuff, I think.

14 DR. BLUM: That is only if those people had their  
15 amino transferases examined during those 120,000 people,  
16 whether physicians drew the blood or not.

17 DR. MCGILL: Right. Again, we know in the trials,  
18 and I have been given access to those registries, there were  
19 four patients whose course was more suspicious perhaps, that  
20 is, they are obese --

21 DR. HANAUER: I don't want to get into debates  
22 over these issues right now.

23 DR. HOUN: Yes, I think basically I am hearing  
24 that in terms of assuring patients, the issue of preexisting  
25 constipation, current constipation and a recommendation for

1 studies on risk factors, especially related to smoking and  
2 estrogen use, and perhaps a continued surveillance of  
3 hepatotoxicity, especially with those long-term studies the  
4 company is proposing. There is also some question about  
5 trying to exclude the alternators as well.

6 DR. HANAUER: I think also the issue possibly of  
7 age relationship.

8 DR. HOUN: Are you saying studying that as well?

9 DR. HANAUER: Well, the cofactor of age since most  
10 of these people did tend to be the older people who are  
11 obviously at risk in the general population anyway for those  
12 same kind of complications, but the question is whether they  
13 are at increased risk for complications.

14 DR. HAVLIK: Yes, make sure there are enough older  
15 persons in some of the surveillance systems that are going  
16 to be followed.

17 DR. WELTON: One of the things that struck me too  
18 is that there is a significant number of patients that were  
19 young that had ischemic colitis, which is essentially  
20 unheard of in the younger patients. I will defer to the  
21 expert in the audience but that really caught my eye.

22 DR. HANAUER: The next point that we wanted to  
23 cover is the assurance that Lotronex is prescribed to  
24 patients for whom it is indicated. I think the case reports  
25 that we have heard exemplify a number of instances where

1 there was a question whether or not the patients who had  
2 complications actually met the criteria for the drug -- the  
3 hepatotoxicity that we just heard about with the patient  
4 with Crohn's disease. Some of the constipation patients  
5 were alternators or had a history of constipation. So, I  
6 presume that is a component that everyone agrees with.

7 DR. HOUN: That is a specific goal you would  
8 recommend us to have with the company that the indicated  
9 population for the indication could be evaluated later on to  
10 see if we meet that goal?

11 DR. HANAUER: Again, my view is that the company  
12 or the agency isn't held responsible for people who are  
13 given drugs for unindicated reasons. That is the purpose of  
14 the indications, and clearly your job is not regulate  
15 medical practice but to assure the safety and efficacy  
16 within the confines of the population for whom it has been  
17 approved. Certainly, I think you would want to know that.  
18 You would also want to know from you follow-up studies where  
19 you do see complications if you can predict any other risk  
20 factors. Then the company will come back or practice and  
21 clinical studies will come back with whether the indication  
22 should be expanded to other populations. Yes, Dr. Kramer?

23 DR. KRAMER: Since we are talking about risk  
24 management in general, I am not sure how one could easily  
25 separate the concept of risk management with sensitivity and

1 specificity of the diagnosis. There are two things that are  
2 sobering. Presumably, you are not going to benefit people  
3 when you are treating them for an incorrect diagnosis with  
4 this medication. Secondly, it is very sobering to me --

5 DR. HANAUER: I don't accept that, that you are  
6 certainly not going to benefit --

7 DR. KRAMER: No, I said probably. You know, it is  
8 hit or miss if it has never been studied in people who don't  
9 even have the syndrome. So, it is beyond the wild guess to  
10 assume that you are going to be benefiting others,  
11 populations that have never been studied.

12 But, the thing of concern to me is that when you  
13 are asking physicians about their awareness of the  
14 diagnostic criteria, the prevalence of awareness was so low.  
15 That is a set-up for not only poor sensitivity in diagnosing  
16 a condition when it is there, but poor specificity in making  
17 the diagnosis when it is not there in the first place.  
18 Since the majority of the population don't have it, it is a  
19 set-up for a lot of treatment in people who don't have the  
20 syndrome. So, I would say that as part of the educational  
21 program, intertwined with risk management, there should be  
22 an effort to improve the specificity of diagnosis.

23 DR. HANAUER: Mr. Hammes?

24 MR. HAMMES: I don't think that the FDA should, at  
25 all, attempt to regulate off-label uses of drugs, and I

1 think that is where this is kind of heading and that is not  
2 an appropriate FDA endeavor. The education component  
3 certainly is but there are obviously going to be uses of  
4 this drug that are not in the indication and may be very  
5 rational, and we need to keep that open.

6 DR. HANAUER: Potentially more debatable is this  
7 next point, whether only certain physicians with special  
8 knowledge of the benefits and risks of Lotronex and of IBS  
9 should be allowed to prescribe the drug. If so, how are  
10 these physicians to be identified? Comments?

11 DR. WOLFE: Well, they are easily identifiable. I  
12 mean, they are gastroenterologists. That is what they are  
13 called.

14 DR. HANAUER: They should be gastroenterologists?

15 DR. WOLFE: No, I didn't say that but there are  
16 clear differences, documented recently, in prescribing  
17 practices of medication between gastroenterologists and non-  
18 gastroenterologists. For example, a drug that has been out  
19 for eleven years is prescribed by non-gastroenterologists  
20 incorrectly seventy percent of the time -- the most widely  
21 used drug in the world, as a matter of fact, and it is a  
22 real problem. Despite all the education that physicians  
23 have received, it is still being prescribed incorrectly, or  
24 let's say suboptimally -- how is that?

25 DR. HANAUER: So, what is your recommendation?

1 DR. WOLFE: Politically it is impossible to limit,  
2 I think, a drug like this to gastroenterologists only since  
3 the vast majority of patients are cared for by primary care  
4 physicians, although in an ideal world they would at least  
5 be sent to gastroenterologists for an evaluation of some  
6 sort --

7 DR. HANAUER: We have heard eighty percent of  
8 patients with IBS are taken care of by their primary care  
9 physician. So, only twenty percent of the doctors should be  
10 prescribing? What is the answer?

11 DR. WOLFE: Well, right now we have information on  
12 the drug being prescribed so far. How many are being  
13 prescribed by gastroenterologists and how many by non-  
14 gastroenterologists? How many of the complications took  
15 place with people who are non-gastroenterologists, and how  
16 many were gastroenterologists, percentage-wise?

17 DR. HANAUER: Dr. Laine?

18 DR. LAINE: I would not be in favor of restricting  
19 it just to gastroenterologists. I don't think that is  
20 reasonable. Personally, I would go more for the increased  
21 education of gastroenterologists and non-  
22 gastroenterologists.

23 DR. BLUM: I agree. It would disenfranchise huge  
24 numbers of people in the United States. Anyone who is more  
25 than fifty miles from a university center may not even have

1 access to a gastroenterologists.

2 DR. HANAUER: Dr. Levin, did you have comments?

3 MR. LEVIN: I just wondered if it would be  
4 something to track though over time, whether there is any  
5 difference in appropriate prescribing and complication rates  
6 by specialty.

7 DR. HANAUER: Do you guys have information on that  
8 yet?

9 DR. KENT: About fifty percent -- right now,  
10 remember, when we launched the drug we launched it primarily  
11 to the gastroenterology community, not to the primary care  
12 doctors initially. So, about fifty percent of the users  
13 right now are gastroenterologists. That will, of course,  
14 change over time as there is wider use and we promote it  
15 more. The complications, I am told, are about half and half  
16 gastroenterologists and non-gastroenterologists.

17 DR. HANAUER: Does that go the same for your  
18 advertising? Is it also only or primarily in  
19 gastroenterologic journals?

20 MR. HULL: Dr. Hanauer, to answer your question,  
21 we are advertising in a variety of journals but primarily,  
22 initially, in gastroenterology journals. As Dr. Kent  
23 alluded to, when we first introduced the product we called  
24 on gastroenterologists initially for the first three weeks,  
25 and then we introduced the product in a broader audience.

1 DR. WELTON: Just a question for the  
2 gastroenterologists, a drug like infliximab, is that  
3 restricted to gastroenterologist use?

4 DR. HANAUER: No.

5 DR. FERRY: I just have a question, whether you  
6 know whether pediatricians are prescribing this at all? Do  
7 you have any knowledge about use under age 18, any  
8 experience?

9 DR. KENT: I don't think we have specific  
10 information but we would assume there is actually very  
11 little prescribing in the pediatric population.

12 DR. HANAUER: Did that answer your question?

13 DR. HOUN: I think initially the recommendation is  
14 to consider education. At a later point in your discussions  
15 we may push this issue about systems distribution again  
16 should goals not be met in terms of safety or if we see any  
17 types of adverse events or rates that you might find  
18 unacceptable, and then would this be an option.

19 DR. HANAUER: The next question asks about  
20 maintaining the incidence of adverse events at or below a  
21 certain level. You have given a level of about 1/1000 now  
22 for both constipation -- what would you say they are at  
23 right now?

24 DR. HOUN: In the trial, I think the incidence was  
25 about 1/750 for ischemic colitis, with the postapproval I

1 think the estimate is about 1/900 for ischemic colitis.

2 DR. HANAUER: About 1/1000. Dr. Brandt, what is  
3 the incidence of ischemic colitis in the general population?

4 DR. BRANDT: I can't answer it in the general  
5 population because I think that most cases don't come to a  
6 physician's attention. I can tell you that in my endoscopy  
7 unit we do about 3500 colonoscopies per year and we see on  
8 the order of 0-3 cases per week. So, it averages out,  
9 depending on how you round out the numbers, to between 1/450  
10 and 1/750 colonoscopies.

11 DR. LAINE: We can't accept colonoscopy data,  
12 obviously. So, it is not going to be useful.

13 DR. BRANDT: I don't have specific data. That is  
14 the best I can do for an off-the-cuff remark.

15 DR. HANAUER: So back to the panel, is 1/1000 risk  
16 -- we will just take that, 1/1000 risk of ischemic event, as  
17 we have heard it, acceptable for this drug? How about the  
18 patient representative? You have heard the disease.

19 MR. HAMMES: I think ultimately, especially in  
20 this disease, the patient assesses their individual benefit,  
21 and it is a benefit-risk issue and the patient has to decide  
22 what a reasonable risk is. I don't know that we can set a  
23 number that 1/1000 is not acceptable, 1/1500 is. I mean,  
24 that is grabbing at straws.

25 DR. HANAUER: Dr. Wolfe?

1 DR. WOLFE: A complication of this, the severity -  
2 - I don't think we are saying the drug shouldn't be used but  
3 they have to be absolutely aware of that. This is a drug  
4 which really, as we have heard, affects a lot of people's  
5 lives in a very positive way and lets them live a normal  
6 life. They need to know ahead of time though what could  
7 happen -- risk-benefit, they need to know that in no  
8 uncertain terms.

9 MR. HAMMES: Well, I agree with that  
10 wholeheartedly. We need to tell them what the risks are,  
11 but then they need to decide if that risk is worth it.

12 DR. HANAUER: The agency is asking for a benchmark  
13 line for that.

14 DR. LAINE: If 1/1000 or 1/900 is causing concern  
15 now and we are developing a variety of strategies to,  
16 hopefully, manage that risk, it would seem logical to me  
17 that we would not want to accept that risk in the future;  
18 that we would want to see evidence of it decreasing  
19 somewhat, or else we wouldn't be worried about 1/900 now.  
20 So, I mean, it is hard to assess what a proper risk is for  
21 this disease. I agree, every patient has to assess it  
22 individually but I would think you just would want to see a  
23 decrease or what is the point of having -- without any  
24 education or special risk management we are at 1/1000. We  
25 should be decreasing that or we are not working.

1 DR. HANAUER: Dr. Levin?

2 MR. LEVIN: I think there are two reasons for a  
3 benchmark. One is to evaluate whether risk management  
4 interventions are being successful or not. Another is, as  
5 the drug is more widely diffused and used off-label, as it  
6 will be, are we seeing any kind of increase or spike in the  
7 incidence of toxicity? So, I think you would want to know  
8 that too. So, I think there is a dual purpose to achieving  
9 a benchmark. I don't think we should think about it as  
10 reassurance for patients because it is on such shaky ground  
11 that I don't think it is appropriate to say to patients you  
12 should be reassured that your risk is 1/1000 of an adverse  
13 event because I don't think we know that. We don't  
14 understand enough to say that with assurance to a patient.  
15 So, I really think it is for the other two purposes.

16 DR. WOLFE: Listening carefully to the criteria  
17 for including warnings, there are criteria involving animal  
18 studies and there are criteria involving human studies. If  
19 you look back, again, in our field, omeprazole was  
20 introduced initially with a black box warning, and that was  
21 based on studies done in rodents with clear inter-species  
22 differences, yet it was there and it was removed once it was  
23 shown that it was clearly a species-related phenomenon. I  
24 am not suggesting that is going to be the answer here, but  
25 there is precedence for it. I think the other precedence is

1 that if you add a black box later on, as in the case of  
2 cisepride, it is too late. People feel comfortable using  
3 the drug. So, we really have to decide how the warning is  
4 going to take place. I think we all agree that a warning of  
5 some sort is necessary.

6 DR. HANAUER: You are skipping ahead three points.

7 [Laughter]

8 DR. WOLFE: That is okay, we have to get out of  
9 here.

10 DR. RACZKOWSKI: Dr. Hanauer --

11 DR. HANAUER: Yes, sir.

12 DR. RACZKOWSKI: Most of the comments to the  
13 previous question seemed to focus on benchmarks for ischemic  
14 colitis. I wonder if people could comment what sort of  
15 toxicity levels they might accept for complications of  
16 constipation or serious complications of constipation for  
17 this drug.

18 DR. HANAUER: Comments? Dr. Welton?

19 DR. WELTON: It is good business for you, I guess  
20 -- good business for the colorectal surgeon. Actually, I  
21 just want to back up. How are we documenting the ischemic  
22 colitis? That is a real concern for me. I don't think a  
23 flexible sigmoidoscopy is going to be acceptable. It just  
24 sees internal hemorrhoids. You are going to have to go for  
25 a full colonoscopy every time to make sure the disease isn't

1 in the proximal half of the bowel. As far as benchmarking  
2 constipation, I am afraid I am at a loss.

3 DR. HANAUER: What are the committee's views on  
4 the complications that we have been presented with for  
5 constipation?

6 DR. LAINE: I guess the question is do we really  
7 have a denominator for the constipation complications? I  
8 don't think we do so I am not sure that we have a benchmark  
9 now. That is my problem with that.

10 DR. WELTON: And also the idea that it is such a  
11 personal definition.

12 DR. HANAUER: We are talking about serious  
13 complications. These are those requiring hospitalization,  
14 surgery, etc. That is what we are talking about. Dr.  
15 Surawicz?

16 DR. SURAWICZ: Yes, my concern is exactly that. I  
17 am not worried about the constipation that resolves when the  
18 drug is decreased or the doses are stopped or a drug  
19 holiday. It is these serious complications, the stercal  
20 ulcer, the perforation, the surgery. Even the last case, as  
21 confusing as it is, something happened after the drug was  
22 given and there is a temporal relationship even if not a  
23 causal relationship. So, that is what I think I would  
24 monitor. That, to me, is the alarm, the seriousness of the  
25 complications of the serious constipation. But I think the

1 milder constipation probably should be looked at as well to  
2 see if there are any indications in that group as to who  
3 then gets a more serious complication.

4 DR. WELTON: I guess my suggestion then would be  
5 anybody who requires hospitalization or even outpatient  
6 disimpaction. Anybody who requires physician intervention  
7 with disimpaction or hospitalization, in my mind, that is a  
8 serious complication of constipation for the patient and the  
9 physician.

10 DR. HANAUER: Dr. Lennard-Jones?

11 DR. LENNARD-JONES: Can I say something about  
12 these cases of constipation? One patient had had  
13 disimpaction previously. So, that is severe. Anybody who  
14 has had disimpaction before the drug is a complication with  
15 severe constipation. Another one was described as having  
16 severe idiopathic constipation, which is a very troublesome  
17 condition. So, I think we can establish that those two  
18 patients had severe constipation.

19 The other patients are really very complicated.  
20 The lady who had the perforation, she was in her 70s. There  
21 was no mention of constipation before the drug was given or,  
22 indeed, before surgery. I think our surgical colleague here  
23 would agree that a perforated sigmoid colon is not  
24 necessarily a complication of constipation. She might well  
25 have had a perforated diverticulum, for example.