

1 agree that a consumer, and even I have a problem
2 looking at that table and understanding the rates in
3 the comparator columns that he had pointed out
4 earlier, so.

5 DR. CANTILENA: Yes, Dr. Blewitt?

6 DR. BLEWITT: Yes, I, I agree with Dr.
7 Johnson though, and I agree with the point that's
8 being made, but if the data are quote flawed, which is
9 a term that I've actually used myself, if there are
10 better data that exist, or if there are better ways to
11 find out what, what the real data are, then, you know,
12 that would be the ideal situation, but I think to take
13 data that, or, I ask that the sponsor speak to this,
14 too. If these are not hard data, then you're taking
15 soft data or inferential data it sounded like to me
16 and now you're making it more consumer friendly. So
17 I don't think that that accomplishes what you want to
18 accomplish either.

19 I, I agree that in a situation like this,
20 you know, where pregnancy is the risk, if you will,
21 that the women should understand what the comparative
22 benefits are, of products are. The question is how
23 good are the data, the comparative data that they're
24 trying to interpret.

25 DR. CANTILENA: Yes, you know, actually,

1 I have a, a question if I can ask the, the folks on
2 the committee who actually practice in this area just
3 to get sort of your read. Are the numbers that are in
4 that table, Drs. Greene and Lerner, are they within,
5 you know, the ballpark, or --

6 DR. LERNER: No.

7 DR. CANTILENA: Okay. Could you, could
8 you comment a little more on exactly what you mean by,
9 you know, they're not in the ballpark and especially
10 if there's a way in which you can present, you know,
11 relative information.

12 DR. LERNER: In, in our out-patient clinic
13 which is just a very typical, you know, low socio-
14 economic Medicaid type patient population, we have a
15 beautiful poster -- I don't know the source of the
16 poster; I'm sure I can find out -- that actually has
17 all the benefits and, you know, sort of the
18 advantages, the disadvantages, a little, pretty
19 graphic on all the different methods. And the, you
20 know, estimates on efficacy rates. And you know,
21 that's sort of what we, you know, use in all our, you
22 know, OBGYN techs.

23 I'm sure the American College of OBGYN or
24 the, you know, family planning organizations must have
25 reasonable data. I'm sure there's data out there,

1 other than Contraceptive Technology, not that I'm
2 belittling that, but I kind of am. That I think that
3 as a much more global scope, that we might, or you
4 might sort of do an, a detailed in-depth review of
5 some of the references and just try and find out with
6 some, you know, find out some reasonable numbers and
7 then just, you know, put them in all of the, all of
8 the inserts as needed because I, I don't think they
9 are.

10 You know, we quote, you know, roughly what
11 we've seen which is barrier methods, roughly in the
12 ten percent range, so to think that the Today Sponge
13 has 40 percent, which was listed on that, just is
14 really way out of, of the realm of what we, we sort of
15 quote to patients and our estimates.

16 DR. GANLEY: I think in the one column
17 that was pointed out were typical use rates, and you
18 know, I would agree with the comments earlier. But I
19 think something like the lowest expected rate of
20 pregnancy, is that way out of the ballpark? Where it
21 actually lists the vaginal sponge as nine percent?

22 DR. LERNER: No, that's reasonable.

23 DR. GANLEY: Yes, that's what I'm, so
24 there is some information there that shows you in
25 terms of order magnitude compared to other methods.

1 DR. LERNER: But then the table needs to
2 be modified.

3 DR. GANLEY: Right, I'm --

4 DR. LERNER: No, then the table needs to
5 be modified.

6 DR. GANLEY: Right, I --

7 DR. LERNER: And just, you know, we
8 usually quote, you know, abstinence, a hundred
9 percent, you know, tubal ligation, you know, 99.5
10 percent, OCPs 98 to 99 percent, you know, condoms,
11 diaphragm, you know, everything, withdrawal, you know.

12 DR. CANTILENA: Okay. Further comments,
13 Dr. Greene? Would you like to add to that?

14 DR. GREENE: Yes, I'd, I'd like to address
15 that. I certainly agree. I think that the numbers
16 quoted here are way out of line and much higher than
17 we would normally quote our patients. And most
18 reference material that we would use, certainly, the
19 American College of OBGYN does have a, a patient
20 information literature that has numbers that don't
21 resemble this even closely.

22 I would like to revisit, since we're at
23 this point, the issue that was brought up a little
24 earlier, which is your denominator. And I would
25 certainly favor or recommend that the, sort of the

1 industry standard is how many pregnancies occur among
2 a hundred women using the method for one year, a
3 hundred women years.

4 Now, that can be made, that can be made
5 readily understandable for patients. And the problem
6 of having less than one woman per hundred women years
7 is understandable. Patients understand that. And
8 whether it's one in a thousand or one in two thousand,
9 most women don't worry about those differences too
10 much. If you just say less than one woman in a
11 hundred using the method for a year, people understand
12 that.

13 And with that as the standardization, I
14 think you could get numbers here that are very easy
15 for lay people to understand, and much more in line
16 with what we generally quote our patients.

17 DR. CANTILENA: Okay, thank you very much.

18 DR. LERNER: And just one further thing.
19 I, I do assume that we use all the American College of
20 OBGYN. They have tons of patient and physician
21 information stuff, so I, I do encourage you all to
22 sort of look into that.

23 DR. CANTILENA: Okay.

24 DR. GANLEY: Yes, I just want to point out
25 one thing that, you know, Dr. Greenslade hadn't really

1 touched on. And one of the reasons, I think, in our
2 proposed labeling where it said one out of ten is we
3 really didn't know where that rate came from and what
4 it was pertaining to, because if you actually look at
5 the current labeling, it's written as in clinical
6 trials of today, vaginal contraceptive sponge since
7 1979, over 18,000 women worldwide have completed over
8 12,000 cycles of use. The results of these clinical
9 trials are as follows, pregnancy rates per 100 women.
10 And they just list them.

11 And I think it goes back to what Dr. Chin
12 had reported, that when this was initially approved,
13 if you remember, the, the pregnancy rates, it was 12
14 month pregnancy rates. And then a year later, we're
15 just talking about pregnancy rates without any time
16 frame. And that's why, you know, we went through the
17 regulatory history, because we're a little confused as
18 to what rate we're talking about there, too. But I
19 think we understand your point.

20 DR. CANTILENA: Okay, Dr. Uden?

21 DR. UDEN: If I can -- are we done with
22 that? Because I wanted to ask a question about the
23 allergy alert, so if we're not --

24 DR. CANTILENA: Is this a question that's
25 you know, specifically to FDA?

1 the toilet inappropriately or into a garbage can or
2 wrapped up or whatever. But children see those as
3 attractive nuisances, as disgusting as that might
4 seem.

5 I guess my question is in your passive
6 surveillance system review, did you find -- I'm not
7 worried about the toxicity of, of at least, the parent
8 product. But did you find any instances of, of
9 choking among small children at all, who might have
10 gotten these, chewed them, swallowed them and had a
11 problem?

12 DR. KARWOSKI: We didn't go and look at
13 those specifically, but the lowest age that we found
14 for an adverse event report was 12 years old, so I
15 would assume that no, we haven't had any reports.

16 DR. KRENZELOK: Okay. Thank you.

17 DR. CANTILENA: Okay, what I think I'd
18 like to do now is move actually to the charge to the
19 committee by Dr. Ganley and then we'll still have
20 ample time for discussion prior to going into the
21 questions.

22 DR. GANLEY: Yes, I'm just going to keep
23 my remarks brief since I had made some earlier remarks
24 to try to focus the discussion. I think the one thing
25 I, I just want to emphasize again that, you know, one

1 of our purposes for reviewing the entire data base is
2 as a division, we weren't familiar with this product.
3 And so we weren't familiar with the safety of the
4 product. And we thought it warranted a safety review
5 to see if the other information needed to be included
6 in there.

7 In doing that, I think we developed a
8 comfort level that there was still a benefit, the risk
9 benefit still favored this product to be marketed.
10 That's number one.

11 And I think the other thing that I want to
12 make a point of that in the years since the sponge
13 discontinued the marketing, the, the agency has gone
14 to great lengths to try to improve the OTC labels.
15 And we've developed standards now that are actually in
16 the codified regulations.

17 And so, I think our position is that we
18 should try to improve this label before it goes back
19 on to the market, once they get their chemistry issues
20 resolved. And we can just go to the questions now.

21 And these are just the questions that we
22 had brought up. And one was given the material
23 provided in your briefing packages and presented
24 today, does the revised labeling adequately convey the
25 risk associated with the use of the product? The

1 current carton label does not contain information on
2 the efficacy of the product.

3 Should the carton label include efficacy
4 information so that the consumer will have this
5 information available at the point of purchase? And
6 I think in writing this question, we were focusing
7 more on the information that had come from the
8 clinical trials, rather than the comparative. But if
9 you want to comment on the comparative part -- and we
10 were looking at more in the vein, I think, of what is
11 currently on the topical minoxidil for hair growth as
12 providing that type of information.

13 And if, yes, it's this type of
14 information, should it be on other OTC products?
15 That's the important thing there.

16 Are there other aspects to the labeling
17 that we, that should be revised? We're interested in
18 any comments. And the other thing is to please
19 provide comments on the type of post-marketing
20 surveillance for adverse events the sponsor should
21 conduct. And I think the reason for that is, as
22 Claudia had pointed out, as years progress, the
23 reports that have come in have been lesser quality.
24 It's very hard to look at these things as a safety
25 reviewer and make some determination of causality.

1 And we, we think it's very important that these
2 reports, if they're, if they're given to a sponsor, be
3 well written, someone follows up on them, collects
4 information and then provides them to us.

5 I think the other issue is the type of
6 information we should ask in terms of the company
7 getting calls from consumers about difficulty removing
8 the sponge. And how should that be cataloged? What
9 kind of follow-up should be provided? Should the
10 company contact the consumer a day or two later just
11 to see that everything's okay? And those are the
12 types of things that I think we're interested in.
13 Thank you.

14 DR. CANTILENA: Okay. Thank you, Dr.
15 Ganley.

16 I think, actually, just before we go to
17 the, to the specific questions, what I'd like to do is
18 invite the committee, actually, individually. We'll
19 sort of go around the table, just to offer sort of
20 general comments regarding some of the issues in terms
21 of what they've seen. Some of, you know, the
22 conflicts that, you know, they've identified, and then
23 after we go around and everyone's had a chance to sort
24 of air their concerns or express their opinions
25 regarding sort of the global issues, then we'll come

1 back and go through the questions, one by one. So
2 we'll, actually, if you don't mind, perhaps we can
3 start over on this side. Dr. Krenzelok, if you'd like
4 to share with us your thoughts at this point without
5 actually specifically answering questions.

6 DR. KRENZELOK: All right. Thank you very
7 much.

8 Just a couple of things that have, have
9 sort of dawned on me as we've discussed this. From
10 the standpoint of, of this as a, a package that might
11 contain three or six or nine or twelve sponges, as I
12 stated earlier, it seems to me like there needs to be
13 information on each and every sponge that talks about
14 how to use the product properly and so on, rather than
15 there being a single package insert for the container.
16 Again, given the portability of them and the ease of
17 taking them and throwing them into a purse or a
18 briefcase or something of that nature.

19 Another thing that, that I'm sensitive to,
20 again, working in a, in a 24-7 type of situation is
21 the fact that I think here's an opportunity to be very
22 proactive with surveillance. So that in sort of a
23 passive way, to have 24-7 availability, not just
24 through pagers, but have a real live body there, a
25 competent person. And one of my thoughts on that is

1 perhaps that it might be wise to out-source something
2 like this to a nursing triage service, like Ask-A-
3 Nurse, who's there's 24 hours a day, seven days a week
4 as a, as a possibility.

5 And then the other thing along those
6 lines, and, and it's been addressed before is the
7 importance of some of those people having bilingual
8 capabilities, at least Spanish and English for this
9 country. So those are just the thoughts that I had.

10 DR. CANTILENA: Great. Thank you for
11 those comments.

12 I guess, you know, one possibility would
13 be to out-source through a poison control center.

14 (Laughter)

15 DR. KRENZELOK: That would be a conflict
16 of interest.

17 DR. CANTILENA: Dr. Blewitt, would you
18 like to share with us some comments?

19 DR. BLEWITT: I guess my, my own
20 observation at this point is that the issues are very,
21 very narrowly focused now. I don't see a great deal
22 of difference between the sponsor and, and the agency
23 on, on, on the principles involved in the labeling.
24 It's just a matter of how those things are worked out.

25 The only thing that we haven't discussed,

1 and I don't know whether it's up for discussion. But
2 in the review package, there's a consumer information
3 leaflet. And there's, there are about three pages of
4 --

5 DR. CANTILENA: Can you help find us that
6 --

7 DR. BLEWITT: Well, two, two pages. This
8 is in the section on 2000 label submission, and it
9 comes after the drug facts labeling. And, again, I
10 don't know if this is up for discussion. But it seems
11 to me that as I read through this, I had a few
12 concerns, that it's been significantly edited. It's
13 been, as far as I can see, substantially expanded in
14 size. And I'm, without getting down to the details of
15 it, a question again arises as to whether the
16 consumer's receiving too much information here.

17 So I, for instance, on -- well, I will
18 give you a for instance. There's a comment in here
19 you can avoid the risk of getting sponge-associated
20 TSS by not using the sponge. Well, that seems to be
21 a rather reasonable and unnecessary statement. I
22 mean, you won't get it if you don't use it, for sure.

23 But the, the major point is that there are
24 a lot of red additions. There are black, there are
25 deletions, but it's a much larger consumer information

1 leaflet than it was originally. And without being
2 judgmental about it, I would just ask people to
3 consider whether that's just overloading the consumer
4 with information to the point where they won't read
5 it.

6 DR. CANTILENA: All right. If you use, if
7 you use headers in the format, though, isn't, you
8 know, isn't it sort of easier to, to help sort of the
9 scanner to be able to?

10 DR. BLEWITT: I have no question about
11 the, the format. It's, it's only in terms of the
12 amount of content, the volume of content.

13 DR. CANTILENA: Great. Thank you very
14 much, Dr. Blewitt. Dr. Johnson, would you like to
15 share some comments?

16 DR. JOHNSON: Most of my comments probably
17 relate specifically to the questions. I mean, I think
18 that it's pretty clear that this is a safe product,
19 and that there's not much question that another
20 contraceptive method for women is a good thing. And
21 so, I think that it, it really does seem to be just a
22 matter of working out the, the little details in the
23 labeling.

24 DR. CANTILENA: Okay. Dr. Uden?

25 DR. UDEN: The only thing I'll add is, is

1 I'm concerned about the consumer comprehension of the
2 present label. The old one, the new one that's been
3 submitted, suggested by the FDA and that, that maybe
4 a consumer comprehension study needs to be done to
5 determine whether they can, somebody can understand
6 it. And, you know, maybe it needs to have cartoons
7 on, cartoons in the, in the package insert so that
8 people can really understand how to use it.

9 DR. CANTILENA: How do, how do you feel
10 the 2000, you know, proposed label compares, you know,
11 to the '91?

12 DR. UDEN: Much better.

13 DR. CANTILENA: Dr. Williams?

14 DR. WILLIAMS: I agree with what has been
15 said previously. I have no new, I guess, information,
16 more than I've used in the past. I've used this
17 product when I was in my practice and it was
18 available. So we were very conscious of the pitfalls
19 about the use of it as well as the literacy of the
20 patients that we had to deal with who had to come in
21 contact with it. And so we had to use more counsel in
22 our private office to, to ensure that they knew well
23 about this product. So I think the cautions have been
24 expressed are ones that I, I concur with.

25 DR. CANTILENA: All right. Thank you very

1 much.

2 Dr. Davidson?

3 DR. DAVIDSON: Well, I'm pleased to hear
4 everybody actually making some redundant conclusions.
5 You know, I'm going back to, to the clarity of the
6 message, you know. I don't mind if we have more
7 material. It's up to the patient to read what we give
8 them, you know. But there are some messages that need
9 to be clear, and I think we clearly stated what are
10 the messages that, that need to be out there.

11 I want to remind that the translation to
12 Spanish needs to be friendly, you know. It needs to
13 be basic and to the point. And, you know, not to
14 forget that we really want to have an 800 number that
15 covers minorities as well.

16 DR. CANTILENA: Okay. Thank you very
17 much. Dr. Lerner?

18 DR. LERNER: I made plenty of comments so
19 far. You know, again, I think it's great. I think
20 one of the most important aspects will be that 800
21 number. And I don't mean to sort of trivialize your
22 intention or purpose, but I think that that's going to
23 be, I think our main concerns are the toxic, toxic
24 shock and the questions of removal. And that clearly
25 is going to be where the patients head first.

1 So I think they're going to, the training
2 of people is going to be very important.
3 Additionally, I think just, I can't overestimate where
4 the placement on the label or on the carton needs to
5 be. There's a, a section that just sort of said
6 questions and comments, but that sort of didn't give
7 it enough impact. I know within the, you know,
8 narrative it said, you know, if you have trouble
9 removing it, call the, the talk line. But I think
10 maybe if the phone number is written in, you know,
11 larger font or bolder numbers or something. Just sort
12 of if the patients are ill or having problems, they
13 can just key right into it.

14 DR. CANTILENA: Dr. Gilliam?

15 DR. GILLIAM: A couple comments. The
16 first goes back to one of the earlier speakers today,
17 talking about incidents of vaginal irritation if it's
18 used for several days in a row. And, on a quick
19 glance, I don't see that that's really mentioned in
20 the package insert, and possibly that should be added.

21 I do think that a efficacy statement
22 should be added. And I like the one that Dr.
23 Greenslade had used earlier.

24 I think there should possibly be stronger
25 warnings not to use it while a woman in menstruating,

1 and possibly move that statement up to underneath the
2 toxic shock. Or, in addition, as a lot of the women
3 that do, did get toxic shock, it happened, they were
4 menstruating and using the sponge. And they were not,
5 they were, shouldn't have been doing so.

6 And then lastly, I, I think that there
7 really needs to be a package insert in the carton in
8 both Spanish and English, since you can't really
9 control the distribution of the product in, because of
10 our growing Hispanic population in this country.
11 That's all.

12 DR. CANTILENA: Thank you. Dr Greene?

13 DR. GREENE: I'll reserve my specific
14 comments for the answers to the questions. I just
15 generally feel strongly that this should be made
16 available, and I don't think there's a big difference
17 between the sponsor and the agencies, just a matter of
18 getting the details of the wording of the insert and
19 the carton.

20 DR. CANTILENA: Thank you. Dr. Neill?

21 DR. NEILL: A couple of questions that
22 will help me in later answering charge three about
23 specific items of the label to be revised, and I'll
24 direct these to Dr. Krenzelok. I'm less worried about
25 children eating these than sex partners, and I'm

1 wondering -- I have no idea about the toxicity of
2 nonoxynol-9 or the sponge itself, which I presume
3 would simply be passed right out the other end when it
4 ends up in the mouth and alimentary tract of a sex
5 partner. Do I need to be worried about nonoxynol-9
6 when it's ingested?

7 DR. KRENZELOK: No, it's, it's very
8 innocuous, from my experience. As a toxicologist,
9 that is.

10 (Laughter)

11 DR. CANTILENA: Thank you for adding that
12 clarification.

13 (Laughter)

14 DR. CANTILENA: We were starting, starting
15 to worry about Pittsburgh.

16 (Laughter)

17 DR. NEILL: Well, since you brought it up,
18 Ed, you know, the other question that came to mind
19 aside from this, you know, oral ingestion that I had
20 was in occupying my mind with all of the different
21 permutations that might occur in the course of sex as
22 it happens, I was -- and this does not pertain to any
23 of our charge. It was just I thought interesting and
24 maybe a little entertaining. I was wondering what
25 happened to the efficacy of this product with food,

1 alcohol, any or all of the above in many different
2 kinds of combinations. Please, don't anybody feel
3 compelled to answer that. And then, I've got a couple
4 other specific questions that we'll get to when we get
5 to the charge.

6 DR. CANTILENA: Okay. Thank you very
7 much. I think in the, in the interest of time, I'll,
8 I'll just reserve my comments to, as, as they sort of
9 pertain to the questions. So if there are no other
10 issues that people want to discuss, why don't we
11 proceed with the questions then?

12 First question, given the material
13 provided in your briefing packages and presented
14 today, does the revised labeling -- and here, we're
15 specifically talking about the 2000 proposed labeling
16 that's in your document -- adequately convey the risks
17 associated with the use of the product?

18 And what I'd like to do is, this is a yes,
19 no answer. And as opposed to going around the table,
20 why don't we just ask for a show of hands. So all
21 those who feel that the answer is yes, that is, the
22 revised labeling does adequately convey the risks,
23 please raise your hand.

24 (Hand vote taken)

25 DR. CANTILENA: It looks like nine. Okay.

1 All those who feel it does not adequately convey the
2 risks.

3 (Hand vote taken)

4 DR. CANTILENA: One, and can I ask you to
5 actually comment in terms of what is, you know,
6 missing?

7 DR. KRENZELOK: I think that, that the
8 information about toxic shock should be emphasized in
9 bold so it really stands out. That's the only reserve
10 I have.

11 DR. CANTILENA: Okay. Thank you very
12 much.

13 Second question is the current carton
14 label does not include information on the efficacy of
15 this product. Should the carton label include
16 efficacy information so that the consumer will have
17 this information available at the point of purpose?
18 So, specifically, we're talking about including
19 efficacy on the outside, on the carton, as opposed to
20 on the inside, in the package insert.

21 And here, again, I'd like to ask for all
22 those who answer in the affirmative that the label,
23 the carton label should include efficacy on the
24 outside, please indicate by raising your hand now.

25 (Hand vote taken)

1 DR. CANTILENA: Okay. Nine in the
2 affirmative, and can I ask Dr. Neill, I assume you're
3 voting no and not abstaining. Could you tell us, you
4 know, what your concern was?

5 DR. NEILL: If we include only efficacy on
6 this product, and we say this is effective -- in 100
7 women years of use, there will be X many pregnancies
8 and do not include information about other products,
9 I don't know that I or consumers would naturally come
10 by the information to make that isolated nugget
11 useful.

12 If we include the other comparative
13 information, that's something, given what I understand
14 now about how Rogaine and some other medicines have
15 been marketed, that I don't feel comfortable getting
16 into.

17 I mean, that gets to the second part of
18 this, which we'll get to in a minute, which is, if
19 yeah, should it be required of all OTC contraceptive
20 products. If the answer to the first part is yes,
21 there's an implied question in my mind which is okay,
22 what kind of efficacy. And that's going to require a
23 whole another day of hearings.

24 And I think we also need to take into
25 consideration the comments that were made very early

1 today about the extent to which our discussion of
2 inclusion of that information on all OTC contraceptive
3 products would require that kind of process. I think
4 that it's probably that important.

5 DR. CANTILENA: So, so you're saying then
6 if, if the information on the outside was comparative
7 in nature and valid, I assume, then, you would favor
8 that. And, you know, as it is, in isolation with, you
9 know, one and ten, it's not adequate, or it's not
10 advisable.

11 DR. NEILL: Well, I, I would not oppose --
12 well, it's nine to one, so it doesn't matter what I
13 think, but --

14 DR. CANTILENA: It always matters.

15 DR. NEILL: Oh, of course. All right. I
16 think that if it's just in isolation, that might be
17 preferable. As somebody who has to counsel patients
18 all the time, I actually find it less useful for me to
19 say, or incompletely useful for me to say this is, you
20 know, will result in X numbers of pregnancies per 100
21 women years. But rather, I find it more useful for me
22 to put my patients' risks in the context of the risks
23 that they face daily in their life.

24 I, for -- I don't like telling my patients
25 and having them think this is a very risky thing, if

1 they don't understand that walking across Market
2 Street outside my office is even riskier. Do you
3 understand what I'm saying? And that --

4 DR. LERNER: Just, just as a comment with
5 that, I think that the decisions here are made at the
6 corner drug store at 3:30 in the morning. And so I
7 think that as much information as we can provide,
8 comparative or otherwise, is going to be much more
9 than they're going to get, you know, keeping any of us
10 at that time of the morning.

11 DR. NEILL: I guess, then, my plea would
12 be to include meaningful, comprehensible efficacy
13 data. For something where the outcome is as
14 measurable as a pregnancy, that's useful. The
15 difficulty for the agency, I think, is going to be for
16 OTC products for which this may become an issue, for
17 which there's an outcome which is much more
18 subjective, like Rogaine.

19 DR. CANTILENA: Okay, I guess, can we ask
20 another question then, Dr. Ganley, of, of the
21 committee and, you know, regarding the format of the
22 information, whether it's now comparative or just, you
23 know, isolated for the product? If, if you're not
24 opposed, then I would like to propose a question to
25 the committee then. If efficacy label, if, if the

1 efficacy information on the outside of the carton
2 contained, you know, comparative information to other
3 methods of information, would you favor it included on
4 the outside of the carton with this product?

5 DR. GANLEY: Can, can I just make a
6 comment for anyone?

7 DR. CANTILENA: Okay.

8 DR. GANLEY: I'm, I think the one thing
9 that we have to be sensitive to is that the size of
10 these boxes are a certain size. And there's so much
11 information you can get on it. And I think you have
12 to take into account that if you're going to put
13 comparator information, it is going to take up a lot
14 of room. And so, I think you need to keep that in
15 mind when, you know, if, if -- and that's, that's
16 become a problem for us in, and certainly, I think
17 that Allendale would agree with that. And if there's
18 another way to show or to direct a consumer to the
19 package insert, I think that, you know, that we, we
20 cannot forget those things, that there's a limited
21 size on these boxes.

22 DR. CANTILENA: I guess the --

23 DR. GANLEY: Unless we just sell 12 packs,
24 or 18 packs or something.

25 DR. CANTILENA: Yeah, I guess, I guess,

1 you know, the reason I was suggesting, you know, this
2 question is really at, at the time the consumer is
3 making the choice about, you know, purchase, should
4 they know how this compares to other methods, which
5 are over the counter or, or otherwise? And that, but,
6 I guess it's sort of a, a hypothetical because, all
7 right, you know, obviously if, if the box has to be,
8 you know, five by six feet in order to get all the
9 information that, it would not be a practical thing.
10 So I guess that's where that where was coming from.
11 Any other, any other comments? Dr. Johnson?

12 DR. JOHNSON: Well, I think, already in
13 the package insert, there's a statement this is much
14 less effective than the pill and IUD. So it might be
15 possible to put on there in 100 women over one year,
16 you would, there would be approximately ten
17 pregnancies. This is much less effective than
18 hormonal methods such as the pill or Norplant and the
19 IUD. And I think, you know, that doesn't go into a
20 lot of real specific comparator data, but does give,
21 give them a point of reference. Because I think most
22 women understand that the pill was a very effective
23 birth control method and can sort of use that as a
24 comparison.

25 DR. CANTILENA: Yes. Dr. Lerner?

1 DR. LERNER: But sort of to answer the
2 second part, the part A, and then sort of reflect it
3 back, I think that if we say then that we do agree
4 that this kind of information should be required of
5 all over the counter contraceptive products, then we
6 can sort of, or you guys can sort of make some sort of
7 standardized mechanism so that you can put it in a
8 certain sort of well-circumscribed way that's
9 consistent so that when the consumer is going down the
10 aisle, you know, there's sort of a particular place
11 that they can look and see, you know, the comparative
12 efficacies, and therefore, sort of save room, sort of
13 in the same way that you'd go down and look at the
14 saturated fat in your Snackwell cookies or something,
15 you know. Just sort of the number of grams or
16 whatever per any given serving.

17 DR. CANTILENA: Okay. Well, then, how
18 about if we -- I'm sorry. Dr. Neill?

19 DR. NEILL: I, I similarly would favor a
20 condensation into a single sentence which made sure to
21 include the other OTC. If the point is to allow
22 people to make a decision about condom, semicid, foam,
23 jelly or sponge versus, you know, pill, et cetera.
24 While pill's important, if they're there at three in
25 the morning, they ain't going to get it.

1 DR. DAVIDSON: No.

2 DR. CANTILENA: Okay. So we have nine in
3 favor, and perhaps I can just ask you to comment why
4 you did not.

5 DR. DAVIDSON: You know, I, I think it's
6 important but I think that, you know, we can have that
7 information inside. If you give the information of
8 that product on the outside, because if you look at
9 the package, you know, there's other more important
10 information that should be outside, including you're
11 going to state that this is not a 100 percent
12 effective, you know. For, for the information, see
13 the package insert. You know, I think we need to make
14 it a little simpler for the people, you know, that buy
15 these products. Otherwise, if you put a lot of
16 information outside the package, you know, people are
17 going just to read a couple of things and then no
18 more. That's my recommendation.

19 DR. CANTILENA: Okay. Thank you. I
20 believe we haven't formally answered 2A although we
21 started to a couple of times. 2A, if yes -- yes,
22 meaning it should be on the carton, should this kind
23 of information be required of all OTC contraceptive
24 products? And again, we'll first ask all those in
25 favor of having it available on all OTC contraceptive

1 products, please raise your hand?

2 (Hand vote taken)

3 DR. CANTILENA: I think this time we are
4 unanimous. Okay, the next question, question three,
5 are there other aspects of the labeling that should be
6 revised? And here, I guess, we'll just open it up to
7 comments, perhaps going around the room, starting
8 around this side with Dr. Neill. Any other aspects of
9 labeling that should be revised when we're now, our
10 frame of reference is the 2000 label?

11 DR. NEILL: Yeah, I have I think three
12 questions that I would propose be considered for
13 inclusion in the section, other questions you may
14 have. The first is what if it comes out? I realize
15 that difficulty with removal is, you know, the single
16 biggest complaint or reason for phone calls, but if it
17 comes out, can you put the same one back in? Do you
18 have to use a different one? If it comes out and you
19 don't have another one, is that the end of sex?

20 The other question would be, there's just
21 two, not three. It's related to one that Dr. Gilliam
22 asked a few minutes ago. Can this be used several
23 days in a row? And I think that would also allow an
24 appropriately prioritized discussion of the extent to
25 which increased sensitivity may occur if it's used

1 several days in a row. My perception being, yeah,
2 certainly, it can be. However, you may experience
3 more irritation with this. And I think that's a valid
4 concern that needs to be in there somewhere.

5 DR. CANTILENA: And here, you're talking
6 about in the package insert and not the label? I mean
7 the front part?

8 DR. NEILL: In the package insert, right.

9 DR. CANTILENA: Right. Thank you. Dr.
10 Greene, any, any comments about other issues of
11 labeling?

12 DR. GREENE: Not really; just minor. I
13 was glad to see under six, there are other questions
14 you may have, that the first thing it addresses is the
15 use of a latex condom. And I do think that's
16 important. And the only minor sort of editorial
17 suggestion is that in that section where it says will
18 help reduce the risk of transmission of human
19 immunodeficiency virus, HIV, and acquired immune
20 deficiency syndrome. I, I would just suggest that
21 that just be changed to read the virus that causes
22 acquired immune deficiency syndrome. That's just
23 technically a little more correct, but I don't have
24 any major problems.

25 DR. CANTILENA: Thank you very much.

1 Dr. Gilliam?

2 DR. GILLIAM: Just the comments I, I made
3 earlier, especially regarding the irritation and
4 stronger warnings not to use during menses.

5 DR. CANTILENA: Dr. Lerner? No further
6 comments. Dr. Davidson? Dr. Williams? Dr. Uden?

7 DR. UDEN: Only that I think it could be
8 written in a lot less technical terms than what it is,
9 so.

10 DR. CANTILENA: The insert, the?

11 DR. UDEN: Yes, the package insert, yes.

12 DR. CANTILENA: Thank you. Dr. Johnson?

13 DR. JOHNSON: My comment is primarily a
14 practical one regarding what goes on the outside of
15 the box. And it has to do with the directions. I
16 mean it's not very clear to my, me, why we need to
17 tell them on the outside of the box that they need to
18 wash their hands, wet the sponge, put the, the dimple
19 side facing up.

20 I mean, it seems to me if you're trying to
21 save space, those are things that someone will look
22 for once they buy the package, once they open it and
23 they're ready to use it. So it seems like you could
24 get rid of a lot of the things in that direction
25 section. And then you would have more room for things

1 like how effective is this product, which I think is
2 much more important.

3 DR. CANTILENA: Yes, follow up to that,
4 Dr. Neill?

5 DR. NEILL: Yeah, I -- while there's
6 probably room for some editing there, I think at least
7 part of the purpose is to allow people to make a
8 decision about whether they want to use it. And if
9 there's an advantage to having that kind of explicit
10 direction, it's that some consumers may see that and
11 decide as a result yes, they really want to use this,
12 as opposed to another method or they really don't want
13 to use that. And simply directing somebody to the
14 package insert inside removes that portion of the
15 information that helped them use it.

16 And, you know, it's just, who knows
17 whether that would be the major contributor about a
18 decision to purchase? Personally, I think for
19 information on the outside of the package, if the FDA
20 or somebody doesn't say something about where the
21 price sticker goes, everything we're talking about
22 means nothing. And I'm not suggesting that we talk
23 about where the price sticker goes.

24 DR. CANTILENA: Further comments, Dr.
25 Johnson? Thank you. Dr. Blewitt? Dr. Krenzelok?

1 Yeah, I guess, really, I would concur with a lot that
2 has been said. I, I would also just, under number
3 seven, points to remember, it's not, it doesn't jump
4 right out at you that the product needs to be left in
5 place for six hours after the last act of intercourse.
6 And I, I would understand, or I understand that if you
7 remove it shortly after, it's high likelihood to, you
8 know, not be effective.

9 So if there's some way to emphasize that
10 clearly on the insert. It, you know, doesn't have to
11 be on the outside, but just to make sure that people
12 don't use it like some other methods where, you know,
13 you remove it right away after you -- yeah, it's,
14 right. But it's sort of, you know buried down there.
15 And that's sort of the final point, so if there's some
16 way to emphasize that, that I think would be helpful.

17 DR. UDEN: Can I make a comment on that?
18 Because what that does is effectively you cannot have
19 sexual intercourse from 24 hours to 30 hours. So if
20 somebody has it from 24 to 30 hours, they can't leave
21 it in for six more hours. So this is only good for
22 sexual intercourse for 24 hours, and then it has to be
23 left in. And then there's that window there where
24 they're not supposed to have sexual intercourse if
25 they're going to follow the directions explicitly.

1 DR. CANTILENA: Yes, Dr. Johnson?

2 DR. JOHNSON: I, I did find one other
3 thing I wanted to comment on. And that was the
4 pregnancy rate tables. I mean, I think I sort of made
5 it clear I really dislike that table, one because I
6 think they can't understand it. And secondly, because
7 it sounds like the data has at least some problems.

8 And I think certainly we need some
9 comparator data, but I think written in the form that
10 Dr. Greenslade suggested is, is much more useful for
11 the patient.

12 DR. CANTILENA: Okay. Thank you. What
13 I'd like to now do is just turn to the final question.
14 And I know the hour is late, but I would really ask
15 your, you know, patience to, to really give this some
16 thought because I think it's possibly a very important
17 issue.

18 Question four, please provide comments on
19 the type of post-marketing surveillance for adverse
20 events the sponsor should contact, excuse me, should
21 conduct. And here, we have issues of active
22 collection, follow-up reporting analysis of cases of
23 difficult sponge removal, provisions in place and, to
24 facilitate adequate adverse event reporting.

25 So again, we started here last time.

1 DR. UDEN: Yeah, I don't think we should
2 hold them to a higher standard. I mean, unless this
3 question, I mean if, if we did, then we would be
4 setting a precedent for every, every product that
5 would come in front of this advisory committee or in
6 front of the FDA from here until the end, I would, I
7 would assume. I mean, what would be special about
8 this product that we would ask this versus other
9 products that might, that might become OTC? Other
10 than that comment, I just hope that the sponsors would
11 have complete and consistent information in, in their
12 system, so that it's as complete as possible.

13 DR. CANTILENA: Thank you. Dr. Williams?

14 DR. WILLIAMS: The only concern I have is
15 to look at the CDC data as that comes available
16 regarding the reintroduction of the product. We have
17 a reportable disease and that could be easily
18 monitored.

19 DR. CANTILENA: Thank you.

20 Dr. Davidson?

21 DR. DAVIDSON: I agree with everybody but,
22 you know, one thing I forgot maybe for the low
23 literacy people, you know, a video on how to use the,
24 you know, the device will help us. And I don't know
25 if the sponsor is willing to, you know, make a video

1 for those very low literacy people.

2 DR. CANTILENA: Thank you. Dr. Lerner?

3 DR. LERNER: Well, we've discussed a lot
4 about the consumer hotline. But I think also a
5 physician hotline or some way to get the practicing
6 clinicians, you know, sort of plugged into the system
7 so that when they do encounter any adverse outcome,
8 there's a, either a phone number or something
9 accessible -- medical letter or in the journals or
10 however you do that with other stuff.

11 DR. CANTILENA: Thank you. Dr. Gilliam?
12 No further comments.

13 Dr. Neill?

14 DR. NEILL: I would never make it on
15 Jeopardy pushing this button. The, it's a generic
16 comment that has to do with the repetitive nature of
17 this question which I think goes to the question of
18 the MedWatch system. If I were to ask my residents,
19 okay, what's MedWatch, you know, I'd get a 1 out of 36
20 response rate. And, so generically, I guess I would
21 put in a plea to the agency or some higher up muckety-
22 mucks that have, you know, budget dollars to do
23 whatever might be done to help improve that system as
24 a monitoring system given that it's, you know, relying
25 on lazy physicians like me to both understand that I'm

1 seeing an adverse event and pick it up and report it.

2 And I realize that there have been a lot
3 of things done to make that easier. I used to
4 literally go and photocopy the little form out of the
5 back of the PDR. But I threw all the PDRs out of my
6 office because I hate them as a drug reference and
7 have taught my residents not to use them. And so now,
8 we've got to go to the web and do all this other
9 stuff, and then the network's down so, again, just a
10 long plea, you know. Whatever you can do to improve
11 that, make it easier, market it.

12 Here's a, here's an idea. We can take
13 these FDA NDA fees and take a portion of those or, you
14 know, we can always hit the sponsors up for something,
15 right? Rather than making them pay for an adverse, an
16 active adverse event reporting system, we, we take
17 some portion of the money that we, I mean ask from
18 them for their NDA and put that specifically towards
19 some of this MedWatch -- I don't know, a MedWatch czar
20 or something, however that works.

21 DR. CANTILENA: Okay. Thank you very
22 much. I, I guess I would only add just a couple of
23 small comments on this issue. One, one is, you know
24 when I hear a couple things about being a small start-
25 up company and all the employees being here at the

1 meeting, I get concerned that the follow-up and, you
2 know, safety is not going to be adequate. I'm sure
3 that the plans would be, and I would hope that, you
4 know, you would have agreement on this with, with the
5 FDA that as it goes on the market or hopefully just
6 before the cash flow starts that you would clearly
7 invest in having adequate, you know, facilities,
8 adequate, you know, personnel. And just make sure
9 there's a very tight, you know, linkage between the
10 800 number and follow-up and the adverse events.

11 And, and I would say that even because
12 this has not been on the market for basically five or
13 six years, it's an opportunity to see how well the
14 system actually works. So even if you could agree on
15 perhaps, you know, quarterly reports instead of annual
16 reports to see sort of the linkage between the 800
17 number and the adverse events and, and the follow-up
18 on the adverse events.

19 And I would just, as some free advice to
20 the sponsors, invest in quality individuals who have
21 experience in this area because it'll, you know, make,
22 you know, your job a lot easier, and all the people
23 who are watching a lot easier as well if there's good,
24 you know, documentation and follow-up. So that's my
25 two cents. Now, I guess, I'll, I just have to ask the

1 FDA if there are any other issues that we have not
2 addressed, any questions that were not adequately
3 answered that you'd like us to address at this time?

4 DR. GANLEY: No, I think we got an idea of
5 what your position is, and we appreciate all the
6 comments. I, I think Dr. Neill made a interesting
7 point there, you know, about the reporting to FDA.
8 And, and one of the things that was not included in
9 the, the labeling rule was a requirement to include a
10 MedWatch number on there. And I get a sense that you
11 would actually, or to have some information that you
12 could, if people could complain to FDA, if not to the
13 sponsor.

14 I'll just point out that the questions and
15 comment portion of the labeling is not required unless
16 the sponsor decides to do it. In the NDA route, we
17 can more or less encourage them to do it but in the
18 monograph system it's not a requirement for a company
19 to do that.

20 DR. NEILL: I wouldn't suggest putting the
21 MedWatch number anywhere, I mean, if it were going to
22 be any place, it ought to be on the actual product
23 itself that gets pulled out in the emergency room at
24 three in the morning. So, you can say, oh, I'm
25 suppose to call so and so. With the other obvious

1 places for that to be -- would be, you know, in more
2 generic education programs and that would require, I
3 think in about, you know, how do we get physicians to
4 change behavior and good luck.

5 DR. CANTILENA: Well, on that pleasant
6 note, why don't we adjourn for today and thank you
7 very much for your attention and all of your comments.

8 DR. TITUS: And would the Committee please
9 take their information off the table because it's
10 going to be reset before tomorrow morning. So,
11 take -- you can leave your name tags and your name
12 plates but take any of your paper with you, please.

13 (Whereupon, the meeting of the
14 Nonprescription Drugs Advisory Committee regarding
15 Labeling Issues on the Today Sponge, was concluded.)

16
17
18
19
20
21
22
23
24
25

C E R T I F I C A T E

This is to certify that the foregoing transcript in
the matter of: NONPRESCRIPTION DRUGS ADVISORY
 COMMITTEE MEETING ON LABELING AND
 REMARKETING ISSUES - THE TODAY SPONGE

Before: FOOD AND DRUG ADMINISTRATION
 CENTER FOR DRUG EVALUATION AND RESEARCH

Date: WEDNESDAY, JULY 12, 2000

Place: BETHESDA, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Davis

Look-See Concordance Report

 UNIQUE WORDS: **3,126**
 TOTAL OCCURANCES: **18,141**
 NOISE WORDS: **385**
 TOTAL WORDS IN FILE: **47,965**

 SINGLE FILE CONCORDANCE

 CASE SENSITIVE

 NOISE WORD LIST(S): **NOISE.NOI**

 INCLUDES ALL TEXT OCCURRENCES

 IGNORES PURE NUMBERS

 WORD RANGES @ BOTTOM OF PAGE

 - 1 -

1-800 [2] 78:19; 119:6
 119-year-old [1] 30:19
 12th [1] 4:6
 17th [2] 122:3; 182:15
 1970s [1] 43:16
 1990s [1] 57:23
 1:09 [1] 4:2

 - 2 -

24-7 [6] 152:8, 11; 176:22; 212:20, 23;
 237:8
 2A [4] 170:10, 11; 230:20, 21

 - 3 -

36-year-old [1] 8:15
 3:30 [2] 164:19; 225:6

 - 7 -

70s [1] 46:15

 - 8 -

80s [2] 63:25; 73:25

 - 9 -

90s [2] 63:25

 - A -

abbreviated [1] 50:13
 abides [1] 20:5
 ability [2] 64:4; 97:23
 able [20] 17:24; 18:5; 19:1, 7; 44:19, 23;
 45:16; 56:11; 63:23; 64:20; 84:19; 86:13;
 100:24; 101:14; 119:5; 131:16; 154:22;
 192:3; 215:9
 abnormalities [1] 114:11
 Abortion [2] 27:12, 17
 abortion [6] 27:23; 28:1, 9; 30:6; 75:16;
 83:6
 abortions [1] 28:13
 absence [2] 38:11; 50:20
 absolute [2] 86:1; 90:20
 Absolutely [2] 104:25
 absorbent [1] 91:23
 abstaining [2] 162:16; 223:3
 abstinence [4] 70:5; 71:3; 143:20; 204:8
 accept [1] 13:4

acceptable [1] 111:4
 accepted [5] 111:9; 138:22, 25; 199:9, 12
 access [19] 18:8, 10; 25:21, 25; 27:20,
 23; 29:1; 30:4; 40:4; 56:18; 58:12; 59:7;
 60:9; 61:15; 62:13; 97:23; 98:14; 104:13,
 15
 accessibility [5] 9:4; 13:13; 26:25; 58:22;
 64:20
 accessible [4] 10:11; 57:24; 178:22;
 239:9
 accessing [1] 60:11
 accompany [1] 22:24
 accomplish [2] 141:5; 201:18
 accomplishes [2] 141:4; 201:17
 accordance [2] 125:15; 186:2
 According [2] 59:16; 63:16
 according [3] 11:2; 37:25; 98:7
 account [2] 165:25; 226:12
 accreditation [1] 20:6
 accumulating [1] 73:16
 accurate [5] 12:6; 65:24; 67:11; 87:4;
 90:3
 acknowledge [1] 99:2
 acquire [1] 74:8
 acquired [5] 93:3; 172:9, 12; 232:19, 22
 acquisition [1] 77:2
 act [3] 63:14; 174:19; 235:5
 acting [2] 4:12; 38:10
 Action [1] 27:17
 active [26] 9:11; 10:7; 25:15; 52:17;
 123:1; 124:20; 134:3, 5, 6; 137:1; 176:10,
 20; 177:9; 180:4; 183:13; 185:7; 194:15,
 17, 18; 197:13; 236:21; 237:6, 20; 240:16
 actively [4] 133:20; 136:15; 194:7; 197:2
 activity [1] 73:17
 acts [8] 9:22; 10:22; 11:1; 15:11; 16:21;
 67:4, 6; 79:8
 actual [5] 11:2; 14:19; 132:6; 192:18;
 242:22
 acute [2] 22:9; 116:12
 adapt [2] 139:3; 199:15
 adapted [2] 128:10; 188:22
 add [9] 97:5; 125:8; 143:25; 155:13;
 180:10; 185:20; 204:13; 215:25; 240:22
 added [17] 108:5; 126:6, 9, 23; 127:3, 17,
 18; 158:9, 11; 186:18, 21; 187:10, 15;
 188:4, 5; 218:20, 22
 adding [4] 45:24; 67:8; 159:25; 220:11
 addition [14] 9:25; 25:18; 43:24; 51:13;
 53:17; 62:20; 88:3; 118:13; 126:9; 136:6;
 158:16; 186:21; 196:18; 219:2
 Additional [3] 110:15; 126:7; 186:19
 additional [15] 10:22; 17:7; 55:22; 56:13;
 83:9; 87:13, 18; 93:5; 121:13; 125:8;
 126:23; 135:1; 185:20; 187:10; 195:13
 Additionally [4] 15:21; 16:24; 157:17;
 218:3
 additions [5] 24:10; 125:20; 154:12;
 186:7; 214:24
 address [19] 7:12; 18:14; 28:7; 31:1;
 49:8, 11; 75:14; 87:21; 93:11; 101:5;
 106:12; 134:14; 144:1; 176:16; 181:17;
 195:1; 204:14; 237:2; 242:3
 addressed [10] 18:16; 37:17; 78:6;
 104:20; 152:19; 168:22; 181:15; 213:6;
 229:8; 242:2
 addresses [5] 6:16; 124:15; 172:4; 185:2;
 232:14
 adequate [18] 17:9; 18:25; 29:18; 35:6;
 48:10; 113:15; 140:7; 163:22; 176:13;
 180:15, 20, 21; 200:20; 224:9; 236:24;

241:2, 7, 8
 adequately [17] 19:3; 33:25; 39:23;
 54:25; 55:12; 60:12; 76:13; 149:11; 161:5,
 11, 15; 181:16; 209:24; 221:16, 22; 222:1;
 242:2
 adjourn [1] 243:6
 adjustment [1] 12:3
 Administration [1] 106:4
 administration [1] 72:16
 administrative [5] 106:16, 19; 107:18, 21,
 23
 admitted [1] 116:24
 Ado [2] 126:24; 187:11
 adopted [1] 34:21
 advance [2] 8:21; 46:5
 advances [1] 46:6
 advantage [4] 168:25; 173:23; 229:11;
 234:9
 advantageously [1] 101:5
 advantages [7] 28:22; 29:16; 45:11;
 72:15; 113:8; 142:5; 202:18
 Adverse [2] 112:24; 113:6
 advertising [1] 30:23
 advice [6] 75:15, 21; 103:20; 104:7;
 181:7; 241:19
 advisable [2] 163:23; 224:10
 advised [1] 91:21
 advises [1] 54:25
 Advisory [13] 4:7; 5:11, 14, 20, 23; 6:2, 5,
 7; 21:19; 38:8, 13; 41:7; 243:14
 advisory [3] 42:10; 177:19; 238:5
 advocacy [4] 13:3, 18; 20:4; 27:18
 advocate [2] 13:10, 16
 AER [2] 130:9; 190:21
 AERS [3] 113:7, 9; 114:3
 aesthetic [1] 46:6
 Affairs [2] 74:21; 77:12
 affect [1] 57:1
 affecting [1] 31:16
 affects [2] 60:21; 63:5
 affiliate [1] 27:19
 affiliates [1] 56:1
 affirmative [4] 162:10, 15; 222:22; 223:2
 afford [1] 61:1
 affordability [3] 60:25; 62:21; 64:21
 affordable [4] 56:19; 57:25; 60:5; 61:4
 afraid [1] 78:18
 afternoon [14] 4:12; 8:12; 19:19; 27:14;
 30:15; 49:11, 12; 55:18; 64:25; 106:8, 10;
 112:3; 121:17; 182:4
 age [6] 59:13; 115:13; 116:20, 21; 147:25;
 208:13
 agencies [3] 113:4; 159:6; 219:17
 agency [32] 18:17; 41:18, 22; 85:11;
 106:22; 108:15, 19; 110:6; 122:3, 8;
 123:10, 21; 136:9; 137:13; 138:20; 139:2;
 148:25; 153:10; 165:3; 179:9; 182:15, 20;
 183:22; 184:8; 196:21; 197:25; 199:7, 14;
 209:13; 213:22; 225:15; 239:21
 agenda [4] 6:20; 7:7; 37:25; 106:3
 agent [1] 50:11
 agents [1] 54:9
 ages [2] 59:13; 116:20
 aggressive [1] 119:21
 agree [33] 95:7; 96:7; 97:15; 138:15;
 140:2, 13, 18, 19; 141:6; 143:5; 144:2;
 156:2; 166:6; 167:17; 177:5, 8; 178:9;
 181:2; 199:2; 200:15; 201:1, 6, 7, 19;
 203:18; 204:15; 216:14; 226:17; 228:3;
 237:16, 19; 238:21; 241:14
 agreement [3] 23:2; 180:17; 241:4

AIDS [1] 24:14
Aids [3] 12:2; 15:3; 23:5
aim [2] 99:11, 17
ain't [2] 168:14; 228:25
air [2] 151:11; 211:24
aisle [2] 167:24; 228:10
Alan [2] 24:25; 25:3
alcohol [2] 160:15; 221:1
alert [10] 126:9, 17; 146:10, 16, 17; 186:21; 187:4; 206:23; 207:4, 5
alimentary [2] 159:18; 220:4
Allen [1] 59:18
Allendale [21] 20:12, 16; 21:9; 39:2, 9; 40:25; 41:9, 22; 56:9; 58:8; 65:22; 73:10, 11, 20; 107:14; 111:20, 21; 134:14; 166:6; 195:1; 226:17
allergic [14] 14:20; 121:1; 146:18, 20, 21, 22, 23; 147:1; 207:6, 8, 9, 10, 11, 14
allergy [9] 75:14; 126:9; 146:10, 15, 17; 186:21; 206:23; 207:3, 5
ALLINA [3] 12:25; 17:21; 19:4
Allina [2] 12:23; 13:1
allocated [2] 8:1; 41:1
allotted [1] 106:5
allow [10] 29:22; 40:21; 122:20; 168:10; 171:13; 173:21; 183:7; 228:21; 231:23; 234:7
allowed [1] 22:12
allows [4] 9:12, 20; 29:1; 33:13
alone [1] 60:13
alter [1] 22:19
altering [2] 33:10; 34:25
alternate [1] 91:22
alternative [5] 10:1; 13:12; 27:4; 28:21; 61:4
America [4] 56:2, 17; 62:11; 74:21
American [15] 20:25; 21:8; 43:22; 57:24; 75:21; 77:11; 103:17; 104:14; 105:17; 142:10; 144:6; 145:6; 202:23; 204:19; 205:19
Americans [1] 61:5
amount [10] 43:25; 73:18; 79:18; 83:9; 84:18; 89:12; 123:2; 154:25; 183:14; 215:12
amounts [1] 22:10
ample [2] 148:7; 208:20
Amy [2] 12:23, 25
anal [1] 51:7
analysis [4] 15:13; 16:12; 176:11; 236:22
analyze [1] 51:8
Andy [1] 90:24
anecdotal [1] 63:22
animal [1] 43:6
announce [1] 7:18
announcement [1] 6:15
annual [7] 20:13; 78:14; 85:6; 102:24; 111:1; 181:3; 241:15
anomalies [1] 115:19
anomaly [1] 120:6
anonymity [1] 61:25
answer [16] 17:13; 91:11; 92:17; 93:23; 160:17; 161:8, 10; 162:10; 163:8; 167:15; 221:3, 19, 21; 222:22; 223:20; 228:1
answered [4] 170:10; 181:16; 230:20; 242:3
answering [6] 14:9; 102:8; 151:18; 159:11; 212:5; 219:22
answers [4] 129:21; 159:3; 190:8; 219:14
anti-TSST-1 [1] 81:15
antibiotics [1] 117:1
antibody [6] 50:20; 51:13, 15, 18; 54:5;

81:15
anticipate [1] 72:1
anticipated [1] 36:4
ANTONIOTTI [2] 55:18; 97:6
Antoniotti [2] 55:15; 96:20
anus [1] 51:4
anybody [5] 86:9; 129:17; 160:16; 190:4; 221:2
anywhere [1] 242:21
apologize [2] 129:9; 189:21
apparent [6] 45:3; 121:13; 131:8, 11; 191:20, 23
appealed [1] 58:21
appeals [1] 21:4
appear [8] 15:1; 93:13; 120:19; 121:9; 122:16, 19; 183:3, 6
appearance [2] 6:18, 25
appeared [2] 116:7; 120:13
appears [2] 121:5, 7
application [6] 20:16; 21:9; 39:3; 116:2; 136:8; 196:20
applied [2] 138:16; 199:3
apply [1] 37:8
appointment [1] 26:24
appreciate [5] 25:8; 30:19; 82:4; 181:19; 242:5
appreciated [1] 26:21
approach [2] 70:20, 25
appropriate [7] 16:13; 17:12; 18:18; 29:24; 40:9; 83:16; 92:18
appropriately [3] 63:21; 171:14; 231:24
approval [7] 12:4; 14:15; 21:8; 108:22, 24; 110:5; 115:12
approve [2] 8:19; 27:5
approved [17] 38:25; 43:21; 44:4; 55:8; 56:22; 58:9; 66:1; 83:1; 93:5; 107:1, 10, 16; 111:7; 124:5; 145:24; 184:17; 206:12
approximately [8] 49:24; 50:22, 24; 99:12, 18; 117:8; 167:5; 227:16
April [2] 107:2; 108:22
area [12] 48:13; 101:16, 19, 25; 102:1, 2; 141:14; 177:4; 181:9; 202:2; 237:15; 241:21
areas [2] 42:4; 105:4
aren't [5] 61:15; 82:10; 101:14; 168:24; 229:10
ARHP [3] 19:21; 20:1, 4
arises [2] 154:3; 214:15
Arizona [1] 5:16
Armand [1] 21:17
ARNDORFER [1] 27:14
Arndorfer [3] 27:12, 16; 30:10
arrows [1] 55:9
ASeinfeld [1] 111:15
Aside [2] 139:2; 199:14
aside [4] 135:2; 160:8; 195:14; 220:19
Ask-A-Nurse [2] 152:15; 213:2
asking [2] 79:5; 88:17
aspect [3] 33:2; 35:12; 36:1
aspects [11] 44:9; 67:20; 101:1; 150:3; 157:9; 170:20, 23; 210:16; 217:20; 231:5, 8
assess [1] 39:25
Assessment [2] 5:3; 112:6
assessment [2] 59:16; 74:7
assimilating [1] 98:15
assistance [3] 117:15; 119:1, 18
assisting [1] 56:21
assists [1] 52:12
Associate [1] 5:18
associate [2] 63:23; 101:13

Associated [2] 21:18, 23
associated [29] 14:25; 15:2; 16:2; 17:1, 11; 29:10; 49:16; 50:5; 51:22, 25; 52:4; 54:10, 12, 20; 55:2; 74:11; 77:1; 109:10; 110:13; 112:19; 113:17; 131:11; 146:21; 149:12; 161:6; 191:23; 207:9; 209:25; 221:17
Association [3] 19:15, 20; 30:18
association [9] 15:14; 19:22; 20:9; 54:7; 110:24; 115:9; 118:1; 130:21; 191:8
assume [11] 12:6; 145:6; 148:2; 162:15; 163:20; 177:21; 205:19; 208:15; 223:2; 224:7; 238:7
assumed [1] 107:20
assumptions [1] 69:13
assure [1] 11:6
AStop [2] 125:9; 185:21
Astop [4] 126:15; 128:23; 187:2; 189:10
Athis [1] 12:1
attempted [1] 23:20
attend [1] 93:17
attention [6] 17:3; 36:7; 49:15; 73:7; 112:2; 243:7
attractive [2] 147:15; 208:3
attributable [1] 53:8
attributed [1] 52:8
attuned [1] 68:12
August [2] 111:7, 8
aureus [17] 50:4, 12, 14, 18, 23; 51:6, 12; 53:21, 25; 54:4; 80:18, 21, 24, 25; 81:21, 22
availability [8] 26:18; 30:3; 31:13, 23; 127:4; 152:11; 187:16; 212:23
Available [1] 22:18
available [38] 10:8; 20:24; 21:11; 25:23; 26:22; 31:11; 35:11; 45:18, 19; 57:18; 59:6, 15; 61:7; 62:1, 4; 74:19; 78:11; 85:3, 5; 90:24; 93:16; 104:17; 111:13; 114:3; 149:17; 156:6; 159:5; 162:5; 169:6; 170:15; 178:4; 210:5; 216:18; 219:16; 222:17; 229:17; 230:25; 238:15
average [3] 28:17; 67:5; 118:7
avoid [6] 29:10; 30:5; 76:2, 22; 154:7; 214:19
awaited [1] 106:9
aware [13] 7:8; 17:2; 19:4; 48:7, 8; 129:21; 133:24; 134:9, 15; 190:8; 194:11, 21; 195:2
awareness [2] 24:12; 64:3

- B -

babies [2] 42:22; 48:15
backed [1] 90:3
background [6] 31:6; 33:15; 74:18; 85:1; 88:3; 97:10
backup [1] 31:16
bacterial [1] 116:11
bacterium [3] 50:12, 19; 53:24
ball [1] 43:3
ballpark [6] 141:17, 21; 143:7; 202:5, 9; 203:20
barrier [21] 13:11; 15:2, 22; 17:8; 27:3; 31:17; 47:14; 51:23; 52:4, 9, 23; 54:6, 12, 15; 58:16; 71:6; 72:8; 100:3; 115:3; 142:23; 203:11
barriers [1] 97:16
bars [4] 115:21, 23; 117:23, 24
base [8] 113:3, 4; 138:7; 139:16; 148:13; 198:19; 200:4; 209:1
Based [2] 6:20; 121:12

based [8] 23:7; 38:20; 52:17; 69:7, 12; 99:7; 138:2; 198:14
basic [4] 37:1; 71:16; 157:1; 217:13
Basically [4] 121:23; 124:6; 182:10; 184:18
basically [26] 36:15; 47:22; 86:2; 88:11; 94:7; 99:4, 7, 15; 127:17, 24, 25; 128:2, 12; 137:15; 138:5; 169:6; 180:25; 188:4, 11, 12, 14, 24; 198:2, 17; 229:17; 241:12
basis [2] 85:6; 102:24
bathrooms [2] 168:17; 229:3
baton [1] 73:5
bear [3] 33:2; 93:9; 99:19
bearing [2] 27:22; 59:13
bears [1] 109:24
beat [2] 129:8; 189:20
beautiful [2] 142:2; 202:15
becomes [1] 39:22
becoming [4] 15:15; 68:23; 69:2; 92:14
beep [1] 94:4
beeper [1] 93:22
beeping [2] 164:22; 225:9
behalf [2] 8:13; 25:3
behavior [1] 243:4
belief [1] 11:20
believe [34] 9:12; 12:23; 13:18; 16:11, 24; 19:6; 22:16; 27:24; 35:2; 41:21; 67:25; 68:8; 78:19, 21; 80:18; 81:11; 83:4; 90:9, 16; 92:1; 102:15; 106:4; 124:8; 128:4; 135:17; 137:13; 138:4; 170:10; 184:20; 188:16; 196:4; 197:25; 198:16; 230:20
believed [2] 122:8; 182:20
belittling [2] 142:14; 203:2
belongs [1] 88:18
benefit [10] 13:22; 26:25; 48:18; 60:3, 23; 54:20; 148:20, 21; 209:8, 9
benefits [7] 29:20; 31:12; 58:16; 141:9; 142:4; 201:22; 202:17
Besides [1] 74:17
bet [1] 104:25
Bethesda [2] 4:12; 22:3
biggest [2] 171:6; 231:16
bilingual [4] 104:8, 10; 152:20; 213:7
bilingually [1] 104:17
Bill [1] 30:16
billion [2] 62:20; 84:12
birth [35] 10:2, 4; 57:17, 24; 58:18; 59:5; 60:5; 61:22; 67:14; 76:19; 83:5, 14; 88:21; 109:7; 127:5, 15; 128:15, 18; 137:17; 138:10, 12, 15; 167:12; 187:17; 188:2; 189:2, 5; 198:4, 22, 24; 199:2; 227:23
births [3] 62:25; 63:19; 67:24
bit [11] 38:18, 19; 72:7; 86:14; 87:10; 100:11; 116:15; 128:6; 130:5; 188:18; 190:17
Black [1] 25:4
black [5] 59:25; 125:22; 154:12; 186:9; 214:24
bleeding [1] 119:13
BLEWITT [13] 6:6; 135:24; 140:18; 153:7, 20; 154:23; 177:5; 196:11; 201:6; 213:19; 214:7; 215:10; 237:16
Blewitt [14] 6:6; 7:2; 135:23; 140:17; 153:5; 155:2; 174:14; 177:3; 196:10; 201:5; 213:17; 215:14; 234:25; 237:14
blind [1] 15:9
blockage [1] 45:5
blockers [4] 135:11, 14; 195:23; 196:1
blow [1] 66:1
board [4] 73:14; 102:20; 139:8; 199:21
Bob [1] 41:9

body [5] 10:5; 97:20; 100:7; 152:12; 212:24
bold [2] 161:23; 222:9
bolded [2] 127:1; 187:13
bolder [2] 157:25; 218:11
book [1] 69:14
booklet [2] 54:24; 55:6
born [1] 16:12
bothers [2] 137:9; 197:21
bought [2] 58:22; 94:1
box [15] 76:1; 89:3, 11, 14; 94:2, 11, 15; 166:21; 168:25; 173:5, 7; 227:7; 229:11; 233:15, 17
boxes [4] 165:23; 166:10; 226:10, 21
braided [2] 44:17; 86:25
Brass [2] 38:11, 14
break [5] 105:23; 125:17; 130:20; 186:4; 191:7
brief [4] 87:16; 148:10; 208:23
briefcase [3] 94:22; 152:6; 212:18
briefing [4] 149:10; 161:2; 209:23; 221:13
briefly [3] 21:21; 106:18; 112:21
Brigham [1] 49:7
brings [1] 111:24
Britain [1] 57:13
broad [1] 113:24
budget [2] 179:10; 239:22
bulky [2] 168:24; 229:10
bulleted [6] 123:20; 126:21; 127:3; 184:7; 187:8, 15
bullets [2] 134:22; 195:9
bumps [2] 132:5; 192:17
bunch [2] 69:13; 98:8
buried [2] 175:3; 235:14
Burnhill [1] 74:20
business [1] 105:20
busy [1] 58:21
button [2] 179:2; 239:15
buy [4] 170:4; 173:11; 230:14; 233:22

- C -

calculator [1] 68:2
call [14] 38:1; 77:7; 78:19; 83:2; 84:1; 90:1; 103:18; 122:7; 157:23; 169:5; 182:19; 218:9; 229:16; 242:25
calls [7] 86:5; 101:6; 103:8; 150:19; 171:6; 211:7; 231:16
Canada [2] 10:15; 57:13
Cantilena [6] 4:9; 38:10, 11; 40:21; 129:6; 189:18
cap [7] 31:17; 52:6; 63:18; 69:1, 5, 9; 71:9
capabilities [2] 152:21; 213:8
capable [3] 51:12; 77:13; 103:25
care [10] 11:25; 33:10; 58:25; 61:16; 76:16, 21; 129:24; 136:20; 190:11; 197:7
carefully [3] 14:8, 16; 16:9
carried [3] 80:24; 81:21
carriers [3] 51:6, 7, 8
carry [1] 50:23
carrying [2] 80:20, 23
carton [50] 11:16, 17; 36:17; 54:23; 55:5; 95:1; 121:24, 25; 123:22; 134:12, 17, 25; 135:6, 15; 149:13, 15; 157:18; 158:21; 159:8; 162:1, 3, 7, 11; 165:14, 17; 169:7, 11; 170:12; 182:11, 12; 184:9; 194:24; 195:4, 12, 18; 196:2; 210:1, 3; 218:4; 219:7, 19; 222:13, 15, 19, 23; 226:1, 4; 229:18, 22; 230:22
cartoons [4] 155:19, 20; 216:6, 7
Casanova [1] 43:2
case [16] 10:18; 11:13; 33:6; 51:19; 68:21; 76:19; 114:9, 17, 18; 120:23; 130:21; 131:13; 132:23; 191:8, 25; 193:10
cased [1] 53:23
cash [2] 180:19; 241:6
cataloged [2] 150:20; 211:8
categories [2] 34:24; 63:5
category [5] 33:10; 34:13; 63:10; 135:19; 196:6
causality [2] 150:12; 210:25
causative [2] 50:11; 54:8
caused [4] 23:11; 24:16; 131:8; 191:20
caution [1] 14:2
cautions [2] 156:11; 216:23
caveat [1] 104:4
caveats [4] 140:11; 169:7; 200:24; 229:18
CDC [18] 62:24; 90:9; 91:7; 110:11; 116:14; 118:11; 129:16, 22; 130:10, 11, 19; 178:4; 190:3, 9, 22, 23; 191:6; 238:15
CDER [2] 128:18; 189:5
CDRH [4] 128:8, 18; 188:20; 189:5
ceased [1] 107:5
Center [11] 6:10, 23; 22:2; 62:25; 90:25; 91:5; 114:16; 128:7, 9; 188:19, 21
center [4] 147:6; 153:1; 207:19; 213:13
Centers [2] 23:8; 52:15
centers [3] 56:2, 3; 59:14
central [1] 114:13
cents [2] 181:13; 241:25
centuries [1] 42:21
CEO [1] 73:20
certified [1] 73:14
cervical [10] 31:17; 45:5; 52:6; 63:18; 69:5, 9; 71:8; 115:24; 116:6; 118:18
cervicitis [1] 118:24
cervix [3] 15:23; 100:19
cetera [8] 70:6, 10; 168:12; 169:8, 9; 228:23; 229:19, 20
CFR [2] 122:5; 182:17
chains [1] 105:9
chair [3] 4:12; 38:11, 15
chaired [1] 65:7
Chairman [2] 5:10; 41:11
challenge [1] 23:25
challenging [1] 114:1
chance [5] 66:23, 25; 72:9; 151:11; 211:23
CHANG [9] 5:4; 121:17; 129:5; 137:13; 138:4; 182:4; 189:17; 197:25; 198:16
Chang [7] 5:4; 106:12; 121:15; 137:5; 138:5; 197:17; 198:17
change [3] 101:19, 23; 243:4
changed [6] 44:17; 52:23; 86:22, 25; 172:11; 232:21
changes [13] 10:5; 40:17; 87:11; 106:13; 108:21; 122:1; 127:10, 22; 146:16; 182:13; 187:22; 188:9; 207:4
changing [2] 39:3; 95:12
characteristic [1] 26:17
characteristics [3] 13:14; 26:14; 108:1
characterized [2] 49:19; 50:1
charge [10] 134:4; 148:5; 159:11; 160:12, 19; 194:16; 208:18; 219:22; 220:23; 221:5
Charley [1] 4:20
Charlie [1] 18:1
charts [1] 87:13
chemical [2] 15:23; 22:7
chemicals [1] 43:9
chemistry [6] 39:5, 8; 47:3; 111:21; 149:6; 209:19

- chewed** [2] 147:22; 208:10
Chief [1] 41:10
child [1] 59:12
children [13] 27:23; 57:2, 8; 147:7, 9, 14, 21; 159:14; 207:20, 22; 208:2, 9; 219:25
CHIN [6] 4:24; 106:8; 130:4; 138:8; 190:16; 198:20
Chin [7] 4:24; 53:9; 106:7; 138:5; 145:23; 198:17; 206:11
choice [16] 9:9; 13:23; 29:23; 31:9, 12; 48:20; 72:13; 73:3; 137:15, 18; 140:6; 166:17; 198:2, 5; 200:19; 227:3
choices [11] 8:18, 24; 9:2; 12:10; 25:13; 62:18; 65:19, 22; 72:22; 128:15; 189:2
choking [2] 147:21; 208:9
choose [4] 9:3; 10:3; 64:14; 96:6
choosing [2] 33:13; 103:24
chose [1] 118:20
chosen [1] 31:10
CHPA [6] 30:14, 19; 34:9, 15, 22
chronic [2] 22:14, 16
circulatory [1] 116:12
circumstances [3] 53:13; 136:21; 197:8
citizen [1] 34:21
citizens [1] 18:15
city [2] 60:19; 104:23
claim [2] 137:23; 198:10
clarification [3] 80:16; 160:1; 220:12
clarifies [2] 139:15; 200:3
clarify [7] 87:8; 130:4; 138:9; 139:14; 190:16; 198:21; 200:2
clarity [2] 156:18; 217:5
Claudia [9] 5:1; 53:10; 106:10; 112:1, 4; 130:6; 150:9; 190:18; 210:22
clear [22] 11:22; 15:4; 23:7, 10; 28:19; 41:24, 25; 56:6; 70:13; 78:22; 81:15, 19; 103:19; 121:3; 155:6; 156:22; 173:6; 175:19; 215:18; 217:9; 233:16; 236:5
Cleopatra [1] 42:25
click [4] 124:22, 24; 185:9, 11
client [1] 105:19
clinic [6] 59:4; 102:17; 103:22; 105:19; 141:24; 202:12
clinical [24] 4:10; 14:16; 33:1, 21; 37:9; 45:9; 66:14; 68:19; 69:12, 16, 17; 71:19; 84:4; 97:12; 113:15; 114:10; 139:10; 145:17, 20; 149:20; 199:23; 206:5, 8; 210:8
clinically [3] 31:25; 37:4; 85:14
clinicians [3] 20:8; 178:19; 239:6
clinics [1] 78:12
closing [1] 8:5
clutter [1] 75:8
co-authored [1] 22:3
coded [3] 113:24; 118:17
codified [4] 122:4; 149:3; 182:16; 209:16
coin [1] 96:8
collaboration [2] 128:8; 188:20
collagen [1] 43:11
colleagues [1] 98:6
collect [2] 177:12; 237:23
collected [2] 68:16; 96:22
collection [4] 134:6; 176:11; 194:18; 236:22
collects [2] 150:15; 211:3
College [7] 6:4; 142:10; 144:6; 145:6; 202:23; 204:19; 205:19
colonization [3] 50:4; 51:2, 3
colonized [3] 50:17; 51:5; 54:3
colorful [1] 43:5
Columbia [1] 5:18
column [2] 143:3; 203:16
columns [2] 140:15; 201:3
combinations [2] 160:16; 221:2
combined [2] 71:12; 90:1
comfort [2] 148:20; 209:8
comfortable [6] 10:10; 79:1; 103:7, 12; 163:3; 223:15
coming [5] 38:8; 42:16; 75:7; 166:24; 227:10
commend [1] 56:12
comment [48] 7:14, 20; 18:1; 21:21; 32:15; 34:10; 35:2, 25; 40:22; 82:3; 85:23; 90:2; 95:19, 20; 101:12; 102:4; 123:14; 137:7; 141:20; 149:21; 154:6; 161:19; 164:17; 165:19; 169:9, 17; 173:3; 175:6, 17; 177:24; 179:3; 182:3; 184:1; 197:19; 202:8; 210:9; 214:18; 222:5; 225:4; 226:6; 229:20; 230:3; 233:13; 235:17; 236:3; 238:10; 239:16; 242:15
commented [2] 131:20; 192:7
commitment [2] 136:14; 197:1
commitments [1] 74:24
committed [2] 16:5; 27:20
Committee [17] 4:7; 5:11, 14, 20, 22, 23; 6:2, 5, 8, 22; 21:20; 38:2, 8, 13; 41:7; 243:8, 14
committee [23] 4:4; 7:3; 12:17; 15:25; 18:14; 21:15; 30:11; 35:17; 37:17; 129:12; 141:14; 148:6; 151:5; 165:9, 13; 177:19; 189:24; 202:2; 208:19; 211:18; 225:21, 25; 238:5
common [5] 75:4; 86:19; 95:17, 23; 119:11
commonly [2] 50:15; 121:9
communicate [4] 34:5; 73:2; 75:8; 79:5
communicates [3] 75:3; 96:8, 13
communicating [2] 77:13; 78:16
communication [2] 98:19; 101:18
communications [3] 78:11; 101:11; 108:18
communicative [1] 98:16
community [1] 21:6
companies [3] 13:5; 58:11; 61:11
company [15] 40:9; 41:11; 73:11; 102:22; 136:15, 25; 150:18, 22; 180:13; 197:2, 12; 211:6, 10; 240:25; 242:18
comparable [1] 22:9
comparative [45] 31:3, 20; 32:4, 8, 17; 33:12; 34:5, 11, 22; 35:3, 9; 47:13, 14; 88:4, 10; 127:15; 136:1, 4; 141:8, 10; 149:20, 21; 162:25; 163:19; 164:21; 165:10, 15; 167:25; 168:20; 169:6; 188:2; 196:13, 16; 201:21, 23; 210:8, 9; 223:12; 224:6; 225:8, 22; 226:2; 228:11; 229:6, 17
comparator [14] 137:6; 140:5, 15; 166:1; 167:9; 169:10; 175:23; 197:18; 200:18; 201:3; 226:13; 227:20; 229:21; 236:9
compare [1] 69:24
compared [5] 57:13; 69:15; 90:3; 143:12; 203:25
compares [4] 155:23; 166:18; 216:10; 227:4
comparing [3] 72:11; 139:12; 199:25
comparison [2] 167:13; 227:24
comparisons [3] 34:1; 139:11; 199:23
compelled [2] 160:17; 221:3
compendium [1] 94:8
competent [4] 152:13; 176:23; 212:25; 237:9
competitive [1] 32:20
compile [2] 130:12; 190:24
compiled [2] 129:17; 190:4
complain [2] 182:1; 242:12
complaint [7] 84:15, 16, 21, 23; 85:8; 171:6; 231:16
complaints [3] 84:11; 85:2; 86:3
complete [5] 16:6; 177:25; 178:1; 238:11, 12
completed [2] 145:19; 206:7
completely [1] 102:4
completeness [1] 114:7
complex [2] 99:15; 101:9
complication [1] 50:7
complications [3] 86:11; 133:10; 193:22
component [1] 54:2
components [2] 134:22; 195:9
composed [1] 19:22
comprehend [1] 100:24
comprehensible [3] 99:9; 164:25; 225:12
comprehension [6] 93:2, 6; 155:14, 17; 216:1, 4
comprehensive [1] 121:10
compressed [1] 89:9
compromise [1] 96:14
computerized [1] 113:3
conceived [1] 28:15
conceptually [2] 139:24; 200:12
concern [11] 10:18; 15:7; 44:1; 82:12; 121:5; 162:17; 171:19; 178:3; 223:4; 232:4; 238:14
concerned [9] 44:3; 64:6; 68:15; 138:25; 155:14; 180:14; 199:12; 216:1; 241:1
concerns [9] 11:19; 125:12; 151:12; 153:25; 157:12; 185:24; 211:24; 214:12; 217:23
concluded [2] 108:9; 243:15
conclusion [2] 17:4; 121:4
conclusions [8] 110:10; 112:23; 128:20; 132:1; 156:17; 189:7; 192:13; 217:4
concur [4] 156:12; 174:15; 216:24; 235:1
condensation [2] 168:9; 228:20
condition [4] 33:4, 8; 137:19; 198:6
conditions [8] 16:3, 21; 29:8; 50:18; 69:25; 70:8; 126:18; 187:5
condom [16] 26:19; 31:17; 46:4; 62:6; 71:9, 10; 76:22; 78:6; 168:11, 18, 21; 172:5; 228:22; 229:4, 7; 232:15
condoms [9] 11:18; 46:4; 62:5; 70:10; 74:3; 127:7; 143:22; 187:19; 204:10
conduct [5] 19:23; 150:8; 176:10; 210:21; 236:21
conducted [6] 15:8; 16:10; 51:1; 62:24; 69:16; 121:11
conference [1] 20:13
confident [1] 104:3
confined [1] 37:15
conflict [6] 6:14, 16, 25; 7:5; 153:3; 213:15
conflicts [2] 151:9; 211:22
conforms [1] 91:23
confronts [1] 65:12
confused [6] 88:23; 90:15; 128:1; 146:4; 188:13; 206:17
confusing [3] 95:25; 127:25; 188:12
confusion [1] 87:9
congenital [2] 115:18; 120:5
conjunction [4] 130:16, 18; 191:3, 5
CONNELL [2] 42:14; 85:25
Connell [6] 42:7, 13; 60:12; 65:7; 77:10; 85:21
cons [2] 41:20, 25
conscious [2] 156:6; 216:18

consecutive [2] 23:21; 24:19
 consequences [4] 33:7, 8; 35:1; 54:20
 consider [10] 12:9; 33:16; 37:18; 95:12;
 102:2; 114:19; 134:5; 154:16; 194:17;
 215:3
 consideration [6] 15:16; 32:4; 34:14;
 48:5; 163:13; 223:25
 considerations [3] 33:5; 47:2; 72:17
 considered [13] 14:8; 61:20; 109:6;
 110:15; 114:25; 117:18, 19, 20; 118:3;
 133:9; 171:2; 193:21; 231:12
 considering [4] 32:17; 77:1; 96:5; 104:22
 considers [5] 114:16; 123:21, 22; 184:8,
 9
 consistency [2] 123:17; 184:4
 consistent [8] 33:19; 34:7; 71:17, 22;
 167:23; 177:25; 228:9; 238:11
 consistently [2] 29:15; 63:21
 consists [2] 123:22; 184:9
 constantly [2] 41:15
 construct [1] 108:20
 consult [1] 110:17
 Consumer [2] 30:18; 77:12
 consumers [46] 11:15; 16:7; 20:8; 21:5;
 27:1; 32:25; 39:24; 40:2, 4; 44:14; 47:11;
 77:14; 78:16; 83:11; 96:12; 109:20, 22;
 112:25; 122:20; 124:15; 126:10, 17;
 127:4; 128:12, 14; 129:24; 137:15, 25;
 138:14; 150:19; 162:22; 173:24; 183:7;
 185:2;
 186:22; 187:4, 16; 188:24; 189:1; 190:11;
 198:2, 12; 199:1; 211:7; 223:9; 234:10
 contact [7] 7:21; 150:22; 156:9; 176:9;
 211:10; 216:21; 236:20
 contain [6] 11:17; 115:11; 149:13;
 151:24; 210:1; 212:11
 contained [4] 54:23; 55:11; 165:15; 226:2
 container [8] 122:16, 17, 18; 152:3;
 183:3, 4, 5; 212:15
 containing [3] 11:9; 22:10; 31:7
 contains [12] 11:25; 16:16; 22:5; 32:23;
 108:4; 115:8; 124:6; 126:10, 11; 184:18;
 186:22, 23
 content [11] 34:8; 122:6, 7, 12; 154:25;
 182:18, 19, 24; 215:12
 context [3] 48:17; 164:10; 224:22
 continue [1] 46:21
 continued [1] 43:7
 continues [3] 10:14; 11:14; 26:10
 contra [1] 75:16
 contraception [29] 9:17, 19; 10:2; 13:9,
 11; 17:8; 26:4, 5; 27:5; 30:5; 31:9; 42:4,
 19; 55:21; 56:19; 57:19; 58:6, 17; 59:7;
 60:9; 61:8, 13, 21; 62:13, 23; 64:12; 70:1;
 74:15; 89:23
 Contraceptive [6] 128:11; 138:22;
 142:13; 188:23; 199:9; 203:1
 contraceptives [21] 11:10; 13:12; 22:8;
 31:7, 8; 51:23; 52:4, 9, 23; 54:6, 12, 15;
 58:3; 61:1; 65:6; 87:24; 100:3; 115:3;
 128:17; 189:4
 contract [1] 102:18
 contraction [2] 72:9; 83:13
 contrast [1] 100:13
 contributes [1] 25:21
 contributor [2] 174:6; 234:17
 Control [5] 23:8; 52:15; 90:25; 91:5;
 114:16
 control [38] 9:13; 10:2, 4; 31:16; 57:18,
 24; 58:18; 59:6; 60:5; 61:22; 67:14; 72:9;
 88:21; 105:10, 14; 109:7; 127:5, 15;

128:15, 18; 137:17; 138:11, 12, 15; 153:1;
 158:23; 167:12; 187:17; 188:2; 189:2, 5;
 198:4, 23, 24; 199:2; 213:13; 219:9;
 227:23
 controlled [3] 13:11; 15:10; 51:20
 controlling [1] 70:18
 convenience [1] 26:25
 convenient [1] 10:11
 conversation [1] 58:11
 converting [1] 40:18
 convey [11] 17:4; 140:12; 149:11; 161:5,
 11, 15; 200:25; 209:24; 221:16, 22; 222:1
 conveyed [1] 108:23
 cookies [2] 168:3; 228:14
 Copper [1] 65:9
 copper [1] 71:13
 copy [4] 125:16; 186:3
 corner [3] 26:23; 164:19; 225:6
 correctly [4] 37:17; 66:17, 20; 109:23
 cosmetic [1] 46:6
 Council [2] 65:8; 70:3
 Counsel [1] 8:14
 counsel [4] 156:9; 164:5; 216:21; 224:17
 count [2] 115:22, 25
 Counter [4] 4:21, 23, 25; 5:24
 counter [4] 166:19; 167:19; 227:5; 228:5
 counties [1] 55:25
 countries [3] 10:14; 57:15; 69:24
 country [16] 25:12; 26:3; 56:16; 57:9, 11;
 58:4; 59:19; 60:22; 61:17; 74:24; 105:4,
 14; 152:22; 158:24; 213:9; 219:10
 county [1] 60:18
 couple [21] 93:10; 131:1; 133:18; 151:21;
 158:4; 159:10; 160:17; 170:7, 11; 180:10,
 12; 191:13; 194:5; 212:8; 218:15; 219:21;
 221:3; 230:17, 21; 240:22, 24
 coupled [1] 12:3
 course [8] 43:21; 45:22; 63:20; 83:24;
 160:10; 164:3; 220:21; 224:15
 cover [3] 29:5; 83:19; 108:14
 coverage [3] 58:2; 61:9, 18
 covered [1] 32:13
 covering [3] 15:23; 121:21; 182:8
 covers [2] 157:3; 217:15
 creams [1] 71:9
 created [1] 113:4
 credibility [1] 87:5
 credible [1] 20:7
 criteria [11] 114:4, 10, 18, 19, 20, 22;
 116:14, 19; 117:18, 19, 20
 critical [2] 47:4; 89:13
 cross [1] 18:25
 crucial [1] 27:25
 culminate [1] 43:13
 culminated [1] 40:13
 cultural [1] 97:9
 culture [1] 60:1
 curious [3] 18:4; 135:2; 195:14
 Current [2] 128:20; 189:7
 current [16] 7:13; 9:6; 23:10; 48:9; 77:8,
 20, 22; 99:21; 130:9; 145:17; 149:13;
 162:1; 190:21; 206:5; 210:1; 222:13
 Currently [4] 24:5; 83:1; 102:13; 104:14
 currently [17] 25:23; 28:6; 32:13; 55:7;
 73:15; 102:16; 107:10; 111:13; 114:3;
 133:19, 21, 23; 149:23; 194:6, 8, 10;
 210:11
 cursory [1] 25:10
 cut [1] 42:25
 cutaneous [3] 52:1; 92:2; 115:4
 cycles [2] 145:20; 206:8

czar [2] 180:7; 240:19

- D -

daily [4] 15:12; 16:21; 164:11; 224:23
 damage [3] 22:18; 23:2, 11
 Dan [4] 124:1, 4; 184:13, 16
 dangerous [4] 44:2, 5; 125:4; 185:16
 dangers [2] 137:20; 198:7
 Daniel [2] 121:19; 182:6
 Data [1] 53:4
 database [19] 22:3; 33:25; 66:6; 113:23;
 115:8; 116:10; 118:18; 129:15; 130:5, 9,
 14; 133:9; 190:2, 17, 21; 191:1; 193:21
 DAVIDSON [23] 92:22; 130:25; 131:19;
 132:19, 25; 133:3, 11, 14; 156:16; 169:15,
 19; 178:9; 191:12; 192:6; 193:6, 12, 15,
 23; 194:1; 217:3; 230:1, 5; 238:21
 Davidson [12] 92:21; 97:15; 130:24;
 156:15; 169:13; 172:21; 178:8; 191:11;
 217:2; 229:24; 233:6; 238:20
 dawned [2] 151:22; 212:9
 day [11] 11:3; 58:24; 93:14, 17; 150:22;
 152:16; 163:11; 211:10; 213:3; 223:23
 days [25] 23:16, 21; 24:19; 36:7; 42:20;
 45:7; 46:23; 52:2; 58:24; 86:6; 93:17;
 103:9; 115:1; 117:4, 6, 10; 119:9; 152:16;
 158:7; 171:13, 16; 213:3; 218:18; 231:23;
 232:1
 deal [5] 86:10; 153:9; 156:8; 213:21;
 216:20
 dealing [3] 37:6; 72:18; 97:12
 death [6] 49:17; 52:10; 100:4; 120:3;
 126:7; 186:19
 deaths [3] 52:10; 53:8; 115:18
 debt [1] 73:16
 decade [2] 65:10; 115:8
 decades [1] 57:20
 December [3] 106:25; 107:4; 109:15
 decide [5] 72:12; 140:6; 173:25; 200:19;
 234:11
 decidedly [1] 48:17
 decides [1] 242:16
 decision [12] 32:6; 88:4; 122:21; 136:21;
 168:11; 173:22; 174:7; 183:8; 197:8;
 228:22; 234:8, 18
 decisions [8] 9:16; 16:8; 17:11; 31:22;
 138:1; 164:18; 198:13; 225:5
 decline [3] 114:8; 132:15; 193:2
 declined [1] 49:22
 decrease [6] 40:1; 51:11; 52:20; 54:21;
 132:13; 192:25
 decreasing [2] 92:9, 12
 deepest [1] 100:18
 deficiency [4] 172:10, 12; 232:20, 22
 defined [2] 66:8; 120:3
 definite [6] 90:10; 114:17; 117:18, 25;
 118:4, 8
 definitely [2] 138:17; 199:4
 definition [1] 114:9
 deja [1] 42:15
 DELANEY [14] 49:10; 80:22; 81:2, 7, 10,
 18, 23; 90:14, 18, 23; 91:14, 19; 92:11, 20
 Delaney [6] 46:19; 49:7; 80:16; 88:16;
 90:7; 114:24
 DELAP [1] 4:17
 DeLap [2] 4:16, 17
 delete [1] 110:23
 deletions [2] 154:13; 214:25
 delighted [1] 72:25
 delivering [1] 11:10

delivers [2] 10:21; 11:25
demographic [1] 60:8
demonstrated [1] 16:18
demonstration [1] 28:10
denominator [8] 95:13, 17, 23; 96:10; 132:12; 144:11; 192:23; 204:24
Department [1] 77:12
depends [2] 131:5; 191:17
Depo-Provera [3] 57:23; 58:19; 63:12
Deputy [1] 4:22
derived [2] 137:8; 197:20
describe [5] 24:8; 112:12, 19, 20, 21
described [4] 23:24; 49:14; 60:12; 114:23
describes [1] 100:15
describing [1] 53:6
descried [1] 99:24
description [2] 68:17; 112:16
designation [1] 39:13
desirable [1] 26:15
desire [1] 9:19
desquamation [2] 49:22; 114:11
detail [2] 46:19; 47:25
detailed [5] 22:23; 142:16; 177:11; 203:4; 237:22
details [8] 23:6; 47:2; 154:2; 155:10; 159:7; 214:14; 215:22; 219:18
detect [1] 113:11
detergent [2] 22:6; 24:17
determination [4] 33:14; 36:23; 150:12; 210:25
determine [9] 39:25; 51:3, 9, 22; 113:15, 19; 119:25; 155:18; 216:5
determined [2] 6:22; 63:20
DESTROYER [1] 105:7
Destroyer [1] 73:21
develop [6] 17:3; 35:6; 47:18; 50:16; 126:18; 187:5
developed [10] 23:19; 43:6; 48:6; 89:16; 138:17; 148:19; 149:2; 199:4; 209:7, 15
developing [6] 33:16; 43:1; 54:17, 20; 55:1, 3
development [4] 42:11; 44:7; 65:3; 108:16
developments [1] 111:1
deviate [1] 38:16
device [4] 13:5; 14:3; 178:12; 238:24
Devices [2] 128:7; 188:19
diaphragm [20] 31:18; 45:24; 52:5; 61:2, 23; 63:18; 68:22, 24; 69:1, 7; 70:6; 71:8; 84:17, 18; 109:2, 7; 110:3; 115:3; 143:23; 204:11
diarrhea [2] 49:20; 100:6
dietary [2] 30:21; 34:20
difference [8] 53:3; 70:15; 130:13; 153:10; 159:5; 190:25; 213:22; 219:16
differences [3] 109:3; 144:21; 205:9
differently [1] 116:15
difficult [10] 85:25; 101:16; 105:10; 108:20; 124:8; 138:19; 176:12; 184:20; 199:6; 236:23
difficulties [5] 77:15; 89:18; 113:25; 119:12
difficulty [22] 44:16; 60:11; 77:5; 84:18; 112:12, 21; 116:8; 117:14; 118:17, 22, 25; 119:15, 23; 120:22; 121:7; 150:19; 165:3; 171:5; 211:7; 225:15; 231:15
diminish [1] 64:16
diminishing [2] 111:14
dimple [2] 173:8; 233:18
direct [4] 159:13; 166:7; 219:24; 226:18
directed [3] 36:15; 137:5; 197:17

directing [2] 174:2; 234:13
direction [4] 173:14, 24; 233:24; 234:10
directions [20] 64:4; 79:20; 123:6, 7; 124:6, 7; 125:10; 126:21, 23; 173:5; 175:14; 183:18, 19; 184:18, 19; 185:22; 187:8, 10; 233:15; 235:25
directive [2] 11:17, 19
Director [5] 4:18, 20, 22; 5:9; 30:17
director [5] 13:1; 63:23, 24; 147:6; 207:19
dirth [1] 9:2
disability [20] 115:18; 120:4; 131:1, 2, 3, 7, 8, 10, 11, 13, 17; 191:13, 14, 15, 19, 20, 22, 23, 25; 192:4
disadvantaged [1] 97:13
disadvantages [3] 46:8; 142:5; 202:18
disappeared [1] 13:21
disappointed [1] 13:21
discharge [1] 116:2
discontinued [3] 62:17; 148:25; 209:13
discreet [1] 9:21
discuss [7] 38:23; 41:12; 82:24; 127:9; 160:24; 187:21; 221:10
discussed [13] 96:20; 111:6; 116:6; 128:5; 133:17; 151:22; 153:13; 178:16; 188:17; 194:4; 212:9; 213:25; 239:3
discussing [5] 121:16; 126:1; 127:11; 186:13; 187:23
discussion [18] 13:15; 18:18; 35:12; 38:22; 76:16; 106:21; 148:7, 11; 153:14, 23; 163:14; 171:14; 208:20, 24; 214:1, 10; 224:1; 231:24
discussions [5] 7:6; 108:14, 18; 139:5; 199:17
Disease [5] 23:8; 52:15; 90:25; 91:5; 114:16
disease [20] 11:18, 21; 49:19; 50:21; 53:23; 54:2, 6, 9; 55:2; 77:2; 81:3; 100:4; 126:7; 130:11, 13; 178:6; 186:19; 190:23, 25; 238:17
diseases [6] 12:3; 25:19; 78:1, 6; 127:19; 188:6
disgusting [2] 147:15; 208:3
dislike [2] 175:19; 236:5
dismayed [1] 26:13
disorder [3] 115:25; 116:6; 118:18
disparity [1] 11:12
dispel [1] 63:7
dispensing [2] 168:19; 229:5
display [5] 97:18; 123:23; 124:4; 184:10, 16
displayed [2] 126:5; 186:17
disposal [2] 147:11; 207:24
dissatisfaction [1] 25:22
dissatisfied [1] 28:16
dissipate [1] 22:12
distinction [1] 109:18
distributing [1] 30:23
distribution [7] 12:12; 13:13; 105:8; 132:7; 158:23; 192:19; 219:9
disturbed [1] 48:22
diverge [1] 40:8
divided [1] 52:16
Division [5] 5:5; 107:17, 19, 22; 112:4
division [2] 148:14; 209:2
dizziness [1] 100:6
docket [1] 31:5
doctor [13] 62:19; 75:17; 76:4; 77:7, 22; 123:5; 125:10; 126:16; 128:23; 183:17; 185:22; 187:3; 189:10
document [6] 100:11; 103:16, 19; 118:10;

161:5; 221:16
documentation [6] 32:6, 16; 37:9; 114:7; 181:12; 241:24
documented [6] 31:25; 33:21, 25; 37:4; 56:24; 57:4
documents [3] 56:24; 99:11, 17
doesn't [25] 88:23; 130:16, 20; 135:20; 137:11; 139:16; 163:25; 167:8; 168:22; 174:9, 17, 24; 191:3, 7; 196:7; 197:23; 200:4; 224:12; 227:19; 229:8; 234:20; 235:3, 10
dollars [2] 179:10; 239:22
Don [1] 5:25
donations [1] 20:11
Donna [2] 19:14, 19
dosages [1] 23:3
dose [2] 10:21; 23:1
dosing [2] 10:23; 11:1
dots [1] 76:9
double [3] 15:9; 57:14; 69:3
doubled [1] 69:8
doubt [2] 47:13; 48:25
douche [1] 77:4
downgraded [1] 101:5
dramatic [1] 91:12
dramatically [1] 49:23
draw [2] 132:1; 192:13
dreadful [1] 43:12
drop [2] 91:12, 15
dropped [3] 91:17, 20; 92:18
Dropping [1] 76:3
drops [1] 38:5
Drs [2] 141:16; 202:4
Drug [17] 4:18; 5:2, 5, 19; 6:23; 38:12; 40:19; 89:8, 11; 106:4; 107:17, 19, 22; 112:4, 5; 128:9; 188:21
drug [40] 14:2; 31:22; 34:17, 18; 38:25; 39:2, 4; 105:9; 122:6, 7, 12, 15, 25; 123:12, 22, 24; 125:15, 24; 126:2; 128:21; 136:8; 153:22; 164:19; 179:19; 182:18, 19, 24; 183:2, 12, 24; 184:9, 11; 186:2, 11, 14; 189:8; 196:20; 214:9; 225:6; 240:6
Drugs [9] 4:7, 21, 23, 25; 5:11, 13, 24; 6:7; 243:14
drugs [3] 34:24; 39:14; 107:24
drugstore [1] 26:23
due [3] 35:11; 92:1; 107:6
dues [1] 20:11
duly [1] 12:5
Durban [1] 10:24
duty [1] 49:6
dysfunction [2] 49:17, 21

 - E -

eagerly [1] 106:9
early [6] 57:23; 63:25; 113:11; 163:13; 223:25
ease [6] 62:21; 64:20; 100:10, 22; 152:4; 212:16
eases [1] 11:19
easier [18] 12:20; 62:2; 87:2; 108:5; 122:9; 126:22; 154:21; 179:16, 24; 181:10, 11; 182:21; 187:9; 215:8; 240:3, 11; 241:22, 23
easily [5] 44:19; 47:12; 99:8; 178:6; 238:17
easy [11] 29:22; 31:13; 34:6; 45:25; 47:16; 56:18; 59:7; 101:19; 105:20; 145:1; 205:14

eating [2] 159:14; 219:25
economic [1] 142:1
Ed [5] 6:9; 18:22; 35:18; 160:7; 220:18
edited [2] 153:25; 214:12
editing [2] 173:20; 234:6
editorial [4] 127:21; 172:6; 188:8; 232:16
educate [1] 20:2
educated [2] 132:1; 192:13
Education [1] 8:14
education [5] 8:17; 19:23; 25:21; 78:1; 243:2
educational [3] 20:5; 21:25; 97:14
Edwin [1] 5:15
effect [1] 11:23
effective [46] 10:21; 20:24; 32:1, 24; 33:22; 37:5; 39:13; 43:19; 44:14; 45:5; 46:10; 55:12; 56:18; 63:17; 64:7; 68:8, 10; 77:15; 78:10, 11, 16, 17; 96:5; 110:2; 123:10; 125:4; 129:1; 138:14; 162:19; 167:3, 6, 11; 170:1; 173:15; 174:22; 183:22; 185:16; 189:13; 199:1; 223:6; 227:14, 17, 22; 230:12; 234:1; 235:8
effectively [2] 175:7; 235:18
Effectiveness [2] 108:24; 109:5
effectiveness [26] 31:3; 32:4, 8, 17; 33:12; 34:2, 5, 11, 23; 63:19; 66:7, 9, 10; 70:7, 23; 71:20; 72:2, 11; 88:4, 10; 109:19, 22; 139:13; 199:25
effects [5] 10:6; 14:5; 77:19; 125:4; 185:16
efficacies [2] 168:1; 228:12
effort [1] 56:13
efforts [2] 20:23; 40:11
egg [1] 100:21
Egyptians [1] 42:24
eight [5] 45:7; 51:7; 71:1; 99:16, 18
eighteen [2] 116:22; 120:8
eighth [1] 99:16
ejaculate [1] 42:22
ejaculates [1] 45:7
Elaine [1] 111:15
elevated [1] 52:12
Elizabeth [2] 27:11, 16
eluted [1] 108:10
emerged [1] 14:18
emergency [2] 103:22; 242:23
emerges [1] 32:18
emerging [1] 17:9
Emory [1] 42:7
emphasis [6] 125:7; 127:1; 128:22; 185:19; 187:13; 189:9
emphasize [7] 107:9; 148:12; 174:23; 175:5; 208:25; 235:9, 16
emphasized [6] 124:9, 11; 161:22; 184:21, 23; 222:8
employed [1] 22:2
employees [2] 180:13; 240:25
empty [1] 62:15
enable [1] 113:10
enclosed [1] 55:6
encompassing [1] 83:17
encounter [2] 178:20; 239:7
encountered [1] 113:21
encourage [10] 20:23; 30:6; 49:3; 145:8; 176:21, 24; 205:21; 237:7, 10; 242:17
encouraged [2] 176:23; 237:9
end [13] 28:9; 40:20; 41:21; 100:19; 112:22; 115:1; 117:10; 159:17; 171:9; 177:20; 220:3; 231:19; 238:6
endanger [1] 22:18

Endocrine [1] 107:17
endorsement [1] 56:9
ends [2] 159:18; 220:4
enduring [1] 20:7
engage [1] 62:21
engaging [1] 15:11
English [6] 105:12, 15; 152:21; 158:22; 213:8; 219:8
enhance [1] 22:21
enjoy [1] 66:3
ensure [2] 156:10; 216:22
entered [2] 113:3, 23
entertaining [2] 160:13; 220:24
environment [1] 22:20
epidemia [1] 53:17
epidemic [4] 15:4; 52:17; 57:4; 64:16
equal [1] 69:6
equation [1] 25:24
equity [1] 61:18
ER [1] 121:1
era [1] 43:8
essential [3] 32:23; 39:13; 54:18
essentiality [1] 33:2
Essentially [2] 131:14; 192:1
essentially [4] 35:12, 13; 36:8; 81:3
established [1] 10:20
estimate [4] 69:6, 12; 129:18; 190:5
estimated [1] 60:13
estimates [7] 69:5, 9, 10; 142:7; 143:2; 202:20; 203:15
estimation [1] 69:4
et [8] 70:6, 10; 168:12; 169:8; 228:23; 229:19
ethnicity [1] 60:2
evaluate [1] 32:15
Evaluation [5] 4:18; 6:24; 112:5; 128:9; 188:21
evaluation [1] 32:7
Evaluator [1] 5:2
Event [1] 113:6
event [29] 7:5; 39:18; 42:18; 53:5; 112:24; 113:14, 16, 22; 115:11, 17, 20, 22; 116:11, 23; 120:13; 121:6, 8; 130:17; 131:6; 148:1; 176:13; 179:14; 180:4; 191:4, 18; 208:14; 236:24; 240:1, 16
events [46] 78:17; 102:11, 25; 106:12; 110:6; 111:25; 112:9, 18; 113:11, 20; 115:9; 119:11, 17; 120:1, 9, 10, 11, 12, 19, 21; 121:9, 12; 130:15; 133:21; 134:7; 136:7, 10; 150:7; 176:9; 177:1; 180:23; 181:5, 6; 191:2; 194:8, 19; 196:19, 22; 210:20; 236:20; 237:12; 241:10, 17, 18
Everybody [1] 83:22
everybody [5] 41:23; 156:17; 178:9; 217:4; 238:21
evidence [5] 15:19; 32:10, 18, 73:18; 109:9
evidence-based [2] 47:7; 86:4
exact [2] 75:20; 82:18
Exactly [2] 92:20; 99:2
exactly [7] 65:23; 108:20; 109:20; 136:19; 141:20; 197:6; 202:8
examination [1] 25:10
examined [1] 51:2
example [21] 11:24; 23:13; 34:17; 78:22; 79:20; 91:7; 96:24; 123:4, 18, 24; 124:9; 133:19; 135:11, 12; 183:16; 184:5, 11, 22; 194:6; 195:23, 24
examples [4] 128:24; 133:18; 189:11; 194:5
excellent [2] 169:9; 229:20

except [6] 80:19; 88:24; 111:13, 14; 136:21; 197:8
exception [3] 76:24; 89:6; 90:4
exclude [1] 7:9
exclusion [1] 7:10
excuse [2] 176:9; 236:20
Executive [1] 5:13
exercise [1] 9:13
exist [2] 140:22; 201:10
existing [2] 28:10; 111:24
exists [4] 11:8; 75:17; 76:5
Exiting [1] 109:24
expanded [2] 154:1; 214:13
expanding [5] 13:23; 21:10; 25:5; 27:24; 30:2
expect [1] 13:9
expected [3] 67:22; 143:6; 203:19
expecting [1] 14:1
expeditiously [1] 32:19
expensive [1] 63:13
experience [17] 14:17, 19, 21; 26:1; 42:9; 44:20; 85:22; 97:9; 101:17, 18; 107:1; 159:22; 171:17; 181:9; 220:8; 232:2; 241:21
experienced [1] 42:3
experiences [1] 73:23
expert [3] 96:16; 98:20; 99:1
expertise [1] 103:12
explain [1] 76:11
explanation [2] 83:18; 91:11
explanations [1] 101:8
explicit [2] 173:23; 234:9
explicitly [2] 175:14; 235:25
exploratory [1] 120:24
exposes [1] 22:13
exposure [5] 22:16; 23:1; 119:23; 131:22; 192:9
exposures [1] 22:9
expounding [1] 78:9
express [5] 9:19; 20:15; 74:25; 151:12; 211:24
expressed [2] 156:12; 216:24
Exquisite [2] 30:9, 10
extend [1] 36:24
extending [1] 35:3
extensive [2] 46:14; 74:10
extent [6] 11:5; 48:8; 163:14; 171:14; 224:1; 231:24
extenuating [1] 53:13
extreme [1] 16:20
extremely [7] 44:12; 46:23; 48:4, 23; 84:13; 125:4; 185:16

- F -

face [4] 56:20; 100:7; 164:11; 224:23
Faced [1] 69:4
faced [1] 9:17
facilitate [2] 176:13; 236:24
facilities [2] 180:20; 241:7
facility [1] 39:3
facing [2] 173:9; 233:19
fact [18] 26:21; 28:11; 29:9; 35:8; 45:19; 46:9; 54:11; 57:10; 61:14; 65:18; 67:19; 74:6; 128:1; 137:10; 152:9; 188:13; 197:22; 212:21
factor [2] 25:24; 63:20
factors [3] 50:21; 117:7, 8
Facts [3] 40:19; 89:8, 11
facts [21] 54:5; 122:7, 12, 15; 123:1, 24; 125:15, 25; 126:2; 128:21; 153:22;

182:19, 24; 183:2, 13; 184:11; 186:2, 12, 14; 189:8; 214:9
fail [1] 109:22
failed [2] 35:1; 103:10
failure [5] 79:6, 9; 98:11; 116:12
faintness [1] 100:6
fairness [3] 7:12; 32:20; 106:5
fall [5] 63:9; 134:2; 135:18; 194:14; 196:5
familiar [5] 101:22; 148:14, 15; 209:2, 3
families [1] 57:2
Family [2] 5:23; 59:1
family [7] 5:15; 25:25; 28:14; 68:7; 71:3; 142:11; 202:24
fascinating [1] 43:7
fashion [1] 44:14
fat [2] 168:3; 228:14
father [1] 33:9
favor [15] 22:20; 144:12; 163:20; 165:16; 168:8; 169:10, 17; 170:15; 204:25; 224:7; 226:3; 228:19; 229:21; 230:3, 25
favorable [1] 48:17
favored [2] 148:21; 209:9
febrile [1] 49:16
feces [1] 50:16
Federal [3] 43:18; 134:19; 195:6
federal [1] 61:9
Federation [5] 56:1, 9, 17; 62:11; 74:21
feel [22] 17:18; 21:8; 64:9, 19; 78:4, 25; 86:23, 24; 134:14; 155:22; 159:4; 160:16; 161:10, 15; 163:3; 195:1; 216:9; 219:15; 221:2, 21; 222:1; 223:15
feels [2] 123:10; 183:22
fees [2] 180:1; 240:13
feet [2] 166:22; 227:8
Female [1] 71:6
female [7] 15:10; 26:19; 46:4, 16; 71:9; 83:18; 115:14
fertile [1] 25:15
fertility [2] 70:18; 72:10
fertilize [1] 100:21
Fetal [1] 5:9
fever [4] 49:20; 77:21; 100:5; 114:10
fewer [1] 54:12
field [1] 93:1
fifteen [4] 7:23; 23:19; 50:22; 71:10
fifty-two [1] 116:18
figure [6] 68:2, 13; 69:23; 81:14; 86:1, 11
file [1] 18:6
filed [1] 18:7
fill [2] 136:22; 197:9
fills [2] 62:15; 65:20
film [1] 68:9
films [2] 11:11; 71:9
final [17] 30:13; 34:7; 70:11; 111:7, 9; 121:18; 122:4; 126:19; 133:3; 175:4; 176:2; 182:5, 16; 187:6; 193:15; 235:15; 236:13
finance [1] 73:13
financial [4] 6:21; 7:8, 13; 13:4
find [33] 26:5; 44:19; 46:1; 72:23; 73:2; 79:24; 87:1, 4; 104:6; 122:10; 140:3, 23; 142:3, 17, 18; 147:18, 20; 153:18; 164:6, 9; 175:16; 182:22; 200:16; 201:11; 202:16; 203:5, 6; 208:6, 8; 214:5; 224:18, 21; 236:2
finding [4] 85:19; 98:15; 130:2; 190:14
fine [1] 69:22
finish [1] 87:12
finite [1] 70:15
firm [1] 7:14
firmly [1] 20:5

firms [2] 6:23; 7:6
First [16] 17:5; 28:23; 32:5; 38:7; 106:7, 18; 125:14; 126:4; 127:12; 135:25; 161:1; 186:1, 16; 187:24; 196:12; 221:12
first [48] 7:17; 8:9; 14:10; 33:18; 42:6; 49:14; 61:17; 65:25; 66:24; 67:1, 2, 9; 68:20, 23; 74:16; 84:16; 88:17; 90:12; 100:13; 106:23; 107:16; 108:22; 110:5; 113:4; 126:5; 127:3; 133:17; 134:7; 140:4; 157:14; 158:5; 163:8; 170:14; 171:4; 172:4; 186:17; 187:15; 194:4, 19; 200:17; 217:25; 218:16; 223:20; 230:24; 231:14; 232:14
fist [1] 74:5
fit [1] 76:13
fitting [1] 61:23
five [19] 8:1; 23:19; 51:21; 52:5; 62:16; 71:12, 15; 93:15; 95:16; 103:9; 114:9, 17, 19, 20; 117:17; 166:22; 180:25; 227:8; 241:12
fixed [1] 84:1
flagged [1] 119:25
flagging [1] 114:4
flawed [4] 138:1; 140:20; 198:13; 201:8
Fleisch [2] 98:22, 24
Flesh [1] 99:13
flip [1] 96:8
flipping [1] 73:19
floor [1] 38:5
flora [3] 50:15; 51:17; 54:2
Florida [1] 6:4
flow [2] 180:19; 241:6
fluctuations [4] 132:17, 21; 193:4, 8
foam [4] 68:9; 109:2; 168:11; 228:22
foams [2] 11:11; 71:9
focus [17] 18:19; 38:18, 21; 39:15, 16; 50:10; 96:12, 16; 106:20; 124:4; 126:15; 139:4; 148:11; 184:16; 187:2; 199:16; 208:24
focused [2] 153:9; 213:21
focusing [3] 83:5; 149:18; 210:6
folks [3] 80:3; 141:13; 202:1
follow [7] 55:4; 64:4; 105:2; 173:17; 175:14; 234:3; 235:25
follow-up [15] 84:24; 114:7; 119:21; 150:21; 176:11; 180:14, 23; 181:5, 12; 211:9; 236:22; 241:1, 10, 17, 24
followed [2] 109:13; 115:25
following [19] 6:15; 14:15; 16:16; 33:17; 50:8, 9; 77:4; 83:14; 103:25; 108:23; 109:14, 20; 116:11; 122:13, 25; 131:15; 182:25; 183:12; 192:2
follows [8] 124:24; 125:14; 145:21; 150:15; 185:11; 186:1; 206:9; 211:3
font [6] 125:20, 23; 157:25; 186:7, 10; 218:11
Food [1] 106:4
food [2] 160:14; 220:25
forcing [1] 9:2
fore [1] 104:6
foremost [2] 126:4; 186:16
forget [5] 82:18; 157:2; 166:9; 217:14; 226:20
forgot [4] 130:25; 178:10; 191:12; 238:22
form [9] 34:7; 118:12, 13; 136:23; 175:23; 179:17; 197:10; 236:9; 240:4
formally [2] 170:10; 230:20
format [27] 34:8; 40:19; 85:11; 122:6, 7; 123:17, 20; 124:7; 125:15; 127:21; 128:21; 154:20, 24; 165:9; 182:18, 19; 184:4, 7, 19; 186:2; 188:8; 189:8; 215:7,

11; 225:21
formation [1] 22:22
formats [2] 123:17; 184:4
formed [1] 107:20
former [3] 12:5; 125:23; 186:10
forms [4] 16:3; 56:4; 168:24; 229:10
formula [1] 99:15
Forrest [10] 47:24; 64:23; 65:2; 70:23; 74:7; 79:3; 87:21; 89:15; 90:1; 95:19
forth [10] 42:5; 76:14, 18; 77:20, 22; 78:3; 79:25; 82:16; 83:14; 95:22
forty [1] 65:3
forward [2] 42:12; 80:1
fosters [1] 20:3
found [12] 15:14; 22:24; 43:18; 45:6; 51:4, 14; 53:9; 55:10; 62:22; 111:4; 147:25; 208:13
foundations [1] 20:10
founded [1] 20:1
four [11] 45:12; 91:6; 114:2, 18; 117:4, 13, 18; 136:14; 176:7; 197:1; 236:18
Fourth [2] 127:21; 188:8
FPL [1] 111:8
fragmentation [2] 110:13, 18
frame [7] 41:3; 66:23; 79:7; 146:3; 170:25; 206:16; 231:10
frankly [5] 76:14; 77:23; 104:1; 137:9; 197:21
fraught [1] 89:17
free [4] 134:14; 181:7; 195:1; 241:19
frequency [2] 72:16; 115:24
frequently [3] 121:8; 132:10; 192:21
friendly [24] 34:6; 35:20, 23; 65:24; 66:11; 67:12, 19; 70:20; 71:24; 95:8; 128:4; 138:17; 139:3, 7; 140:4; 141:3; 156:25; 188:16; 199:4, 15, 19; 200:17; 201:16; 217:12
frighten [1] 96:4
front [8] 84:10; 86:21; 171:22; 177:19, 20; 232:7; 238:5, 6
fulfilled [1] 114:20
fulfilling [1] 114:18
fulfills [1] 114:17
full [5] 27:21; 29:19; 62:7; 79:20; 92:24
fully [4] 9:5; 28:6; 29:18; 108:21
funded [2] 60:2, 15
funding [1] 21:25
future [3] 58:8; 135:20; 196:7

- G -

GANLEY [34] 4:20; 18:2, 8; 36:11; 38:3, 5; 135:5, 16; 136:12; 139:18; 143:3, 10, 15, 18; 145:11; 148:9; 165:18, 21; 166:12; 181:18; 195:17; 196:3, 24; 200:6; 203:16, 23; 204:3, 6; 205:24; 208:22; 226:5, 8, 23; 242:4
Ganley [12] 4:20; 18:22; 36:9; 38:1, 2; 40:24; 148:6; 151:2; 165:8; 208:19; 211:15; 225:20
garbage [2] 147:13; 208:1
gas [2] 168:17; 229:3
gastrointestinal [1] 114:12
geared [1] 12:7
Geidner [1] 55:15
gel [2] 15:10; 68:9
gels [1] 11:11
Gene [4] 73:21; 74:13; 75:24; 94:12
generated [1] 70:2
generic [3] 179:2; 239:15; 243:2
generically [2] 179:8; 239:20

gentle [1] 24:9
 gentlemen [2] 73:19; 74:23
 gently [1] 111:5
 George [2] 6:6; 7:2
 gets [3] 163:5; 223:17; 242:23
 GILLIAM [10] 5:15; 17:18; 82:3, 17;
 83:20; 105:2; 158:4; 172:17; 218:15;
 233:2
 Gilliam [11] 5:15; 82:2; 105:1; 158:3;
 171:11; 172:16; 178:24; 218:14; 231:21;
 233:1; 239:11
 give [24] 47:20; 61:10; 67:11; 72:3, 5;
 73:18; 133:19; 138:14; 154:6; 156:20;
 157:20; 167:9, 10; 169:21; 176:4; 194:6;
 199:1; 214:18; 217:7; 218:6; 227:20, 21;
 230:7; 236:15
 Given [1] 11:13
 given [18] 34:24; 72:19; 76:19; 95:3;
 149:9; 150:14; 152:4; 161:1; 163:1; 168:5;
 179:12; 209:22; 211:2; 212:16; 221:12;
 223:13; 228:16; 239:24
 gives [2] 72:9; 99:5
 giving [1] 103:20
 glad [3] 17:13; 172:3; 232:13
 glance [2] 158:8; 218:19
 glares [1] 79:4
 glaringly [1] 8:24
 global [5] 86:14; 142:15; 151:13; 203:3;
 211:25
 globally [2] 65:9; 87:6
 Gloria [3] 5:4; 106:12; 121:15
 goal [4] 20:18; 25:8; 62:10; 75:4
 goes [23] 14:9; 42:20; 89:1, 2; 103:20;
 104:19; 105:11; 145:23; 149:5; 158:5;
 173:4; 174:10, 12; 179:4; 180:18; 206:11;
 209:18; 218:16; 233:14; 234:21, 23;
 239:17; 241:5
 gold [1] 43:2
 gonorrhea [1] 43:2
 GONZALES [1] 25:2
 Gonzales [4] 24:25; 25:1, 2; 27:9
 gotten [2] 147:22; 208:10
 gracely [1] 38:10
 Grade [1] 99:14
 grade [3] 89:19; 100:12, 22
 grader [1] 99:16
 gram [1] 22:6
 grammar [1] 99:5
 grams [2] 168:4; 228:15
 graph [4] 51:10; 80:19; 115:20; 117:22
 graphic [4] 70:2; 97:18; 142:6; 202:19
 graphics [4] 48:4; 98:4; 101:13, 14
 graphs [2] 146:24; 207:12
 grass [1] 27:18
 Great [7] 57:13; 124:22; 152:23; 155:1;
 185:9; 213:10; 215:13
 great [13] 10:18; 12:22; 26:25; 48:12;
 89:6; 137:25; 149:1; 153:9; 157:8; 198:12;
 209:14; 213:21; 217:19
 greater [6] 15:1; 47:25; 54:10; 117:7;
 119:10, 24
 green [2] 115:22; 117:24
 GREENE [14] 5:8; 80:15; 81:1, 5, 8, 13,
 19, 25; 144:1; 159:2; 172:2; 204:14;
 219:13; 232:12
 Greene [10] 5:8; 80:14; 141:16; 143:25;
 159:1; 171:25; 202:4; 204:13; 219:12;
 232:10
 GREENSLADE [5] 64:25; 87:25; 95:21;
 98:5, 25
 Greenslade [15] 47:25; 64:24; 65:2; 87:9;

17; 90:1; 95:6; 137:7; 145:12; 158:12;
 175:24; 197:19; 205:25; 218:23; 236:10
 GROUP [1] 105:25
 group [7] 41:19; 60:3; 96:12, 16; 101:4;
 139:4; 199:16
 groups [2] 13:19; 25:20
 growing [2] 158:24; 219:10
 growth [3] 53:19; 149:23; 210:11
 guaranteed [1] 61:15
 Guess [2] 121:17; 182:4
 guess [47] 18:9; 68:20; 78:3; 82:3, 5;
 92:7, 16; 103:2, 6; 105:2, 22; 134:23;
 139:16; 147:17; 152:25; 153:7; 156:3;
 164:24; 165:7; 166:11, 14, 20, 24; 170:21;
 174:15; 179:8; 180:10; 181:13; 195:10;
 200:4; 208:5; 213:12, 19; 216:15; 225:11,
 19; 226:22, 25; 227:6, 10; 231:6; 235:1;
 239:20; 240:22; 241:25
 guidance [4] 103:16, 19; 128:18; 189:5
 guided [1] 65:8
 guidelines [3] 11:12; 20:6; 55:3
 Gutmacher [3] 24:25; 25:4; 59:18
 guys [2] 167:20; 228:6
 GYN [7] 5:18; 42:4, 7; 65:15, 22; 102:14,
 16
 gynecologic [1] 50:9

- H -

H2 [4] 135:11, 14; 195:23; 196:1
 hadn't [2] 145:12; 205:25
 hair [2] 149:23; 210:11
 Hajjeh [1] 52:14
 half [7] 26:2; 57:6, 7; 133:16; 134:12;
 194:3, 24
 Hand [10] 161:13, 17; 162:13; 169:12;
 170:17; 221:24; 222:3, 25; 229:23; 231:2
 hand [9] 13:25; 161:12; 162:12; 169:11;
 170:16; 221:23; 222:24; 229:22; 231:1
 handed [4] 88:1, 2; 102:10; 116:16
 handle [2] 77:24; 94:2
 handled [1] 95:2
 handling [1] 103:12
 handout [2] 72:18; 87:24
 hands [5] 77:3; 161:9; 173:8; 221:20;
 233:18
 happens [4] 135:2; 160:11; 195:14;
 220:22
 happy [2] 45:15, 16
 hard [8] 59:3; 68:10; 101:12; 103:8;
 141:1; 150:11; 201:14; 210:24
 harm [1] 10:23
 Harvard [2] 49:8; 74:18
 hate [2] 179:19; 240:6
 haven't [8] 36:1; 104:19; 148:2; 153:13;
 170:10; 208:15; 213:25; 230:20
 head [7] 4:10; 139:10, 11; 157:14;
 199:23; 217:25
 headers [2] 154:20; 215:7
 heading [4] 123:7; 127:2; 183:19; 187:14
 headings [8] 122:14, 19, 24; 123:19;
 183:1, 6, 11; 184:6
 Health [11] 5:22; 12:24; 13:2; 19:15, 20;
 25:5; 62:25; 128:8, 10; 188:20, 22
 health [42] 9:14; 10:1; 12:11; 15:20;
 19:23, 24, 25; 20:4, 18; 21:6; 22:18;
 25:11; 28:2; 30:7; 55:16, 20; 56:2, 3, 5,
 16; 58:1, 2; 59:14; 60:3, 11; 61:14; 65:4;
 76:16, 21; 78:8, 9; 97:10; 109:10; 110:23;
 125:12; 127:6; 129:24; 136:20; 185:24;
 187:18; 190:11; 197:7

Healthcare [1] 30:18
 healthcare [28] 16:8; 20:2; 26:24; 29:2;
 30:24; 45:15; 58:20; 59:12; 60:16; 61:6,
 23; 62:12; 82:8, 11, 21, 24; 83:2, 7; 85:18;
 92:3; 94:4; 97:24; 101:17; 104:2; 112:25;
 113:13; 119:2
 healthy [3] 27:22; 49:18; 51:3
 hear [13] 6:13; 7:22; 13:18; 19:10; 40:7,
 14; 106:3; 134:4; 156:16; 180:12; 194:16;
 217:3; 240:24
 heard [13] 13:8, 17; 14:1; 28:23; 29:6;
 43:15; 45:11; 48:16, 21; 62:12; 65:16;
 77:10; 101:2
 hearing [5] 7:17; 24:24; 42:5, 6, 12
 hearings [4] 135:1; 163:11; 195:13;
 223:23
 heartburn [2] 135:11; 195:23
 Helen [2] 125:16; 186:3
 help [29] 11:6; 19:8; 30:5; 32:20; 44:12;
 84:21; 100:9; 103:14, 18; 104:11, 12, 19;
 121:19; 153:18; 154:21; 159:11; 172:8;
 177:1; 178:12; 179:11; 182:6; 214:5;
 215:8; 219:22; 232:18; 237:12; 238:24;
 239:23
 helped [4] 44:19; 74:7; 174:4; 234:15
 helpful [3] 46:24; 175:5; 235:16
 helping [1] 12:7
 hematologic [1] 114:13
 Hence [1] 34:9
 Henry [1] 5:21
 hepatic [1] 114:13
 heyday [1] 73:25
 Hi [1] 5:17
 high [10] 20:19; 23:1, 3, 22; 32:10; 49:17;
 56:14; 100:23; 174:21; 235:7
 higher [16] 57:11, 14; 72:5, 22; 98:10, 11;
 99:8; 105:5; 144:3; 176:19; 177:16; 179:9;
 204:16; 237:5; 238:2; 239:21
 highest [6] 57:8; 115:24; 117:25; 118:2;
 131:21; 192:8
 Hispanic [4] 60:1; 105:5; 158:24; 219:10
 Historically [2] 45:20; 89:4
 historically [1] 95:1
 history [3] 43:5; 146:4; 206:17
 hit [2] 180:2; 240:14
 HIV [9] 12:2; 15:3, 15; 16:22; 62:9; 127:8;
 172:9; 187:20; 232:19
 hold [5] 94:13; 176:19; 177:16; 237:5;
 238:2
 holders [1] 35:5
 holding [1] 27:15
 Home [6] 43:22; 75:22; 77:11; 103:17;
 104:14; 105:17
 honest [2] 47:18; 67:18
 hope [5] 61:8; 177:24; 180:16; 238:10;
 241:3
 hopefully [7] 91:11; 138:13; 139:22;
 180:18; 198:25; 200:10; 241:5
 hoping [1] 83:18
 hormonal [8] 10:2, 5; 13:12; 27:5; 29:9;
 46:11; 167:7; 227:18
 Hospital [2] 5:10; 49:8
 hospital [2] 102:17; 121:2
 hospitalization [6] 115:16; 116:22, 25;
 120:4; 131:10; 191:22
 hospitalized [1] 92:4
 hotline [6] 177:12; 178:17, 18; 237:23;
 239:4, 5
 hour [5] 45:21; 77:3; 93:14; 176:3; 236:14
 hours [28] 9:21; 22:14; 44:9; 53:15;
 58:23; 93:16; 108:11; 110:22; 117:5, 7;

119:10, 24; 126:25; 152:16; 174:19;
175:8, 9, 10, 11; 187:12; 213:3; 235:5, 19,
20, 21, 22
Howard [1] 5:22
human [4] 50:14; 63:20; 172:8; 232:18
hundred [22] 67:5; 71:11, 12; 81:6; 88:24;
95:16, 23; 108:25; 109:17; 116:18, 21;
120:8; 143:20; 144:14, 15, 18, 23; 204:8;
205:2, 3, 6, 11
hurdle [2] 32:3, 5
hurdles [1] 32:25
husband [2] 131:15; 192:2
hybrid [1] 79:5
hypotension [3] 49:21; 114:10; 116:13
hypothetical [2] 166:20; 227:6

- | -

I'd [26] 7:17; 17:13; 19:9; 41:1; 55:14;
64:23; 73:5; 80:3, 12; 83:13; 144:1; 148:4;
151:4; 161:7; 162:9; 176:2; 179:6; 204:14;
208:17; 211:17; 221:18; 222:21; 236:13;
239:19
I've [20] 42:16; 57:20; 65:3, 10; 70:24;
72:19; 74:2; 77:11; 98:2; 101:2; 140:21;
147:10; 156:4; 160:17; 201:9; 207:23;
216:16; 221:3
ICU [1] 116:24
idea [11] 18:19; 69:20; 75:7; 137:25;
159:15; 179:25; 181:18; 198:12; 220:1;
240:12; 242:4
ideal [7] 50:18; 62:23; 65:17, 18; 100:11;
140:24; 201:12
ideas [1] 19:9
identified [8] 112:11, 14; 117:8; 120:7;
121:5, 14; 151:10; 211:22
identify [4] 112:13; 116:9; 177:1; 237:12
ignore [1] 102:1
ill [2] 158:1; 218:12
illiterate [1] 101:3
illness [1] 49:16
illnesses [2] 110:8, 12
illustrates [1] 100:14
imagine [7] 86:1; 129:22; 168:16, 23;
190:9; 229:2, 9
immediate [3] 31:14; 122:17; 183:4
immediately [1] 99:3
immigrants [1] 97:15
immune [4] 172:9, 12; 232:19, 22
immunodeficiency [2] 172:9; 232:19
impact [2] 157:21; 218:7
impacts [2] 60:19; 97:23
imperfect [1] 79:7
implant [3] 116:1, 7; 118:19
implemented [1] 108:21
implications [1] 84:4
implied [2] 163:9; 223:21
importance [6] 25:5; 33:1; 37:10; 47:19;
152:20; 213:7
impossible [1] 88:6
impression [2] 136:13; 196:25
improve [22] 12:11; 40:11; 123:20; 125:6,
10; 127:12; 128:25; 137:16; 149:1, 5;
179:11, 23; 184:7; 185:18, 22; 187:24;
189:12; 198:3; 209:14, 18; 239:23; 240:10
improved [4] 55:8, 20; 128:21; 189:8
improving [3] 19:9; 20:18; 28:1
in-depth [2] 142:16; 203:4
inability [1] 118:22
inaccurate [1] 63:6
inactive [2] 124:20; 185:7

inadequacy [1] 28:10
inadequate [1] 106:25
inappropriately [2] 147:13; 208:1
Inaudible [1] 107:18
incidence [3] 53:6; 130:3; 190:15
incident [1] 113:19
incidents [7] 49:22; 53:7; 81:15; 91:12;
115:6; 158:6; 218:17
include [32] 16:13, 15, 25; 100:5; 114:12;
115:15; 119:12; 120:16; 127:14, 149:15;
162:2, 3, 11, 18, 21, 25; 164:25; 168:10,
19; 181:23; 188:1; 210:3; 222:14, 15, 23;
223:5, 8, 12; 225:12; 228:21; 229:5; 242:9
included [19] 87:19; 109:9; 123:9;
128:16; 133:6; 135:7; 139:20; 148:17;
165:16; 181:22; 183:21; 189:3; 193:18;
195:19; 200:8; 209:5; 226:3; 242:8
includes [8] 28:12; 61:7; 71:8; 115:2;
123:8; 134:21; 183:20; 195:8
inclusion [5] 11:22; 163:15; 171:3; 224:2;
231:13
income [3] 60:25; 73:16; 97:13
incompletely [2] 164:7; 224:19
incomprehensible [1] 47:22
Incorporated [1] 20:12
increase [11] 14:25; 16:22; 23:3; 24:13;
30:4; 52:21; 58:12; 62:13; 78:23; 92:1, 8
increased [7] 8:23; 15:15; 23:6; 66:18;
118:14; 171:15; 231:25
increases [1] 78:21
increasing [5] 25:19; 63:1, 2, 3; 92:6
increasingly [2] 15:4; 25:20
incumbent [1] 47:18
independent [1] 20:7
indicate [6] 10:23; 11:11; 53:20; 119:15;
162:12; 222:24
indicated [2] 16:20; 75:16
indications [2] 34:3, 23
indicators [1] 25:11
Indirectly [1] 23:15
indirectly [1] 113:1
individual [8] 50:17; 103:14; 131:6;
136:3, 16; 191:18; 196:15; 197:3
individually [2] 151:5; 211:18
individuals [7] 4:13; 7:18; 8:17; 13:7;
46:17; 181:8; 241:20
induce [1] 10:4
industrialized [2] 57:9, 15
Industry [1] 6:6
industry [8] 7:2, 4; 20:6, 10; 30:24; 98:2;
144:13; 205:1
inexpensive [1] 113:10
infected [1] 15:15
infection [18] 15:24; 16:2, 19, 23; 50:10;
75:17; 76:4, 5; 77:21; 116:3, 4, 11, 12;
119:12, 13; 120:18; 133:13; 193:25
infections [12] 23:4, 5; 24:14; 50:7; 62:8;
115:4; 120:17; 133:4, 6; 193:16, 18
infectious [6] 120:10, 12; 130:13; 133:9;
190:25; 193:21
inferential [2] 141:2; 201:15
influence [1] 19:24
influenza [1] 50:10
inform [4] 126:10; 127:4; 186:22; 187:16
informal [1] 11:9
Information [1] 8:14
informational [2] 137:18; 198:5
informative [3] 29:22; 128:25; 189:12
informed [10] 8:18; 16:7; 17:10; 29:23;
128:13, 15; 137:15; 188:25; 189:2; 198:2
informs [4] 54:25; 55:12; 124:15; 185:2

ingested [2] 159:20; 220:6
ingestion [2] 160:8; 220:19
ingredient [3] 10:7; 123:1; 183:13
ingredients [4] 123:1; 124:21; 183:13;
185:8
inherent [1] 113:12
inhibited [1] 53:21
initial [1] 32:5
initially [2] 145:24; 206:12
initiated [1] 111:19
injectables [2] 70:11; 71:14
inner [1] 104:22
innocuous [2] 159:22; 220:8
innovation [1] 56:22
innovations [1] 57:19
input [1] 35:6
inquiries [2] 123:15; 184:2
inserted [3] 79:23; 100:17; 108:6
inserting [1] 84:17
insertion [3] 19:2; 119:8, 10
inserts [6] 11:11; 94:20; 135:10; 142:20;
195:22; 203:8
inside [9] 76:1; 79:24; 89:3; 162:8;
169:21; 174:3; 222:20; 230:7; 234:14
insight [2] 72:19; 88:9
instance [5] 68:6; 154:5, 6; 214:17, 18
instances [3] 9:15; 147:20; 208:8
Institute [5] 24:25; 25:4; 56:25; 59:18;
97:7
instruct [1] 11:15
instructed [1] 10:20
instruction [1] 54:24
instructions [11] 18:24; 19:6; 23:13;
64:2; 77:6; 79:23; 100:13; 104:15; 109:20;
111:2; 119:6
insufficient [1] 50:20
insurance [5] 28:25; 58:1, 2; 61:11, 15
insure [2] 12:7; 32:20
insured [1] 29:3
insurers [1] 29:5
insuring [2] 27:20; 56:18
intend [1] 25:17
intending [1] 94:17
intention [5] 93:23; 94:22, 24; 157:11;
217:22
Intentions [3] 63:6; 97:8, 21
inter-disciplinary [1] 19:21
intercourse [24] 9:22, 24; 10:22; 11:1;
15:12; 16:21; 45:25; 46:12; 62:22; 63:15;
66:24; 67:2, 4, 6; 79:9, 10; 174:19; 175:8,
11, 13; 235:5, 19, 22, 24
interest [15] 6:14, 16, 25; 7:8, 12; 39:17;
42:18; 56:17; 74:25; 75:2; 85:14; 153:4;
160:21; 213:16; 221:7
interested [11] 19:10; 41:13, 23; 85:19;
102:19; 137:1; 150:4, 24; 197:13; 210:17;
211:12
interesting [7] 84:25; 85:3; 87:14;
160:12; 181:20; 220:23; 242:6
interests [4] 6:21, 23; 7:4, 5
intermediary [2] 139:21; 200:9
internal [1] 108:17
International [1] 74:3
international [2] 65:11; 109:3
internationally [1] 66:5
interpret [7] 68:4, 5, 6, 14; 69:15; 141:11;
201:24
interpretation [3] 68:20; 71:22; 88:11
interval [1] 31:15
interviewing [1] 96:16
introduce [4] 4:14; 41:5; 55:14; 64:23

introduced [4] 22:11; 53:1, 25; 57:19
 introduction [4] 57:22; 65:4, 8; 73:9
 introductions [1] 4:9
 invest [4] 180:20; 181:8; 241:7, 20
 investigators [1] 110:11
 invite [2] 151:5; 211:18
 involve [2] 22:9; 58:19
 involved [4] 136:21; 153:11; 197:8;
 213:23
 involvement [4] 7:9, 13; 31:13; 114:15
 involves [1] 61:23
 involving [4] 7:6; 15:17; 112:20; 116:7
 lpas [1] 65:11
 irrespective [1] 31:14
 irritation [16] 14:21; 16:17; 23:20, 23, 25;
 24:4, 16, 18; 46:16; 77:19; 158:6; 171:18;
 172:18; 218:17; 232:3; 233:3
 isolated [6] 50:15; 100:25; 162:23;
 165:11; 223:10; 225:23
 isolates [1] 51:8
 isolation [4] 163:21; 164:4; 224:8, 16
 issue [37] 6:16; 10:16; 18:7, 15; 31:1, 2;
 34:10; 37:17; 46:18, 21; 47:6, 23; 61:19,
 20; 65:12, 16; 77:23; 78:7; 79:13; 84:23;
 89:1; 96:19; 97:2; 104:20; 120:2; 144:10;
 150:17; 165:4; 168:15; 176:6; 180:11;
 204:23; 211:5; 225:16; 229:1; 236:17;
 240:23
 issued [1] 110:20
 issues [1] 243:15
 issues [45] 10:17; 18:12; 39:8; 41:12;
 48:15; 58:22; 72:15; 74:1, 15; 77:14; 82:8,
 24; 83:16; 97:10, 16; 101:8; 104:2;
 106:21; 107:6; 112:10, 13; 121:4, 14;
 125:12; 149:6; 151:7, 13; 153:8; 160:24;
 169:1; 171:25; 176:10, 16; 181:15;
 185:24;
 209:19; 211:20, 25; 213:20; 221:10;
 229:12; 232:10; 236:21; 237:2; 242:1
 it'll [2] 181:9; 241:21
 items [3] 82:20; 159:12; 219:23
 IUD [8] 63:13; 65:9; 71:13; 110:2; 167:3,
 8; 227:14, 19
 IUDs [1] 46:10

- J -

James [1] 63:16
 January [2] 51:21; 107:7
 jellies [1] 71:9
 jelly [2] 168:12; 228:23
 Jeopardy [2] 179:2; 239:15
 job [4] 63:7; 69:14; 181:10; 241:22
 Jodi [1] 5:17
 JOHNSON [27] 6:3; 88:15; 90:6, 16, 19;
 91:3, 6, 9, 16; 92:7, 16; 137:4, 24; 139:15;
 155:4; 167:1; 173:3; 175:16; 177:8;
 197:16; 198:11; 200:3; 215:16; 227:12;
 233:13; 236:2; 237:19
 Johnson [18] 6:3; 88:14; 137:3; 140:19;
 155:2; 166:25; 173:2; 174:14; 175:15;
 177:7; 197:15; 201:7; 215:14; 227:11;
 233:12; 234:25; 236:1; 237:18
 joint [1] 110:10
 journals [2] 178:22; 239:9
 judge [2] 134:24; 195:11
 judgment [2] 11:14; 70:17
 judgmental [2] 154:15; 215:2
 Julie [1] 6:3
 July [1] 4:6
 jump [3] 38:17; 174:17; 235:3

jumping [2] 38:21; 40:15
 June [2] 51:21; 107:20
 justify [1] 40:18

- K -

KARWOSKI [24] 5:1; 112:3; 129:20;
 131:4; 132:3, 23; 133:2, 8, 12, 23; 134:8;
 146:25; 147:24; 190:7; 191:16; 192:15;
 193:10, 14, 20, 24; 194:10, 20; 207:13;
 208:12
 Karwoski [9] 5:1; 53:10; 106:11; 112:1, 4;
 133:17; 146:15; 194:4; 207:3
 KATZ [1] 4:22
 Katz [1] 4:22
 keep [11] 45:24; 86:5; 101:20; 147:8;
 148:9; 166:3; 169:2; 207:21; 208:22;
 226:14; 229:13
 keeping [1] 75:2
 keeps [1] 83:22
 kept [2] 129:17; 190:4
 Keravitch [2] 121:19; 182:6
 key [7] 56:20; 59:8; 75:9; 79:25; 108:14;
 158:2; 218:13
 Kincaid [1] 99:14
 kinds [5] 43:8; 70:1, 14; 160:16; 221:2
 knowing [2] 139:9; 199:22
 knowledge [3] 11:7; 97:20; 118:14
 Korean [1] 60:1
 KRENZELOK [29] 6:9; 18:23; 35:19;
 93:9; 94:6, 10, 14, 17; 95:3, 15; 96:18;
 97:25; 98:18; 99:2, 22; 147:5; 148:3;
 151:19; 153:3; 159:21; 161:21; 176:18;
 207:18; 208:16; 212:6; 213:15; 220:7;
 222:7; 237:4
 Krenzelok [14] 6:9; 93:8; 147:4; 151:16;
 159:13; 174:14; 176:15; 177:6; 207:17;
 212:3; 219:24; 234:25; 237:1, 17

- L -

labeled [1] 39:23
 Labeling [3] 29:21; 89:11; 243:15
 labels [5] 101:10; 122:10; 149:1; 182:22;
 209:14
 Laboratories [1] 74:3
 laboratory [4] 45:6, 9; 51:2; 90:9
 lack [4] 25:21; 54:4; 56:22; 118:10
 language [5] 47:18; 67:9; 97:16; 134:19;
 195:6
 laparotomy [1] 120:24
 large [8] 33:24; 35:21; 47:21; 66:4, 5;
 83:9; 96:3; 120:19
 larger [5] 116:4; 154:13; 157:25; 214:25;
 218:11
 largest [1] 56:15
 last [13] 57:22; 65:10; 88:1; 93:11;
 106:17; 123:13; 135:6; 174:19; 176:14;
 183:25; 195:18; 235:5; 236:25
 lasting [1] 62:2
 lastly [4] 79:2; 112:22; 158:20; 219:6
 latch [1] 110:8
 late [4] 49:22; 63:25; 176:3; 236:14
 latex [4] 127:7; 172:5; 187:19; 232:15
 Laughter [8] 153:2; 159:24; 160:2, 5;
 213:14; 220:10, 13, 16
 law [1] 61:17
 lay [2] 145:2; 205:15
 layperson [2] 127:25; 188:12
 lazy [2] 179:13; 239:25
 lead [1] 14:3
 leading [1] 8:16

leaflet [4] 153:16; 154:14; 214:3; 215:1
 League [1] 27:18
 learn [2] 79:17; 104:4
 learned [2] 139:21; 200:9
 leave [6] 45:8; 126:24; 175:9; 187:11;
 235:20; 243:11
 leaves [1] 60:16
 legal [1] 27:23
 legible [3] 40:12; 122:11; 182:23
 lengths [2] 149:1; 209:14
 LERNER [28] 5:17; 83:22; 84:24; 85:13;
 104:7, 11; 141:18, 24; 143:9, 13, 16, 19;
 145:5; 157:7; 164:17; 167:15; 178:16;
 202:6, 12; 203:22; 204:1, 4, 7; 205:18;
 217:18; 225:4; 228:1; 239:3
 Lerner [3] 5:17; 83:21; 141:16; 157:6;
 167:14; 172:20; 178:15; 202:4; 217:17;
 227:25; 233:5; 239:2
 lesions [5] 22:22, 25; 24:5, 12, 13
 lesser [2] 150:10; 210:23
 lessor [1] 98:14
 letter [5] 108:24; 110:20, 21; 178:22;
 239:9
 Level [1] 99:14
 level [16] 23:22; 34:25; 45:5; 50:20; 61:9;
 72:4, 5; 89:20; 98:9, 10, 16; 100:12, 23;
 103:12; 148:20; 209:8
 levels [5] 51:14, 15, 18; 97:9, 17
 liberal [1] 98:3
 liberty [1] 98:21
 license [2] 103:2
 life [15] 28:19; 33:10; 34:25; 65:19; 72:24;
 115:17; 116:23; 120:4; 121:3; 124:16;
 131:9; 164:11; 185:3; 191:21; 224:23
 ligation [3] 71:14; 143:21; 204:9
 light [3] 8:1, 3, 4
 lights [1] 12:21
 liked [2] 26:11; 97:17
 likelihood [3] 23:10; 174:21; 235:7
 limit [2] 45:21; 110:22
 limitation [1] 113:21
 limitations [1] 113:12
 limited [2] 166:9; 226:20
 Linda [1] 4:22
 line [16] 43:17; 47:20; 70:20; 72:21;
 93:16; 103:18; 104:11, 12, 19; 144:3;
 145:2; 157:23; 204:16; 205:15; 218:9
 lines [3] 98:19; 152:19; 213:6
 Ling [4] 4:24; 53:9; 138:7; 198:19
 linkage [4] 180:22; 181:4; 241:9, 16
 LIONE [1] 21:19
 Lione [2] 21:17; 24:22
 liquids [1] 43:9
 list [2] 145:22; 206:10
 listed [2] 142:25; 203:13
 listen [1] 69:8
 lists [2] 143:8; 203:21
 literacy [14] 96:19, 23; 97:1, 2, 9, 16, 23;
 101:3; 156:7; 178:11, 14; 216:19; 238:23;
 239:1
 literally [2] 179:17; 240:4
 literate [1] 92:24
 literature [5] 105:18; 130:2; 144:7;
 190:14; 204:20
 live [2] 152:12; 212:24
 lived [1] 92:23
 lives [2] 12:11; 26:16
 Lizza [2] 24:24; 25:2
 local [6] 46:16; 58:23; 77:19; 102:17;
 146:23; 207:11
 location [1] 15:24

logic [1] 53:17
 London [1] 74:3
 lonely [1] 85:23
 long-standing [1] 33:19
 long-term [2] 131:11; 191:23
 looks [4] 92:8, 9; 161:14; 221:25
 loop [5] 44:18; 86:22, 25; 108:5, 6
 loss [1] 26:13
 lost [5] 94:21; 126:23; 127:20; 187:10; 188:7
 Lots [1] 66:10
 lots [5] 66:2, 3, 10
 Lou [1] 4:9
 lovely [1] 87:3
 low [16] 45:4; 53:1, 7; 60:25; 84:13, 14; 96:23; 97:12; 101:3; 115:7; 141:25; 178:10, 14; 202:13; 238:22; 239:1
 lower [2] 68:25; 98:13
 lowest [6] 70:11; 98:10; 143:6; 147:25; 203:19; 208:13
 LPN [3] 102:13; 103:1, 6
 luck [1] 243:4

- M -

machine [2] 168:19; 229:5
 magnitude [2] 143:12; 203:25
 main [4] 39:15; 92:17; 157:12; 217:23
 mainly [3] 38:21; 137:17; 198:4
 maintain [1] 9:13
 major [17] 42:18; 44:16; 48:13; 57:22; 60:18; 78:21; 79:13; 127:12; 130:1; 154:11; 172:14; 174:6; 187:24; 190:13; 214:23; 232:24; 234:17
 majority [4] 14:22; 44:22; 92:1; 115:14
 maker [1] 73:24
 makers [1] 20:2
 male [2] 46:16; 71:10
 mandated [1] 69:16
 manufacturer [3] 12:5; 103:11; 113:2
 manufacturing [8] 30:22; 39:3, 6, 9, 12; 73:13; 107:6; 111:22
 March [5] 43:21; 107:13; 111:19; 122:3; 182:15
 marginally [1] 68:25
 mark [1] 91:18
 marked [1] 55:5
 markedly [1] 48:18
 Market [2] 164:14; 225:1
 market [42] 8:21; 9:10; 10:13; 13:22; 14:11; 17:6; 20:17; 21:8; 26:9, 12; 28:3, 6; 29:13; 30:1, 7; 32:21; 39:1, 10; 41:14; 43:13, 25; 44:2; 48:23; 49:2; 53:2; 56:11; 62:16; 64:10, 13; 73:15; 75:23; 83:24; 91:21; 107:8; 149:6; 179:24; 180:18, 25; 209:19; 240:11; 241:5, 12
 marketed [11] 107:2, 4; 111:11; 133:21; 135:16; 148:21; 163:3; 194:8; 196:3; 209:9; 223:15
 Marketing [1] 112:5
 marketing [6] 73:13; 113:11; 148:25; 177:9; 209:13; 237:20
 marketplace [1] 107:12
 married [4] 63:2, 3, 4, 10
 Mary [4] 46:18; 49:7; 78:22; 114:23
 Maryland [7] 55:24, 25; 59:10, 11; 60:13, 19; 61:16
 mass [1] 105:9
 Massachusetts [1] 5:9
 Masters [2] 102:15; 103:2
 material [10] 14:13; 85:1; 144:5; 149:9;

156:20; 161:1; 204:18; 209:22; 217:7; 221:12
 materials [4] 20:8; 22:1; 90:8, 17
 Maternal [1] 5:9
 maternal [1] 65:12
 matter [18] 31:21; 32:22; 35:7; 36:24, 25; 64:3; 65:17; 67:19; 74:6; 93:18; 153:12; 155:10; 159:6; 163:25; 213:24; 215:22; 219:17; 224:12
 matters [2] 164:2; 224:14
 mature [1] 103:25
 Mauldin [1] 70:2
 maximum [1] 77:3
 mean [42] 81:11; 89:9; 91:9; 92:8; 96:1; 108:10; 116:21; 130:17; 137:8; 138:24; 139:15; 141:20; 154:10; 155:5; 157:10; 163:5; 171:21; 173:6, 9; 175:18; 177:16, 17, 21; 180:5; 191:4; 197:20; 199:11; 200:3; 202:8; 214:22; 215:17; 217:21; 223:17; 232:6; 233:16, 20; 236:4; 238:2, 3, 7; 240:17; 242:21
 meaning [2] 170:12; 230:22
 meaningful [2] 164:25; 225:12
 means [10] 32:11, 16; 42:17; 61:6; 98:20; 99:16; 139:8; 174:11; 199:20; 234:22
 meant [5] 64:13; 109:20, 22; 131:3; 191:15
 measurable [2] 165:2; 225:14
 measures [1] 40:1
 mechanism [3] 32:19; 167:21; 228:7
 median [1] 116:21
 Medicaid [2] 142:1; 202:14
 Medical [2] 4:24; 74:20
 medical [16] 13:5; 17:2; 29:8; 48:21; 53:10; 63:23, 24; 75:10; 100:9; 101:7; 103:7; 117:15; 118:12; 119:18; 178:22; 203:9
 Medicine [5] 5:9; 6:11; 42:8; 56:25; 97:7
 medicine [3] 47:7; 61:6; 86:4
 medicines [3] 30:21; 163:2; 223:14
 MedWatch [14] 113:1; 136:23; 179:5, 6; 180:7; 181:24; 197:10; 239:18, 19; 240:19; 242:10, 21
 meet [1] 20:22
 meeting [26] 4:6, 13; 6:17, 19, 21, 25; 7:17; 18:18, 19; 20:13; 27:15; 31:9; 35:11; 36:3, 4; 38:9, 14, 15, 18; 39:15; 40:21; 110:10, 19; 180:14; 241:1; 243:13
 meets [3] 26:6; 72:23; 73:3
 Member [1] 5:6
 member [6] 5:23; 6:1, 4; 20:11; 38:12; 50:14
 Members [1] 21:19
 members [9] 4:4; 12:18; 21:15; 23:7; 27:19; 30:22, 25; 38:7; 80:11
 membership [1] 13:6
 membranes [1] 114:14
 memorandum [1] 53:11
 men [9] 24:6; 46:1, 13; 56:4, 21; 59:11; 61:12; 64:18; 97:13
 menometorrhagia [1] 116:3
 menses [7] 31:16; 110:4; 115:1; 117:10; 172:19; 233:4
 Menstrual [1] 50:3
 menstrual [12] 50:2; 52:19; 54:13; 75:15; 91:15, 17, 19; 92:12, 13; 109:11; 114:25
 menstruating [7] 23:17; 53:16; 117:12; 158:14, 18; 218:25; 219:4
 menstruation [2] 23:15; 50:5
 mention [1] 79:25

mentioned [11] 10:19; 15:7; 35:19; 46:22; 81:14, 16; 94:6; 158:8; 176:22, 218:19; 237:8
 mentioning [1] 73:10
 merchants [1] 105:10
 merit [1] 15:16
 Meritus [1] 42:7
 message [2] 156:19; 217:6
 messages [5] 17:5; 156:21, 23; 217:8, 10
 messy [2] 46:1, 2
 metabisulfites [2] 146:18; 207:6
 Metabolic [1] 107:17
 methodology [2] 138:16; 199:3
 methods [44] 10:3; 15:2; 20:24; 28:17; 29:9; 46:11; 47:15; 56:23; 58:16, 18; 62:4; 71:6, 13; 72:5, 8; 88:8; 90:2; 98:9; 109:1, 7; 127:5, 15; 138:12; 139:9; 142:6, 23; 143:12; 147:11; 165:16; 166:18; 167:7; 175:1; 187:17; 188:2; 198:24; 199:22; 202:19; 203:11, 25; 207:24; 226:3; 227:4, 18; 235:12
 Michael [1] 5:8
 micro [3] 50:14; 51:17; 54:2
 microphone [3] 82:16; 130:24; 191:11
 Microsoft [1] 98:22
 mid [1] 73:24
 migration [3] 116:1, 6; 118:18
 Mike [1] 74:20
 milestones [1] 106:19
 milieu [1] 45:8
 milligrams [2] 108:4, 10
 million [11] 8:25; 25:13; 28:4; 31:6; 56:4; 58:6, 10, 14; 59:23, 24; 86:10
 millions [1] 61:5
 mind [17] 80:7; 135:6; 151:15; 156:19; 160:7, 9; 163:9; 166:4; 169:3; 195:18; 212:2; 217:6; 220:18, 20; 223:21; 226:15; 229:14
 minimal [1] 97:20
 minimize [2] 79:18; 84:19
 Minnesota [1] 6:1
 minor [8] 12:3; 121:10; 127:21; 172:2, 6; 188:8; 232:12, 16
 minorities [2] 157:3; 217:15
 minoxidil [4] 135:7; 149:23; 195:19; 210:11
 minute [5] 8:4; 99:19; 105:23; 163:6; 223:18
 minutes [7] 7:23; 8:1; 41:1; 106:5; 119:9; 171:12; 231:22
 mis-communicating [1] 101:24
 miscarriage [1] 75:16
 miscellaneous [1] 120:16
 misleading [2] 125:2; 185:14
 missed [5] 21:5; 48:24; 147:10; 207:23
 missing [2] 161:20; 222:6
 mission [1] 20:1
 Missouri [1] 59:2
 mistaken [1] 11:20
 misunderstood [1] 48:25
 misuse [1] 54:16
 modern [1] 43:8
 modifications [1] 110:21
 modified [4] 143:14, 17; 204:2, 5
 modify [1] 111:2
 moistened [1] 79:23
 moment [2] 93:11; 96:25
 money [2] 180:5; 240:17
 monitor [2] 136:9; 196:21
 monitored [3] 14:16; 178:7; 238:18
 monitoring [9] 36:4; 133:18; 134:6;

136:7; 179:12; 194:5, 18; 196:19; 239:24
monitors [2] 133:20; 194:7
monograph [8] 42:11; 123:12; 133:22;
 134:16; 183:24; 194:9; 195:3; 242:18
monographs [2] 135:18; 196:5
month [5] 23:17; 28:14; 116:16; 146:1;
 206:14
months [2] 104:4; 108:25
morbidity [1] 77:1
morning [10] 10:24; 94:3; 164:19, 23;
 168:14; 225:6, 10; 228:25; 242:24; 243:10
mortality [2] 65:13; 77:1
mother [1] 33:9
mouth [2] 159:18; 220:4
mouths [2] 147:7; 207:20
move [11] 7:16; 8:8; 24:23; 44:6; 80:1;
 88:16; 105:23; 148:5; 158:15; 208:18;
 219:1
moved [4] 125:21; 126:24; 186:8; 187:11
MS [25] 5:4; 12:25; 17:21; 19:4, 18; 25:2;
 27:14; 49:10; 55:18; 90:14, 18, 23; 91:14,
 19; 92:11, 20; 97:6; 121:17; 129:5;
 137:13; 138:4; 182:4; 189:17; 197:25;
 198:16
Ms [10] 19:17; 21:13; 25:1; 27:9, 11;
 30:10; 80:16; 88:16; 90:6; 96:19
mucky-mucks [2] 179:9; 239:21
mucosal [1] 50:15
mucus [1] 114:14
multi-center [1] 15:9
multi-organ [1] 49:17
multiple [6] 9:22; 10:22; 15:11; 16:21;
 49:21; 78:2
mundane [2] 147:5; 207:18
muscle [2] 49:20; 100:6
muscular [1] 114:14
myself [4] 106:10; 111:16; 140:21; 201:9
myths [2] 63:4, 8

- N -

N-9 [23] 10:23, 25; 11:9, 10, 25; 15:10,
 14; 18:13; 22:6, 9, 11, 14, 16, 19, 21;
 23:1, 3; 24:17; 46:15; 108:4, 8, 10
name [11] 4:9; 8:13; 12:25; 19:19; 25:2;
 27:15; 30:16; 65:2; 112:3; 243:11
NARAL [3] 27:16, 18, 20
nares [1] 51:4
narrative [2] 157:22; 218:8
narrowly [2] 153:9; 213:21
nasal [1] 51:7
National [6] 12:24; 13:2; 25:4; 27:12, 17;
 62:24
national [4] 8:15; 13:6; 20:6; 49:15
nationwide [4] 10:13; 26:10; 62:20; 92:18
natural [2] 68:7; 71:3
naturally [2] 162:22; 223:9
nature [12] 33:3; 103:23; 124:16; 152:6;
 163:20; 179:3; 185:3; 212:18; 224:7;
 239:16
nausea [1] 100:5
NDA [20] 37:14; 78:13, 14; 106:19; 107:3,
 9, 10, 14, 21, 24; 111:20; 133:22; 134:16;
 180:1, 6; 194:9; 195:3; 240:13, 18; 242:16
NDAC [2] 5:6; 6:11
NDAs [2] 135:17; 196:4
needs [41] 9:5; 20:21, 22; 25:12; 26:6;
 28:7; 59:17, 19; 61:20; 67:7; 72:2, 23;
 73:3; 91:24; 93:18; 143:13, 16; 151:25;
 155:17, 19; 156:25; 157:18; 158:21;
 171:19; 174:18; 177:11; 204:1, 4; 212:12;

216:4, 6; 217:12; 218:4; 219:7; 232:4;
 235:4;
 237:22
negative [1] 9:18
neglected [1] 49:6
NEILL [34] 5:6; 133:16; 134:1, 10; 135:13;
 136:19; 159:10; 160:6; 162:18; 163:24;
 164:3, 24; 168:8; 171:1, 23; 173:19;
 179:1; 194:3, 13, 22; 195:25; 197:6;
 219:21; 220:17; 223:5; 224:11, 15;
 225:11; 228:19; 231:11; 232:8; 234:5;
 239:14; 242:20
Neill [17] 5:6; 133:15; 159:9; 162:15;
 168:7; 170:23; 173:18; 178:25; 181:20;
 194:2; 219:20; 223:2; 228:18; 231:8;
 234:4; 239:13; 242:6
nervous [1] 114:13
Network [3] 12:24; 13:2
network [10] 13:8; 14:1, 7, 16; 16:5; 17:4;
 27:19; 56:3; 179:22; 240:9
neutralizing [1] 50:20
newly [1] 107:20
NFSG [1] 69:7
nice [1] 46:3
niche [1] 65:20
Nine [3] 51:6; 162:14; 223:1
nine [17] 80:23, 24; 81:5, 6, 10, 11; 93:15;
 143:8; 151:24; 161:14; 163:25; 169:16;
 203:21; 212:11; 221:25; 224:12; 230:2
non-comparative [1] 37:8
non-hormonal [5] 13:24; 17:8; 21:4;
 29:7; 31:15
non-infectious [2] 120:11, 21
Non-menstrual [1] 115:2
non-menstrual [11] 50:2, 6; 51:22, 24;
 52:8, 21, 22; 54:11; 91:25; 92:13; 115:6
non-menstruating [1] 110:25
non-prescriptive [2] 58:15; 60:23
non-profit [1] 20:5
non-TSS [2] 120:10, 12
non-voting [1] 7:2
Nonoxynol-9 [19] 10:8, 21; 14:5; 15:6;
 16:2, 10, 17, 22; 17:10; 22:6; 42:9, 11;
 43:19; 45:4; 53:19, 21; 74:4; 75:15; 108:3
nonoxynol-9 [6] 126:12; 159:16, 19;
 186:24; 220:2, 5
Nonprescription [7] 4:6; 5:13; 6:2, 5, 7;
 38:12; 243:14
nonprescription [2] 21:3; 30:21
nonprofit [2] 8:16; 13:3
normal [2] 50:14; 54:1
normally [2] 144:4; 204:17
norms [1] 97:9
Norplant [7] 58:19; 63:12; 65:9; 70:12;
 71:14; 167:7; 227:18
notable [1] 24:4
Note [2] 126:18; 187:5
note [5] 7:1; 51:24; 125:19; 186:6; 243:6
noted [4] 7:10; 22:23; 109:3; 114:6
notice [4] 35:11; 38:9; 147:8; 207:21
noticed [2] 146:19; 207:7
noting [1] 15:21
November [1] 107:25
nugget [2] 162:23; 223:10
nuisances [2] 147:15; 208:3
nulliparous [4] 68:25; 69:3, 5, 9
numbers [33] 47:21, 22; 84:10; 85:9, 19;
 87:3; 88:11; 90:20; 96:2; 116:15; 118:5;
 123:14; 129:22; 130:22; 132:9; 141:15;
 142:18; 144:2, 7; 145:1; 157:25; 164:8;
 184:1; 190:9; 191:9; 192:20; 202:3; 203:6;

204:15, 20; 205:14; 218:11; 224:20
numerators [1] 96:10
numerical [1] 89:25
numerous [1] 11:1
nurse [5] 5:16; 76:17; 102:14; 103:3, 4
Nursing [1] 103:2
nursing [4] 34:17, 20; 152:15; 213:2

- O -

o'clock [1] 94:3
OB [7] 5:18; 42:4, 7; 85:15, 22; 102:14, 16
OBGYN [8] 142:9, 10; 144:6; 145:7;
 202:22, 23; 204:19; 205:20
objection [1] 105:23
objectives [1] 112:7
observation [2] 153:8; 213:20
observed [3] 23:23; 68:19; 69:11
obstetric [1] 50:9
obtain [2] 63:23; 69:10
obtained [3] 53:4; 58:17; 66:10
obtaining [1] 61:21
obvious [2] 8:24; 242:25
Obviously [1] 72:4
obviously [3] 72:11; 166:21; 227:7
occasional [2] 63:10, 14
occupying [2] 160:9; 220:20
occur [14] 26:3; 54:3; 55:4; 85:20;
 114:25; 119:4; 144:13; 160:10; 171:15;
 177:2; 205:1; 220:21; 231:25; 237:13
occurred [7] 23:25; 44:25; 52:1; 111:8;
 115:5, 14; 119:3
occurrence [2] 54:8; 117:23
occurring [1] 121:9
occurs [2] 50:3; 75:17
oceans [1] 42:25
OCPs [2] 143:22; 204:10
odds [2] 71:16; 95:9
offer [5] 8:22; 16:18; 36:8; 151:6; 211:19
offers [6] 9:8, 10; 10:1; 13:11; 26:7; 31:12
Office [5] 4:17, 18; 5:2; 128:10; 188:22
office [8] 102:14; 112:5; 156:10; 164:15;
 179:19; 216:22; 225:2; 240:6
Officer [2] 4:24; 41:10
officer [2] 53:10; 75:10
Oh [8] 36:12; 90:18; 124:24, 25; 164:3;
 185:11, 12; 224:15
oh [2] 68:19; 242:24
okay [14] 12:20; 69:22; 73:8; 90:18;
 131:21; 146:14; 150:23; 163:9; 179:6;
 192:8; 207:2; 211:11; 223:21; 239:19
old [5] 113:23; 148:1; 155:15; 208:14;
 216:2
oldest [1] 56:15
Onderdonk [3] 74:18; 90:17, 25
one-third [2] 50:24; 119:20
ones [10] 46:9; 70:24; 72:6; 83:4; 86:4,
 20; 127:12; 156:12; 187:24; 216:24
onset [3] 49:19; 50:1; 117:3
open [11] 7:16; 8:9; 32:14; 80:12; 104:5;
 129:12; 170:21; 173:12; 189:24; 231:6;
 233:22
opening [2] 100:17, 20
opinion [3] 40:8; 131:7; 191:19
opinions [2] 151:12; 211:24
opportunities [1] 97:14
opportunity [15] 8:22; 25:8; 26:7; 33:14;
 35:5; 41:12; 55:19; 64:13; 65:1; 94:4, 20;
 152:9; 181:1; 212:21; 241:13
oppose [2] 163:24; 224:11
opposed [16] 85:22; 87:24; 92:14; 114:2;

133:20; 136:16; 161:8; 162:7; 165:12;
174:1; 194:7; 197:3; 221:19; 222:19;
225:24; 234:12
option [13] 9:10; 17:7; 21:3; 26:14, 15,
20; 29:17, 20; 45:18; 48:24; 55:22; 61:12;
96:5
options [17] 9:7; 13:24; 21:11; 25:6, 23;
27:21, 25; 28:5, 6, 11, 20; 29:4; 30:2;
56:13; 57:25; 64:18; 99:5
oral [5] 72:7; 128:17; 160:8; 189:4;
220:19
orals [1] 70:10
order [17] 32:20; 41:16; 62:7; 122:20, 25;
123:3; 125:6, 14; 143:12; 166:22; 183:7,
12, 15; 185:18; 186:1; 203:25; 227:8
organ [1] 49:21
organisms [1] 22:21
Organization [1] 27:13
organization [7] 13:3; 20:3, 5; 21:24;
27:18; 30:20; 65:12
organizations [3] 13:7; 142:11; 202:24
organized [2] 122:14; 183:1
organs [2] 114:12
oriented [1] 85:15
original [10] 23:18; 104:9; 121:24;
125:17, 22; 127:23; 182:11; 186:4, 9;
188:10
originally [3] 103:17; 154:14; 215:1
Ortho [1] 65:5
os [1] 45:5
ought [1] 242:22
Ours [2] 129:22; 190:9
out-patient [2] 141:24; 202:12
out-source [4] 152:14; 153:1; 213:1, 13
outcome [12] 115:23; 116:5; 117:2;
119:7, 19; 120:8; 165:1, 5; 178:20;
225:13, 17; 239:7
outcomes [3] 115:15; 131:4; 191:16
outer [1] 11:16
outpatient [1] 92:4
outpatients [1] 117:1
outside [43] 7:21; 8:7; 75:13; 79:15, 19,
21; 89:3, 14; 94:13; 122:16, 18; 162:7, 12;
163:19; 164:15; 165:14, 17; 169:7, 11, 22,
24; 170:6; 173:4, 7; 174:8, 25; 183:3, 5;
222:19, 24; 224:6; 225:2; 226:1, 4;
229:18, 22; 230:8, 10, 16; 233:14,
17; 234:19; 235:11
outstanding [1] 39:8
over-dosage [1] 10:25
over-the-counter [20] 11:9; 13:13; 26:18,
20; 28:24; 29:4; 30:3; 43:16; 45:18; 58:22;
59:8; 60:6, 10; 61:5; 62:1, 4; 64:11, 22;
82:7, 22
overall [4] 28:2; 51:5; 108:10; 115:6
overestimate [2] 157:17; 218:3
overhead [3] 52:13, 19; 65:25
overloading [2] 154:16; 215:3
overnight [1] 121:2
overview [4] 112:8, 17; 121:23; 182:10
overwhelm [1] 47:21
ownership [1] 111:20

- P -

p.m. [1] 4:2
pack [1] 94:16
packages [4] 149:10; 161:2; 209:23;
221:13
packaging [3] 24:8; 44:9; 105:3
packet [1] 88:19

packs [4] 166:12, 13; 226:23, 24
pads [1] 91:22
papers [2] 152:12; 212:24
pages [4] 153:16, 20; 214:3, 7
pain [3] 49:20; 100:6; 119:13
panel [12] 42:10; 65:6; 74:20; 80:4, 13;
88:9; 123:23; 124:4, 21; 184:10, 16; 185:8
paper [3] 14:11; 98:6; 243:12
paragraph [4] 67:13; 99:9; 124:7; 184:19
parent [2] 147:19; 208:7
parenthetically [1] 44:20
Parenthood [9] 55:23; 56:1, 8, 17; 58:5;
59:10; 62:10; 64:19; 74:21
Parker [1] 70:2
parous [3] 68:24; 69:2, 10
Part [1] 97:6
part [33] 6:17; 14:6; 32:3; 40:8; 56:2;
59:17; 89:8; 90:16; 97:6, 11; 100:13, 18;
111:22; 123:25; 124:3; 149:21; 163:5, 8;
167:16; 168:16; 171:22; 173:21; 184:12,
15; 210:9; 223:17, 20; 228:2; 229:2;
232:7; 234:7
participant [1] 7:7
participants [3] 6:22; 7:8, 11
participation [1] 56:7
partner [5] 9:23; 31:13; 78:3; 159:19;
220:5
partners [4] 9:16; 78:2; 159:14; 219:25
parts [2] 98:21; 99:19
pass [2] 61:17; 73:5
passage [1] 108:20
passed [3] 61:9; 159:17; 220:3
passive [9] 113:13; 129:23; 137:1;
147:17; 152:11; 190:10; 197:13; 208:5;
212:23
passively [4] 133:20; 136:17; 194:7;
197:4
pathogenic [1] 22:20
patience [2] 176:4; 236:15
patient [16] 120:24; 121:2; 131:14;
136:23; 142:1; 144:6; 145:7; 156:20;
175:25; 192:1; 197:10; 202:14; 204:19;
205:20; 217:7; 236:11
Patients [2] 144:19; 205:7
patients [32] 55:24; 66:6; 85:16; 115:5,
13, 14, 15; 116:22, 24, 25; 117:6; 119:5;
143:2; 144:4, 17; 145:3; 156:8; 157:14;
158:1; 164:5, 10, 12; 203:15; 204:17;
205:5, 16; 216:20; 217:25; 218:12;
224:17, 22, 24
pattern [1] 95:4
pay [3] 61:1; 180:3; 240:15
PDR [2] 179:18; 240:5
PDRs [2] 179:18; 240:5
peak [1] 90:10
pencil [1] 68:2
Pennsylvania [1] 5:7
People [1] 44:4
Peoples [1] 95:8
percentage [7] 14:19; 46:17; 81:12, 16;
86:15; 92:12, 24
percentages [1] 84:12
perception [4] 134:23; 171:16; 195:10;
232:1
perform [2] 131:16; 192:3
period [4] 52:20; 89:2; 109:11; 115:11
periodic [2] 70:5; 71:3
peritonitis [1] 120:14
permit [1] 34:1
permitted [1] 60:6
permutations [2] 160:10; 220:21

persists [1] 44:1
person [4] 42:6; 83:19; 152:13; 212:25
personal [1] 48:21
Personally [2] 174:7; 234:18
personally [1] 78:4
personnel [2] 180:21; 241:8
perspective [7] 60:6; 67:3, 8, 11; 88:10;
89:24; 97:18
Perspectives [1] 59:2
pertain [4] 160:11, 23; 220:22; 221:9
pertaining [3] 34:19; 145:16; 206:4
pertinent [3] 55:10; 123:10; 183:22
pessaries [2] 43:1, 6
petition [1] 34:21
petitions [1] 18:16
Pharmaceutical [2] 13:5; 58:10
Pharmaceuticals [10] 20:12; 39:2; 40:25;
41:10, 22; 56:10; 65:5, 23; 73:21; 107:15
Pharmacist [1] 5:4
Pharmacologist [1] 21:23
Pharmacologists [1] 21:18
Pharmacology [1] 4:10
Pharmacy [2] 6:4, 11
pharmacy [1] 58:23
phase [2] 136:14; 197:1
phone [10] 78:18; 93:25; 123:14; 157:24;
171:6; 178:21; 184:1; 218:10; 231:16;
239:8
photocopy [2] 179:17; 240:4
phrase [1] 110:23
physical [1] 15:22
physician [14] 76:16; 83:15, 17; 84:3, 14;
103:22; 110:17; 120:16; 140:11; 145:7;
178:18; 200:24; 205:20; 239:5
physicians [5] 76:18; 84:7; 179:13;
239:25; 243:3
physiology [1] 83:18
pick [7] 48:6, 11; 78:18; 136:22; 179:14;
197:9; 240:1
picked [1] 120:23
picks [1] 72:1
piece [3] 69:11; 94:8; 104:7
pieces [1] 88:7
pile [1] 111:14
pled [1] 111:15
pill [15] 58:19; 61:2; 63:12; 71:11; 110:2;
167:3, 7, 11; 168:12, 13; 227:14, 18, 22;
228:23, 24
pills [4] 10:2, 4; 59:6; 61:22
pitfalls [2] 156:6; 216:18
Pittsburgh [4] 6:9, 10; 160:4; 220:15
place [16] 32:21; 75:10; 88:8; 93:21;
101:24; 129:17; 134:3; 167:24; 174:19;
176:12; 190:4; 194:15; 228:10; 235:5;
236:23; 242:22
placebo [1] 15:10
placed [1] 100:18
placement [2] 157:18; 218:4
places [5] 128:22; 129:16; 189:9; 190:3;
243:1
plages [1] 58:3
plan [5] 31:4; 103:14; 105:12; 177:11;
237:22
Planned [9] 55:23; 56:1, 8, 16; 58:5;
59:10; 62:10; 64:19; 74:21
planning [1] 57:12
Planning [1] 59:2
planning [6] 25:25; 28:14; 68:7; 71:3;
142:11; 202:24
plans [2] 180:16; 241:3
plant [1] 43:5

plates [1] 243:12
 play [2] 37:1
 plea [6] 164:24; 179:9, 23; 225:11; 239:21; 240:10
 pleasant [1] 243:5
 please [5] 12:9; 125:19; 160:16; 186:6; 221:2
 please [24] 4:14; 7:20; 8:7; 38:1; 41:2; 45:1; 73:21; 74:13; 134:14; 150:5; 161:12; 162:12; 169:11; 170:16; 176:7; 195:1; 210:18; 221:23; 222:24; 229:22; 231:1; 236:18; 243:8, 12
 pleased [3] 65:1; 156:16; 217:3
 pleasure [2] 21:20; 42:15
 pledgets [1] 43:9
 plenty [2] 157:7; 217:18
 plugged [2] 178:19; 239:6
 plying [1] 37:3
 podium [5] 12:20; 80:8; 88:16; 129:6; 189:18
 pointed [6] 140:15; 143:4; 150:9; 201:3; 203:17; 210:22
 pointer [4] 126:23; 127:20; 187:10; 188:7
 points [5] 14:18; 135:24; 174:17; 196:11; 235:3
 Poison [1] 6:10
 poison [4] 147:6; 153:1; 207:19; 213:13
 policy [7] 13:1; 19:25; 20:2; 31:22; 32:23; 25; 33:19
 political [1] 61:10
 polyester [3] 44:18; 86:23; 108:6
 polyurethane [1] 108:3
 poor [1] 85:23
 popular [5] 13:19; 26:8; 29:12; 62:18; 64:11
 Population [2] 65:8; 70:3
 population [11] 35:22; 59:14; 97:1; 101:2; 105:5; 136:16; 142:1; 158:24; 197:3; 202:14; 219:10
 populations [1] 47:9
 portability [2] 152:4; 212:16
 portion [8] 106:2; 174:3; 180:1, 5; 234:14; 240:13, 17; 242:15
 pose [1] 54:9
 posed [2] 169:5; 229:16
 poses [2] 15:4, 19
 position [5] 14:11; 149:4; 181:19; 209:17; 242:5
 positive [1] 21:9
 possesses [1] 26:14
 possibility [6] 54:20; 66:18; 152:17, 25; 213:4, 12
 Post [1] 112:5
 post-abortions [1] 115:5
 post-market [1] 78:25
 Post-Marketing [1] 5:2
 post-marketing [10] 106:11; 111:25; 112:8, 10; 150:6; 176:8, 16; 210:19; 236:19; 237:2
 post-partum [8] 52:2; 75:15; 76:11; 110:14, 16; 115:5; 117:12, 13
 poster [4] 142:2, 3; 202:15, 16
 postpartum [1] 53:16
 potential [8] 6:24; 12:9; 30:4; 70:14; 76:17; 96:12; 124:16; 185:3
 potentially [3] 33:11; 34:25; 112:22
 poverty [1] 59:23
 practical [7] 103:3; 166:23; 169:1; 173:4; 227:9; 229:12; 233:14
 Practice [1] 5:23
 practice [6] 64:1; 97:12; 141:14; 156:5;

202:2; 216:17
 practices [2] 39:12; 69:17
 practicing [2] 178:18; 239:5
 practitioner [3] 5:16; 102:15; 103:4
 practitioners [1] 76:18
 precedent [2] 177:18; 238:4
 preclude [2] 6:18; 29:8
 predicament [1] 93:19
 predictable [1] 24:1
 predisposing [1] 53:13
 preferable [2] 164:5; 224:17
 preferred [2] 31:9; 58:15
 pregnancies [20] 8:25; 25:14; 26:3; 28:8, 9, 12; 57:6, 12, 20; 67:7; 96:20, 23; 144:13; 162:20; 164:8; 167:6; 205:1; 223:7; 224:20; 227:17
 Pregnant [1] 109:16
 pregnant [15] 25:17; 66:16, 18, 24; 67:23; 68:23; 69:2; 71:2, 4, 7, 11; 76:6; 95:10; 137:21; 198:8
 preliminary [2] 15:13; 16:11
 Presbyterian [1] 5:19
 Prescription [1] 38:12
 prescription [9] 26:22; 58:18; 59:7; 61:1, 22; 128:17; 139:20; 189:4; 200:8
 prescriptive [1] 61:18
 present [20] 6:24; 9:1; 10:15; 11:7; 47:11; 52:18; 54:22; 55:16; 70:14; 95:7; 128:16; 140:7; 141:22; 155:15; 177:11; 189:3; 200:20; 202:10; 216:2; 237:22
 presentation [18] 22:24; 38:20; 40:14, 25; 56:7; 87:12; 106:3, 9; 112:7, 15; 121:21; 127:13; 139:5; 140:2; 182:8; 187:25; 199:17; 200:15
 presented [15] 80:16; 87:9; 106:10; 129:14; 130:6; 132:20; 146:24; 149:10; 161:2; 190:1, 18; 193:7; 207:12; 209:23; 221:13
 presenter [2] 64:24; 106:7
 presenters [4] 129:11; 131:20; 189:23; 192:7
 President [3] 30:16; 65:10; 73:20
 president [1] 21:24
 pressured [1] 41:14
 presumably [1] 35:20
 presume [2] 159:16; 220:2
 pretty [8] 70:4; 75:20; 79:1; 103:19; 142:5; 155:6; 202:18; 215:18
 prevalence [1] 25:18
 prevent [6] 11:18, 21; 56:21; 64:14; 67:15; 88:21
 prevented [2] 140:2; 200:15
 preventing [4] 27:22, 25; 59:8; 64:8
 prevention [4] 33:6; 35:1; 137:22; 198:9
 preventive [1] 33:4
 previous [11] 7:13; 12:4; 13:17; 15:8; 24:7; 63:19; 67:24; 70:21; 74:24; 131:20; 192:7
 previously [9] 26:7; 57:4; 112:10, 14; 114:23; 120:2; 121:5; 156:3; 216:15
 price [4] 174:10, 12; 234:21, 23
 primarily [7] 116:7; 126:15; 137:5; 173:3; 187:2; 197:17; 233:13
 primary [2] 130:2; 190:14
 prime [1] 15:23
 principal [4] 123:23; 124:4; 184:10, 16
 principle [1] 16:5
 principles [2] 153:11; 213:23
 printed [2] 126:19; 187:6
 prior [5] 21:1; 131:16; 148:7; 192:3; 208:20

prioritize [1] 9:3
 prioritized [2] 171:14; 231:24
 privacy [2] 61:19, 25
 private [4] 20:10; 60:15; 156:10; 216:22
 proactive [2] 152:10; 212:22
 probability [1] 72:22
 probable [6] 90:10; 114:18; 117:19, 25; 118:3, 8
 problem [20] 48:6, 8, 13; 57:17; 66:22; 77:16; 82:5; 86:7, 24; 93:15; 101:15; 140:13; 144:17; 147:23; 166:5; 201:1; 205:5; 208:11; 226:16
 problems [20] 19:5; 44:15; 46:20; 47:7, 10; 56:20; 63:24; 83:23; 86:12, 20, 21; 87:1; 93:14; 110:13; 158:1; 172:14; 175:21; 218:12; 232:24; 236:7
 procedures [1] 50:9
 proceed [2] 160:25; 221:11
 process [19] 32:14; 34:10; 35:2; 36:8; 37:12; 39:6; 55:2; 86:8; 111:23; 134:3, 6; 135:1; 137:16; 163:16; 194:15, 18; 195:13; 198:3; 224:3
 produce [6] 24:4, 18; 30:25; 50:24; 51:9; 67:6
 produced [7] 14:11; 15:18; 23:1; 47:10; 50:12; 53:24; 80:19
 producers [1] 30:20
 producing [5] 20:7; 51:12, 17; 54:4; 80:25
 production [5] 12:12; 21:25; 50:19; 53:19; 107:5
 Products [11] 5:5; 30:18; 43:22; 75:22; 77:12; 103:17; 104:14; 105:17; 107:17, 19, 22
 professional [2] 21:6; 94:5
 Professionals [2] 19:16, 21
 professionals [3] 19:22; 20:2; 112:25
 Professor [2] 5:18; 42:7
 profit [1] 65:11
 profitable [1] 49:1
 profound [1] 33:11
 program [4] 13:1; 34:19; 102:20; 113:1
 programs [1] 243:2
 progress [2] 150:9; 210:22
 progresses [1] 18:19
 Project [1] 25:5
 prominently [4] 124:10; 126:5; 184:23; 186:17
 promote [2] 20:4; 62:6
 promoting [1] 9:25
 promotional [2] 123:23; 184:10
 promptly [1] 105:23
 proper [4] 11:6; 12:7; 147:11; 207:24
 properly [5] 19:2; 29:21; 75:3; 152:2; 212:14
 properties [1] 62:14
 proportion [5] 68:23; 69:1; 116:5; 118:3, 7
 propose [5] 69:18; 165:12; 171:2; 225:24; 231:12
 proposed [28] 11:5; 12:6; 33:18; 40:17; 55:8; 66:13; 67:14, 21; 70:21; 88:19; 99:22; 101:1; 121:22, 25; 122:1; 126:2; 134:21; 145:14; 155:23; 161:4; 182:9, 12, 13; 186:14; 195:8; 206:2; 216:10; 221:15
 proposing [2] 66:12; 89:2
 pros [2] 41:20, 25
 prosed [1] 99:20
 protect [1] 30:6
 protected [3] 51:18; 54:5; 61:2
 protection [9] 16:19; 31:14; 62:3, 8; 72:4,

- R -

6; 78:5; 125:3; 185:15
protective [1] 51:15
proud [1] 61:16
proven [3] 10:9; 63:17; 64:10
provide [39] 17:7; 19:22; 25:8; 28:21; 35:4; 40:22; 54:18; 58:2, 13; 61:11, 12; 62:7; 64:18; 88:3, 6; 105:18, 20; 106:15; 112:8, 17; 113:15; 123:14; 127:6; 128:12, 14; 135:10; 137:25; 150:6; 164:20; 176:7; 184:1; 187:18; 188:24; 189:1; 195:22; 198:12; 210:19; 225:7; 236:18
provided [16] 18:16; 21:2; 64:5; 108:15; 109:1; 118:12; 119:6; 120:14; 126:16; 149:10; 150:21; 161:2; 187:3; 209:23; 211:9; 221:13
provider [15] 26:24; 29:2; 58:20; 61:24; 76:16; 82:9, 11, 21, 24; 83:3, 7; 113:14; 119:2; 136:20; 197:7
Provider-base [1] 71:13
provider-based [1] 72:4
providers [5] 56:16; 62:12; 85:18; 129:24; 190:11
provides [6] 128:24; 135:8; 150:16; 189:11; 195:20; 211:4
Providing [1] 33:12
providing [8] 12:10; 39:5; 61:13; 62:3; 139:8; 149:24; 199:20; 210:12
provisions [2] 176:12; 236:23
pruritus [3] 116:3; 146:24; 207:12
public [24] 7:16, 20; 8:9; 20:2, 3; 24:24; 32:13, 15; 34:9; 35:2, 13; 55:16, 20; 60:11, 16; 78:8, 9, 12; 93:2; 125:12; 127:6; 185:24; 187:18
publically [3] 35:10; 60:2, 14
publication [1] 52:14
published [5] 51:19; 59:1; 98:6; 122:3; 182:15
pull [2] 147:1; 207:14
pulled [4] 45:12; 125:23; 186:10; 242:23
punch [2] 129:9; 189:21
purchase [9] 40:3; 135:9; 149:17; 166:17; 174:7; 195:21; 210:5; 227:3; 234:18
purchased [1] 26:23
purchasing [3] 61:3, 21, 25
purport [1] 98:19
purpose [8] 123:2; 157:11; 162:5; 173:21; 183:14; 217:22; 222:17; 234:7
purposes [4] 137:18; 148:13; 198:5; 209:1
purse [3] 94:21; 152:5; 212:17
pursuing [1] 25:7
pushing [2] 179:2; 239:15
putting [8] 76:24; 77:25; 89:6; 90:4; 101:12; 134:11; 194:23; 242:20

- Q -

qualifications [1] 102:9
quality [7] 30:20; 32:10, 15; 150:10; 181:8; 210:23; 241:20
quantify [2] 86:13; 111:4
quarter [4] 59:23, 24; 62:19; 84:12
quarterly [2] 181:3; 241:15
quarters [1] 89:10
Question [2] 176:7; 236:18
quick [3] 36:14; 158:7; 218:18
quickly [3] 75:6; 122:20; 183:7
quote [13] 31:24; 140:20; 142:22; 143:2, 20; 144:4; 145:3; 201:8; 203:10, 15; 204:8, 17; 205:16
quoted [2] 144:3; 204:16

race [1] 60:1
Radiological [2] 128:8; 188:20
raise [9] 14:3; 161:12; 168:25; 169:11; 170:16; 221:23; 229:11, 22; 231:1
raised [3] 14:7; 15:5; 47:23
raising [2] 162:12; 222:24
ran [1] 74:6
random [3] 118:20; 119:14; 120:23
randomized [1] 15:9
range [16] 13:24; 27:21; 28:20; 70:7, 8, 10, 11; 109:6; 115:13; 116:20; 117:4, 5; 118:6; 119:8; 142:24; 203:12
ranges [1] 70:14
ranging [2] 70:8; 117:12
rank [1] 86:21
rapid [2] 25:20; 49:19
rare [9] 24:16; 39:17; 50:21; 53:23; 54:6; 100:4; 113:11; 126:6; 186:18
rarity [1] 81:2
rash [3] 49:20; 100:7; 114:10
rate [32] 20:19; 51:22; 52:10; 56:14; 57:5, 8, 10; 79:7, 9; 88:22; 98:11; 127:14; 128:7, 11; 131:21; 132:14; 143:6; 145:15; 146:5; 175:18; 179:7; 188:1, 19, 23; 192:8, 25; 203:19; 206:3, 18; 236:4; 239:20
Rates [1] 109:1
rates [37] 25:22; 26:1; 49:18; 51:2, 4; 53:3; 57:12; 89:22; 108:25; 109:16, 24; 113:20; 127:5; 138:11, 24, 25; 140:14; 142:7; 143:4; 145:21, 25; 146:1, 2; 187:17; 198:23; 199:11, 12; 201:2; 202:20; 203:17; 206:9, 13, 14, 15
ratio [1] 48:18
rational [1] 70:19
rationale [2] 71:22; 72:13
re-institution [1] 49:3
re-introduce [1] 20:16
re-marketed [1] 39:19
re-marketing [3] 40:19; 111:18, 20
reach [3] 100:21; 147:8; 207:21
reaction [2] 116:2, 4
reactions [15] 9:18; 14:20; 121:1; 146:20, 21, 22, 23; 147:2; 207:8, 9, 10, 11, 15
read [28] 40:10; 68:17, 21; 77:6; 83:12; 99:16; 124:8; 126:22; 137:9; 139:21; 140:11; 141:15; 153:24; 154:17; 156:20; 170:7; 172:11; 184:20; 187:9; 197:21; 200:9, 24; 202:3; 214:11; 215:4; 217:7; 230:17; 232:21
Readability [1] 98:23
readability [7] 89:19; 93:6; 98:20; 99:6; 123:20; 184:7
readable [10] 35:21; 40:12; 102:3, 4; 122:11; 125:18; 128:24; 182:23; 186:5; 189:11
readily [3] 31:11; 144:17; 205:5
reading [10] 25:3; 43:7; 88:12; 89:20; 100:10, 22; 128:1; 138:18; 188:13; 199:5
real [12] 46:13; 70:16; 86:24; 96:12; 138:2; 140:23; 152:12; 167:9; 198:14; 201:11; 212:24; 227:20
realistically [1] 83:10
realize [4] 171:4; 179:15; 231:14; 240:2
realized [2] 42:16, 21
realizing [1] 44:4
realm [2] 143:1; 203:14
reason [14] 28:2; 29:18; 36:7; 85:7; 86:21; 92:17; 98:4; 101:20; 150:8; 166:15;

171:6; 210:21; 227:1; 231:16
reasonable [12] 34:1; 95:5; 104:21, 24; 142:12, 18; 143:9; 154:9; 202:25; 203:6, 22; 214:21
reasonably [2] 45:4; 86:7
reasons [10] 10:11; 48:20, 21; 125:1, 5; 145:13; 185:13, 17; 206:1
recall [3] 86:9; 94:10, 14
Receipt [1] 111:7
receive [1] 22:1
received [8] 20:9; 49:15; 90:8; 110:7; 132:15; 136:10; 193:1; 196:22
receives [1] 21:24
receiving [2] 154:4; 214:16
recent [9] 15:7; 16:11; 34:18; 51:1; 52:14; 59:1; 98:6; 134:19; 195:6
recently [1] 34:20
RECESS [1] 105:25
reclaim [1] 26:7
recognition [1] 118:14
recognize [6] 20:17, 20; 46:14; 83:11; 122:9; 182:21
recognized [4] 9:6; 43:1; 44:16; 47:12
recognizing [1] 82:9
recommend [4] 23:14; 37:18; 144:12; 204:25
recommendation [3] 76:25; 170:8; 230:18
recommendations [3] 75:11; 109:15, 25
recommended [6] 15:19; 32:12; 53:15; 106:13; 109:12; 119:24
recommending [1] 82:22
record [7] 6:18; 7:1, 10; 10:9; 85:9; 102:23; 106:16
recorded [2] 84:22; 85:5
records [3] 31:5; 51:20; 118:12
red [9] 8:4; 115:21; 117:23; 125:19; 126:14; 154:12; 186:6; 187:1; 214:24
reduce [7] 55:3; 56:13; 58:13; 127:8; 172:8; 187:20; 232:18
reducing [3] 20:19; 127:18; 188:5
redundant [2] 156:17; 217:4
refer [1] 102:6
reference [20] 89:14; 110:3; 138:9, 13, 21; 139:1; 140:7; 144:5; 167:10; 170:25; 179:19; 198:21, 25; 199:8, 13; 200:20; 204:18; 227:21; 231:10; 240:6
referenced [1] 17:25
references [6] 22:23; 82:19; 127:18; 142:17; 188:5; 203:5
referring [5] 83:22; 87:23; 89:15; 91:14; 101:15
refers [2] 67:15; 89:15
reflect [3] 108:17; 167:16; 228:2
reformatted [2] 125:24; 186:11
regard [6] 6:17; 7:11; 136:1, 6; 196:13, 18
regarding [22] 14:2; 18:12; 32:5; 36:16; 54:19; 55:10; 82:12; 132:6; 151:7, 12; 165:9; 172:18; 173:4; 178:5; 192:18; 211:20, 25; 225:21; 233:3, 14; 238:16; 243:14
regardless [1] 114:21
Register [3] 43:18; 134:19; 195:6
registered [1] 7:19
registry [1] 85:1
regular [1] 62:22
regulated [1] 6:23
regulation [2] 134:2; 194:14
regulations [5] 39:5; 134:19; 149:3; 195:6; 209:16
regulatorily [2] 130:7; 190:19

regulatory [8] 32:23; 38:24; 128:17; 135:21; 146:4; 189:4; 196:8; 206:17
reinforce [1] 108:6
reinstate [1] 64:21
reintroduction [5] 8:20; 60:4; 65:14; 178:5; 238:16
relate [3] 36:22; 155:5; 215:17
related [11] 32:8; 36:6; 52:23; 54:13; 74:16; 86:16; 119:11; 168:15; 171:11; 229:1; 231:21
relates [3] 33:4; 36:21; 96:18
relating [1] 34:16
relationship [5] 42:21; 96:22; 113:16; 131:24; 192:11
relationships [1] 58:7
relative [8] 52:8; 55:16; 70:23; 71:16; 72:20; 89:22; 141:23; 202:11
relatively [7] 70:24; 84:14; 98:6; 113:10; 115:7; 129:18; 190:5
release [2] 45:4; 108:9
released [2] 10:24; 108:8
relevant [6] 16:4; 31:4; 47:24; 73:23; 135:25; 196:12
reliable [6] 125:3; 138:21; 23; 185:15; 199:8, 10
Rely [1] 91:20
rely [4] 9:15; 113:13; 129:24; 190:11
relying [2] 179:12; 239:24
remain [3] 9:21; 61:2; 121:5
remained [7] 53:1; 62:15; 115:7; 132:16; 22; 193:3, 9
remaining [1] 52:3
remains [4] 9:2; 10:21; 53:7; 57:16
remarks [4] 148:10; 208:23
remember [8] 15:17; 67:21; 139:19; 145:25; 174:17; 200:7; 206:13; 235:3
remind [3] 37:6; 156:24; 217:11
reminder [1] 7:25
Removal [2] 44:15; 46:20
removal [35] 19:2, 5; 21:2; 26:8; 44:16; 64:1, 13; 77:5, 6, 14; 83:23; 86:8, 12, 16, 20; 93:13; 108:7; 110:13, 17; 112:12, 20; 113:25; 116:8; 118:17; 119:12, 15, 23; 120:22; 121:7; 157:13; 171:5; 176:12; 217:24; 231:15; 236:23
remove [12] 100:8; 103:9; 117:15; 119:2, 5, 19; 125:2; 174:21; 175:2; 185:14; 235:7, 13
removed [4] 10:13; 44:5; 53:2; 120:25
removes [2] 174:3; 234:14
removing [9] 83:25; 91:20; 117:14; 118:23; 119:1; 150:19; 157:23; 211:7; 218:9
renal [1] 114:13
repeated [5] 10:25; 22:21; 23:11, 24; 24:3
repetitive [2] 179:3; 239:16
replaced [1] 113:6
report [22] 52:7; 53:18; 85:6; 102:24; 111:2; 113:14; 114:2; 115:10, 15; 118:12, 13, 23; 129:25; 133:4; 148:1; 179:14; 190:12; 193:16; 208:14; 240:1
reportable [4] 130:10; 178:6; 190:22; 238:17
Reporting [5] 112:16; 113:5, 6, 8, 19
reporting [16] 48:10, 11; 53:5; 113:18; 130:8; 136:17; 176:11, 13; 180:4; 181:21; 190:20; 197:4; 236:22, 24; 240:16; 242:7
reports [36] 53:17, 20; 78:14, 17; 110:7; 112:24; 113:2, 22; 114:8; 115:8, 12, 14; 116:4, 7; 118:10, 20, 21; 119:25; 120:7, 13, 17, 25; 132:14; 136:9; 148:2; 150:10,

14; 181:3, 4; 193:1; 196:21; 208:15; 210:23; 211:2; 241:15, 16
represent [8] 7:3; 55:23; 115:22, 23; 117:23, 24; 118:9, 14
Representative [1] 6:7
representative [2] 7:3; 56:8
represented [2] 118:19; 120:9
representing [3] 19:20; 27:16; 30:20
represents [1] 119:16
Reproductive [8] 5:11, 19; 19:15, 20; 22:2; 27:12, 17; 107:19
reproductive [19] 9:14; 19:23, 24; 20:4, 18; 21:6; 25:11; 27:21; 28:2, 20; 56:5, 16; 59:11; 62:11; 65:4, 19; 72:24; 97:10
REPROTOX [1] 22:4
request [2] 104:21, 24
require [13] 62:19; 116:25; 134:20; 135:17, 22; 163:10, 16; 195:7; 196:4, 9; 223:22; 224:3; 243:2
required [39] 36:20; 39:4; 111:21; 115:16; 116:22; 117:15; 119:1, 18; 122:5, 13; 123:11, 24; 130:7; 134:18, 22; 135:14; 136:2, 5, 11, 15; 163:7; 167:18; 170:13; 182:17, 25; 183:23; 184:11; 190:19; 195:5, 9; 196:1, 14, 17, 23; 197:2; 223:19; 228:4; 230:23; 242:15
requirement [3] 181:23; 242:9, 18
requirements [7] 11:8; 122:4, 8, 13; 182:16, 20, 25
requires [5] 32:7; 34:13; 114:9; 123:16; 184:3
requiring [2] 61:18; 121:1
Research [3] 6:24; 128:9; 188:21
research [12] 14:12, 18; 17:9; 19:24; 20:3; 22:19; 30:23; 56:23, 25; 63:16; 71:19; 97:8
researchers [2] 22:24; 23:2
resemble [2] 144:8; 204:21
reserve [6] 159:2; 160:22; 161:23; 219:13; 221:8; 222:9
reset [1] 243:10
residents [4] 179:5, 20; 239:18; 240:7
resolved [3] 39:9; 149:7; 209:20
resources [1] 97:22
respect [3] 41:18; 76:21; 77:9
respiratory [1] 50:8
responded [1] 109:14
responding [1] 23:22
response [2] 179:7; 239:20
responses [1] 19:13
responsibilities [1] 107:23
responsibility [1] 107:21
responsible [3] 8:18; 9:16; 16:7
rest [1] 108:13
restrain [1] 111:16
restraints [2] 127:11; 187:23
resubmitted [1] 107:1
result [8] 10:6, 25; 50:3; 110:19; 164:8; 173:25; 224:20; 234:11
resulted [2] 54:15; 119:23
resulting [2] 120:3, 5
results [5] 15:16; 16:11; 50:6; 145:20; 206:8
resume [2] 38:14; 40:21
retail [2] 122:17; 183:4
retain [2] 77:8; 93:4
retention [1] 77:4
retrieval [1] 108:5
retrieving [1] 114:1
retrospective [2] 138:6; 198:18
return [9] 4:4; 13:10, 22; 17:5; 21:7; 27:6;

28:3; 75:1; 105:24
returning [2] 29:25; 30:7
reveals [1] 25:11
reverse [1] 96:11
reversibility [1] 72:15
review [33] 11:9; 19:10; 32:13, 15; 34:3, 9; 46:14; 48:9; 53:10; 74:10; 85:11; 106:15; 111:23, 25; 112:9; 113:22; 114:6; 116:13, 19; 118:21; 119:14; 121:10, 12; 142:16; 147:3, 18; 148:16; 153:15; 203:4; 207:16; 208:6; 209:4; 214:2
reviewed [11] 16:9; 36:1, 5; 101:14; 118:22; 119:18, 20, 22, 24; 134:21; 195:8
reviewer [2] 150:12; 210:25
reviewing [4] 41:8; 110:6; 148:13; 209:1
revised [25] 55:9; 124:23; 125:13, 21; 128:2, 5; 149:11; 150:4; 159:12; 161:3, 11; 170:21, 24; 185:10, 25; 186:8; 188:14, 17; 209:24; 210:17; 219:23; 221:14, 22; 231:6, 9
revisions [12] 125:2, 5, 19; 126:3, 8, 14; 185:14, 17; 186:6, 15, 20; 187:1
revisit [2] 144:9; 204:22
Richard [1] 5:6
RICHMOND [1] 19:18
Richmond [4] 19:14, 17, 19; 21:14
rid [2] 173:13; 233:24
Right [11] 37:11; 81:13; 90:19; 94:9; 105:12; 143:15, 18; 171:24; 204:3, 6; 232:9
Rights [2] 27:13, 17
rights [2] 136:24; 197:11
rise [1] 34:24
Risk [3] 5:3; 112:5, 6
risk [42] 14:14, 25; 15:1, 15; 16:2, 14, 22, 25; 17:11; 23:3, 6; 24:13; 39:25; 40:1; 48:17; 52:8, 11; 54:10, 17, 22; 55:3; 59:21; 60:17; 101:24; 109:10; 110:23; 117:7, 8; 127:19; 129:19; 141:7; 148:20; 149:12; 154:7; 172:8; 188:6; 190:6; 201:20; 209:8, 25; 214:19; 232:18
riskier [2] 164:15; 225:2
risks [16] 29:19; 55:1; 125:3; 127:8; 161:5, 11, 16; 164:10; 185:15; 187:20; 221:16, 22; 222:2; 224:22
risky [2] 164:13; 224:25
road [2] 4:11; 102:24
Robert [1] 4:17
Roberta [2] 55:15; 97:5
Rogaine [8] 135:6, 13; 163:2; 165:6; 195:18, 25; 223:14; 225:18
role [5] 4:15; 12:9; 25:7; 31:8; 38:14
room [13] 8:8; 103:22; 166:2; 168:1; 170:22; 173:14, 20; 226:14; 228:12; 231:7; 233:25; 234:6; 242:23
roots [1] 27:18
rough [1] 43:12
roughly [4] 142:22, 23; 203:10, 11
round [1] 108:2
route [1] 242:16
row [6] 158:7; 171:13, 16; 218:18; 231:23; 232:1
royalties [1] 22:1
rule [9] 32:13; 34:7; 38:10; 122:4; 123:16; 181:23; 182:16; 184:3; 242:9
rulemaking [2] 122:5; 182:17
rules [3] 92:3; 121:24; 182:11
ruling [1] 40:13
run [1] 106:18
running [2] 79:3; 98:22

rural [1] 60:20

- S -

safe [24] 11:6, 15; 12:6; 20:23; 31:10; 32:1, 24; 33:22; 37:5; 39:13; 43:19; 55:12; 56:18; 57:24; 60:5; 96:5; 123:10; 129:1; 134:7; 155:6; 183:22; 189:13; 194:19; 215:18

safeguards [1] 55:2

Safety [1] 5:1

safety [25] 9:3; 10:9, 17, 19; 11:12; 14:4, 12; 15:5; 18:12; 111:23; 112:10, 13; 120:2; 121:4, 13; 125:12; 148:15, 16; 150:11; 180:15; 185:24; 209:3, 4; 210:24; 241:2

sales [2] 132:7; 192:19

sample [2] 118:20; 119:14

Sandy [1] 5:12

sat [1] 42:10

satisfied [2] 13:20; 14:22

saturated [2] 168:3; 228:14

save [4] 168:1; 173:10; 228:12; 233:21

saying [12] 78:23; 82:6, 7, 18, 19; 85:7; 89:12; 163:18; 164:16; 224:5; 225:3

scale [1] 99:7

scanner [2] 154:22; 215:9

schedule [2] 129:4; 189:16

scheduled [1] 40:24

schedules [1] 58:21

scheduling [1] 26:23

Schmid [1] 74:2

School [1] 42:8

school [2] 59:4; 100:23

Schools [1] 6:10

Schwartz [2] 51:19; 52:7

Science [1] 30:17

science-based [1] 13:3

Scientific [1] 41:10

scientific [6] 14:8; 32:6, 10, 16; 37:9; 87:5

scientifically [6] 15:18; 31:25; 33:20, 25; 37:3; 90:3

scope [2] 142:15; 203:3

Score [2] 98:23; 99:14

score [6] 99:6, 7, 11, 15, 18; 100:10

scores [1] 99:3

screened [1] 7:4

search [1] 120:23

searched [1] 116:9

seats [1] 4:4

Second [6] 29:6; 32:22; 127:14; 162:1; 188:1; 222:13

second [13] 17:8; 36:16; 107:9; 131:13, 17; 163:5; 167:16; 168:16; 191:25; 192:4; 223:17; 228:2; 229:2

Secondly [1] 33:24

secondly [2] 175:20; 236:6

Secretary [1] 5:13

section [37] 7:17; 8:9; 19:1; 123:8, 13; 124:5, 12, 13; 126:2, 3, 4, 10, 14, 16; 127:1; 153:21; 157:19; 171:3; 172:7; 173:14; 183:20, 25; 184:17, 24, 25; 186:14, 15, 16, 22; 187:1, 3, 13; 214:8; 218:5; 231:13; 232:17; 233:25

sections [10] 123:9, 14; 124:12; 126:1; 133:5; 183:21; 184:1, 24; 186:13; 193:17

sectors [1] 30:24

seek [1] 17:2

seeking [1] 27:4

segment [1] 35:21

SEICUS [1] 8:15

selected [1] 116:13

selecting [2] 137:16; 198:3

selection [1] 9:6

self [3] 31:12; 33:10, 14

self-administered [1] 21:3

self-care [1] 34:13

sell [2] 166:12; 226:23

semicid [2] 168:11; 228:22

send [3] 78:14; 136:23; 197:10

Senior [1] 30:16

senior [1] 100:24

sense [12] 14:6; 37:8; 47:20; 59:9; 96:13; 104:20; 138:10, 14; 181:24; 198:22; 199:1; 242:10

sensitive [7] 24:6; 147:7; 152:7; 165:22; 207:20; 212:19; 226:9

sensitivity [2] 171:15; 231:25

sentence [6] 68:17, 21; 137:9; 168:9; 197:21; 228:20

separate [6] 124:12; 130:22; 133:7; 184:24; 191:9; 193:19

sepsis [1] 116:12

September [2] 20:14; 106:24

sequelae [1] 98:12

series [1] 83:3

serious [23] 15:16; 39:18; 57:16; 65:16; 100:4; 112:12, 22; 114:4; 115:15, 23; 116:5; 119:25; 120:3, 8, 9; 121:6, 12; 124:16; 125:3; 126:7; 185:3, 15; 186:19

seriously [1] 9:17

serum [1] 51:13

serve [6] 5:10; 31:8; 58:5, 9; 59:13; 72:2

served [3] 60:15; 65:6, 10

server [2] 176:22; 237:8

serves [5] 11:21; 15:22; 22:6; 55:24; 59:11

service [4] 152:15; 176:25; 213:2; 237:11

Services [1] 4:11

services [10] 19:23; 25:21; 26:1; 50:16; 56:5; 59:17, 19, 20; 60:3, 15

serving [3] 56:3; 168:5; 228:16

setting [4] 93:20, 22; 177:18; 238:4

Seven [1] 44:7

seven [17] 7:18, 24; 23:21; 52:5; 56:2; 58:24; 59:14; 66:19; 67:1; 79:6; 93:14, 17; 99:18; 152:16; 174:17; 213:3; 235:3

Seventeen [1] 117:9

seventh [1] 30:13

severe [2] 110:7, 12

severity [1] 55:1

sex [10] 15:10; 63:10; 159:14, 18; 160:10; 171:9; 219:25; 220:4, 21; 231:19

sexual [11] 8:18; 45:25; 46:12; 77:2; 78:2; 175:8, 11, 13; 235:19, 22, 24

Sexuality [1] 8:14

sexuality [1] 8:16

sexually [14] 9:11; 11:18, 21; 12:2; 16:19; 25:15, 18; 62:8; 78:1, 5; 127:19; 131:16; 188:6; 192:3

share [6] 151:16; 153:6; 155:3; 212:4; 213:18; 215:15

shelf [2] 136:22; 197:9

shelfkeeping [2] 168:20; 229:6

shelves [1] 21:2

Shock [17] 14:14; 23:4; 39:20; 42:4; 49:12, 14; 50:13; 73:25; 75:14; 80:17; 91:2; 100:1; 110:8; 112:11, 17; 114:24

shock [27] 14:24; 17:1, 10; 46:18; 49:17; 90:7, 10; 99:24; 118:15; 124:10, 17; 125:8; 129:13; 130:3; 157:13; 158:16, 17;

161:22; 184:22; 185:4, 20; 189:25;

190:15; 217:24; 219:2, 3; 222:8

shortcomings [1] 21:21

show [11] 40:16; 116:4; 117:22; 124:19; 125:16; 161:9; 166:7; 185:6; 186:3; 221:20; 226:18

showing [2] 92:13; 96:22

shows [5] 22:19; 62:25; 115:20; 143:11; 203:24

sic [4] 130:7; 140:2; 190:19; 200:15

side-effect [2] 24:16; 48:9

side-effects [5] 14:3; 29:10; 72:15; 86:15, 19

SIECUS [2] 8:10; 10:18

sight [2] 111:22; 116:2

sign [2] 7:22; 8:7

significance [3] 33:1; 37:9; 48:12

Significant [2] 126:3; 186:15

significant [9] 10:5; 25:24; 26:1; 31:25; 33:21; 37:4; 53:3; 109:10; 110:23

significantly [2] 153:25; 214:12

signs [6] 39:25; 76:5, 7; 77:21; 100:5, 8

silent [1] 18:11

similarity [1] 110:3

simple [7] 31:11; 70:24; 71:25; 98:16; 101:10; 102:3; 113:10

simpler [2] 170:4; 230:14

simplification [1] 101:7

simplified [5] 101:9; 128:3; 169:8; 188:15; 229:19

simplistic [1] 89:25

single [12] 11:3; 89:6; 139:10; 152:3; 168:9, 21; 171:5; 199:22; 212:15; 228:20; 229:7; 231:15

situation [8] 33:15; 45:17; 140:24; 141:6; 152:8; 201:12, 19; 212:20

six [19] 49:23; 57:14; 117:5, 11, 13; 119:9; 151:24; 166:22; 172:3; 174:19; 175:10; 181:1; 212:11; 227:8; 232:13; 235:5, 21; 241:13

six-pack [2] 168:25; 229:11

Sixteen [1] 117:12

sixteen [1] 117:13

sixth [1] 89:19

size [10] 123:18; 154:2; 165:22, 23; 166:10; 184:5; 214:14; 226:9, 10, 21

skepticism [1] 14:2

sketchy [1] 120:14

skin [2] 50:7, 16

skip [1] 46:20

skrim [1] 108:6

slide [7] 73:21; 74:13; 76:4, 10; 108:1; 126:8; 186:20

slides [5] 38:20; 73:20; 108:13; 121:20; 182:7

slightly [3] 16:22; 139:6; 199:18

slow [1] 45:3

smaller [1] 22:10

SMITH [1] 8:12

Smith [5] 8:10, 11, 13; 12:16, 18

Snackwell [2] 168:3; 228:14

socio [1] 141:25

socio-economic [1] 202:13

socioeconomic [3] 98:9, 10, 13

soft [4] 50:8; 108:2; 141:2; 201:15

software [1] 101:15

sold [4] 43:22; 62:20; 74:9; 84:12

SOLLER [6] 30:15; 35:25; 36:18, 21; 37:19, 23

Soller [6] 30:14, 16; 35:16, 17; 36:13; 37:21

somebody [12] 46:12; 103:8; 155:18; 164:5; 174:2, 9; 175:9; 216:5; 224:17; 234:13, 20; 235:20
somehow [1] 79:4
someone [13] 68:11, 12; 76:20; 84:17; 104:16; 128:4; 135:22; 150:15; 173:10; 188:16; 196:9; 211:3; 233:21
somewhat [13] 38:16; 101:21, 22; 132:4, 12, 13, 16; 139:4; 192:16, 24, 25; 193:3; 199:16
somewhere [4] 66:8; 70:9; 171:19; 232:4
Sorry [2] 49:9; 106:14
sorry [12] 36:12; 90:22; 94:13; 103:3; 108:12; 118:6; 124:25; 129:8; 168:7; 185:12; 189:20; 228:18
sound [1] 106:1
sounded [2] 141:2; 201:15
sounds [4] 137:11; 175:21; 197:23; 236:7
source [10] 22:14, 15; 58:24; 90:21; 138:22, 23; 142:2; 199:9, 10; 202:15
sources [2] 130:1; 190:13
Southwest [1] 105:5
space [2] 173:10; 233:21
Spanish [10] 104:8, 15; 105:15, 18; 152:21; 156:25; 158:22; 213:8; 217:12; 219:8
speak [12] 8:7; 13:9; 14:1; 38:2; 65:1; 74:14; 136:24, 25; 140:25; 197:11, 12; 201:13
speaker [13] 8:9; 12:23; 13:17; 15:8; 19:14; 21:17; 24:24; 27:11; 30:13; 49:7; 55:14; 121:18; 182:5
speakers [11] 7:19, 24, 25; 12:19; 17:25; 18:11; 28:24; 41:5; 57:4; 158:5; 218:16
speaking [2] 8:13; 83:6
special [4] 34:13; 72:16; 177:21; 238:7
specialized [1] 34:16
specific [24] 16:3; 26:14; 34:2; 36:5; 37:15; 83:4; 113:21, 25; 122:19; 126:5; 129:11; 151:4; 159:2, 12; 160:18; 167:9; 183:6; 186:17; 189:23; 211:17; 219:13, 23; 221:4; 227:20
specifically [21] 34:11; 36:1, 22, 23; 52:25; 146:12, 13; 147:25; 151:17; 155:5; 161:4; 162:6; 180:6; 206:25; 207:1; 208:13; 212:5; 215:17; 221:15; 222:18; 240:18
specifications [2] 123:19; 184:6
spelling [1] 99:4
spent [2] 43:15; 47:5
sperm [2] 45:8; 100:20
spermicidal [1] 31:8
Spermicide [1] 108:9
spermicide [13] 10:8; 16:16; 22:7; 24:7, 8; 30:25; 31:3, 7; 32:9; 33:17; 35:4; 45:24; 68:9
Spermicides [1] 31:10
spermicides [4] 32:12; 34:12; 62:4; 70:5
spoke [1] 78:15
spoken [3] 74:17; 77:11; 89:16
spokeswoman [1] 23:23
sponge-associated [2] 154:7; 214:19
sponges [5] 42:25; 94:1; 98:3; 151:24; 212:11
sponsor [30] 40:24; 41:2, 4; 80:13; 103:11; 107:12; 108:19, 24; 110:11, 20; 119:21; 140:25; 150:7, 14; 153:10; 159:6; 176:9; 177:10; 178:13; 182:2; 201:13; 210:20; 211:2; 213:22; 219:17; 236:20; 237:21; 238:25; 242:13, 16
sponsors [9] 53:5; 106:22; 108:16;

177:24; 180:2; 181:8; 238:10; 240:14; 241:20
Spontaneous [3] 113:5, 8, 19
spontaneous [2] 130:8; 190:20
spreads [1] 105:13
squeeze [2] 111:3, 5
SRS [2] 113:9, 23
STAAB [29] 73:8; 80:10; 82:14; 83:1; 84:9; 85:4, 21; 87:21; 89:4; 90:21, 24; 91:4, 8; 93:3, 20; 94:9, 12, 16, 24; 95:14, 19; 97:3; 98:1; 99:21; 101:6; 102:12; 103:15; 104:9, 13
Staab [4] 41:9; 73:6; 80:7; 87:8
STABB [1] 41:6
Stabb [2] 41:5; 82:4
stable [5] 115:7; 132:4, 17; 192:16; 193:3
staff [3] 7:21; 8:8; 110:12
stages [1] 26:16
stake [1] 35:5
stand [2] 79:2; 87:17
standard [8] 99:11, 17; 144:13; 176:19; 177:16; 205:1; 237:5; 238:2
standardization [2] 144:25; 205:13
standardized [12] 122:5, 24; 123:3, 16; 125:14; 167:21; 182:17; 183:11, 15; 184:3; 186:1; 228:7
standards [4] 139:12; 149:2; 199:25; 209:15
standing [1] 31:22
standpoint [2] 151:23; 212:10
stands [2] 161:23; 222:9
Stap [1] 80:20
Staph [12] 50:14, 18, 23; 51:6, 12; 53:21, 24; 80:18, 23, 25; 81:21
staph [1] 54:4
Staphylococcus [3] 50:4, 12; 53:25
Staphylococcal [1] 50:7
start [16] 4:8, 15; 41:1; 80:14; 102:20; 103:20; 121:23; 124:25; 128:13; 151:15; 176:15; 182:10; 185:12; 188:25; 212:3; 237:1
start-up [3] 73:11; 180:12; 240:24
started [5] 4:5; 170:11; 176:14; 230:21; 236:25
starting [8] 38:13; 41:4; 160:3; 170:22; 220:14; 231:7
starts [2] 180:19; 241:6
State [1] 55:25
state [8] 27:19; 60:20, 22; 61:17; 79:22; 110:24; 169:25; 230:11
stated [9] 23:9; 57:21; 86:2; 109:16, 19; 151:25; 156:22; 212:12; 217:9
statement [41] 6:14; 11:23; 12:1; 20:15; 25:3; 34:4, 17; 37:2, 7; 61:10; 89:7, 25; 110:1; 124:10, 14; 126:6, 24; 127:7, 23; 128:3, 5; 136:2; 137:23; 154:9; 158:10, 15; 167:2; 184:22; 185:1; 186:18; 187:11, 19; 188:10, 15, 17; 196:14; 198:10; 214:21; 218:21; 219:1; 227:13
statements [23] 31:23; 33:20; 34:19; 55:10; 109:8; 125:2, 10, 20, 22, 23; 127:3; 128:3; 134:11; 136:5; 185:14, 22; 186:7, 9, 10; 187:15; 188:15; 194:23; 196:17
States [13] 8:15, 20; 9:1; 20:20; 21:11; 25:6, 15; 28:5; 57:2, 5, 17; 64:17; 105:8
states [2] 24:5; 51:21
stations [2] 168:17; 229:3
Statistics [1] 62:25
statistics [2] 130:12; 190:24
status [2] 38:24; 98:13
stay [2] 41:2; 121:2

stayed [2] 132:4; 192:16
staying [4] 79:1; 80:8; 129:4; 189:16
STD [2] 25:19, 22
STDs [4] 26:2; 76:23; 127:8; 187:20
stemmed [1] 39:17
step [3] 7:21; 21:10; 30:1
sterilization [1] 70:12
sticker [4] 174:10, 12; 234:21, 23
stipulations [1] 108:23
stock [2] 111:14, 15
stop [6] 28:18; 103:20; 122:22; 123:4; 183:9, 16
stopped [4] 133:2; 168:18; 193:14; 229:4
store [3] 21:2; 164:19; 225:6
straightforward [4] 66:14; 70:4, 25; 71:25
strain [2] 50:17; 54:3
strains [3] 50:24; 51:12; 80:18
strata [1] 98:8
stratified [1] 98:7
Street [2] 164:15; 225:2
striking [1] 28:9
strings [1] 43:10
strong [3] 23:25; 56:17; 105:22
stronger [4] 158:13; 172:19; 218:24; 233:4
strongly [11] 12:11; 16:5; 20:23; 21:7; 30:6; 56:12; 64:19; 159:4; 176:21; 219:15; 237:7
struggling [1] 77:24
studied [2] 81:20, 24
studies [14] 10:23; 14:17; 15:17; 16:10, 20; 23:7; 45:2; 47:9; 53:18; 66:14; 69:24; 70:8; 108:9; 109:4
study [12] 11:2; 15:7; 17:25; 23:23; 47:9; 51:1, 20; 59:1, 18; 66:4; 155:17; 216:4
stuff [6] 145:8; 178:23; 179:22; 205:21; 239:10; 240:9
Sub-question [1] 36:19
sub-question [1] 36:18
subheadings [8] 122:15, 19; 123:4, 19; 183:2, 6, 16; 184:6
subject [7] 51:16; 133:22; 136:8; 139:4; 194:9; 196:20; 199:16
subjective [4] 131:5; 165:6; 191:17; 225:18
submission [2] 153:21; 214:8
submit [5] 31:4; 39:5; 111:21; 136:9; 196:21
submitted [13] 6:20; 23:18; 34:21; 106:24; 111:6; 112:24; 118:11; 130:7, 8; 155:16; 190:19, 20; 216:3
subsequent [1] 67:13
subset [1] 86:12
substantial [3] 40:17; 51:10; 113:18
substantially [2] 154:1; 214:13
suburban [1] 60:21
sufficient [1] 12:5
sufficiently [1] 33:24
suggest [11] 11:22; 23:15; 24:15; 67:8; 70:19; 71:21; 87:11; 119:20; 172:10; 232:20; 242:20
suggested [7] 32:7; 146:16; 155:16; 175:24; 207:4; 216:3; 236:10
suggesting [6] 40:17; 87:25; 166:15; 174:11; 227:1; 234:22
suggestion [3] 76:10; 172:7; 232:17
suggestions [1] 87:17
suggestive [1] 120:18
suitcase [1] 94:22
sulfite [2] 126:11; 186:23

sum [1] 29:25
summarize [2] 24:10; 73:6
summarized [1] 108:25
summary [2] 53:23; 91:1
sunburn-like [1] 100:7
supermarkets [1] 105:9
supplement [3] 39:5; 109:14; 111:21
supplements [2] 30:21; 34:20
supplies [1] 59:20
supply [2] 30:23; 111:15
support [13] 12:12; 13:4; 20:6, 9, 16; 21:7; 28:3; 29:18; 34:9, 22; 44:22; 60:2; 102:4
supported [3] 13:6; 32:9; 34:15
supporters [1] 20:13
supporting [1] 77:17
supportive [1] 75:1
suppose [2] 85:13; 242:25
supposed [2] 175:13; 235:24
suppository [2] 68:9; 109:2
surgeries [1] 92:5
surgical [5] 52:1; 70:11; 92:2; 114:2; 115:4
surrounding [1] 41:12
surveillance [21] 51:20; 52:15, 18; 78:25; 102:7; 129:23; 147:18; 150:7; 152:10; 176:8, 17, 20; 177:9; 190:10; 208:6; 210:20; 212:22; 236:19; 237:3, 6, 20
survey [3] 62:24; 69:7; 98:7
surveyed [1] 59:3
survival [1] 22:20
suspect [1] 98:12
suspended [1] 107:5
swallowed [2] 147:22; 208:10
symptoms [13] 17:1, 3; 40:1; 55:1, 4; 103:11; 114:25; 117:3, 9; 118:10; 120:17; 124:12; 184:25
Syndrome [17] 14:14; 23:4; 39:20; 42:4; 49:12, 14; 50:13; 73:25; 75:14; 80:17; 91:2; 100:1, 2; 110:9; 112:11, 17; 114:24
syndrome [12] 124:17; 125:8; 129:13; 130:3; 172:10, 12; 185:4, 20; 189:25; 190:15; 232:20, 22
System [5] 112:16; 113:5, 6, 9, 19
system [34] 8:2; 45:15; 48:11; 49:21; 53:5; 60:11, 16; 93:22, 24, 25; 102:7; 105:8; 114:13; 130:9; 133:19; 147:18; 178:1, 19; 179:5, 11, 12; 180:4; 181:2; 190:21; 194:6; 208:6; 238:12; 239:6, 18, 23, 24; 240:16; 241:14; 242:18
systemic [6] 49:19; 121:1; 146:22; 147:2; 207:10, 15
systems [5] 103:13; 133:18, 24; 194:5, 11

- T -

T380 [1] 65:9
tab [1] 44:17
tables [6] 68:12; 87:18, 23; 88:1; 175:18; 236:4
tabulated [1] 85:11
tags [1] 243:11
TAKES [1] 105:25
talk [19] 38:17; 46:19; 47:25; 55:20; 77:19; 82:8, 20; 83:15; 86:8; 89:19; 95:25; 96:11; 118:16; 128:6; 157:23; 174:11; 188:18; 218:9; 234:22
talked [3] 47:4; 84:6; 95:6
talking [22] 43:14; 76:21; 79:8; 84:9, 11; 95:23; 96:15; 100:23; 146:2, 5; 158:6; 161:4; 162:6; 171:20; 174:10; 206:15, 18;

218:17; 221:15; 222:18; 232:5; 234:21
talks [2] 152:1; 212:13
Tambrands [3] 73:24; 74:6, 8
Tampax [1] 73:24
Tampon [1] 91:20
tampon [3] 50:5; 54:13; 101:17
Tampons [1] 54:6
tampons [6] 54:10; 73:24; 91:22; 92:19; 98:2
taught [2] 179:20; 240:7
team [1] 80:12
tearing [1] 108:7
technical [4] 89:16, 18; 172:23; 233:8
technically [3] 47:16; 172:13; 232:23
technologies [2] 65:4; 88:13
Technology [7] 30:17; 128:11; 138:22; 142:13; 188:23; 199:9; 203:1
technology [6] 26:8; 56:22; 67:17; 71:23; 72:14; 89:17
techs [2] 142:9; 202:22
teenagers [1] 40:6
teens [1] 48:19
telephone [1] 84:1
telling [2] 164:12; 224:24
Ten [1] 25:15
ten [29] 28:18; 50:22; 66:15, 23, 25; 67:7, 22; 68:1; 69:18; 71:1, 4, 7; 79:6, 10; 84:4; 89:1; 91:18; 95:14, 15; 115:16, 20; 142:24; 145:14; 163:22; 167:5; 203:12; 206:2; 224:9, 227:16
tendency [2] 132:21; 193:8
Tennessee [2] 168:18; 229:4
tens [2] 10:12; 26:9
tenth [1] 95:24
term [4] 38:13; 115:24; 140:21; 201:9
terminology [1] 113:24
terms [41] 9:25; 19:2; 37:11; 44:12; 47:10; 58:5; 71:25; 86:15; 87:10, 11, 18; 95:7; 97:1, 8, 10, 13, 16; 108:8; 113:25; 114:2, 3; 115:21; 116:11; 130:14; 138:10; 143:12; 150:18; 151:7; 154:24; 161:19; 169:8; 172:23; 191:1; 198:22; 203:25; 211:6, 20; 215:11; 222:5; 229:19; 233:8
testing [2] 30:23; 93:1
tests [1] 61:24
text [3] 83:9; 123:19; 184:6
thank [17] 8:21; 12:14; 19:12; 24:23; 27:6; 37:22; 38:7, 10; 41:7; 80:2; 121:18; 127:24; 145:4; 182:5; 188:11; 205:17; 243:6
Thanks [1] 73:7
they'll [2] 177:12; 237:23
they're [39] 18:24; 28:17; 45:16; 59:21, 25; 60:20; 63:2; 66:7; 76:20; 77:13, 16, 21; 84:22; 93:18, 25; 130:22; 135:16; 141:10, 21; 150:14; 157:15; 164:22; 168:13; 173:12; 175:13, 14; 191:9; 196:3; 201:23; 202:9; 211:2; 218:1; 225:9; 228:24; 233:23; 235:24, 25
they've [8] 91:17; 103:8, 10; 151:8, 10; 211:21, 22
thinking [1] 37:2
Third [2] 127:17; 188:4
third [2] 34:4; 59:22
thirty [1] 45:21
Thirty-five [1] 119:9
Thirty-three [1] 28:4
thoroughly [1] 111:5
thoughts [6] 151:17; 152:13, 22; 212:4, 25; 213:9

thousand [9] 71:15; 84:11; 95:16; 96:1; 108:4; 144:20; 205:8
thousands [4] 10:12; 13:20; 26:10; 85:16
threat [2] 15:3, 20
threatening [8] 115:17; 116:23; 120:5; 121:3; 124:16; 131:10; 185:3; 191:22
three [35] 8:25; 25:13; 32:2; 45:3, 7; 52:2, 17; 53:20; 71:4, 10; 89:10; 94:1, 2, 16; 114:11, 20; 116:14, 19; 117:10, 19; 151:24; 153:16; 159:11; 168:13; 170:19; 171:1, 11; 212:11; 214:3; 219:22; 228:24; 231:4, 11, 21; 242:24
threw [2] 179:18; 240:5
throwing [2] 152:5; 212:17
tight [2] 180:22; 241:9
timer [2] 8:2; 38:3
times [9] 11:3; 28:18; 57:14; 67:5; 129:14; 170:11; 190:1; 230:21
timing [2] 30:9, 10
tissue [1] 50:8
title [5] 103:3; 122:14, 25; 183:1, 12
titled [4] 122:25; 123:7; 183:12, 19
TITUS [3] 5:12; 6:15; 243:8
Titus [4] 5:12; 6:13; 7:16; 106:5
Todd [1] 49:15
toilet [2] 147:13; 208:1
tomorrow [2] 38:15; 243:10
tons [2] 145:7; 205:20
tool [1] 79:16
topic [1] 25:9
topical [4] 135:6; 149:23; 195:18; 210:11
tossed [2] 147:12; 207:25
total [12] 80:23; 90:13; 91:1, 4, 17; 92:10, 11; 108:4; 115:22; 117:5, 23; 120:7
totally [8] 57:7; 63:6; 69:25; 71:22; 133:7; 140:12; 193:19; 200:25
touch [1] 77:16
touched [2] 145:13; 206:1
towards [3] 30:1; 180:6; 240:18
Toxic [17] 14:14; 23:4; 39:20; 42:4; 49:12, 14; 50:13; 73:25; 75:14; 80:17; 91:2; 100:1; 110:8; 112:11, 16; 114:24
toxic [28] 14:23; 16:25; 17:10; 46:18; 90:7, 10; 99:24; 118:14; 124:10, 17; 125:7; 129:13; 130:3; 157:12; 158:16, 17; 161:22; 184:22; 185:4, 19; 189:25; 190:15; 217:23; 219:2, 3; 222:8
toxicity [4] 147:19; 159:15; 208:7; 220:1
Toxicologist [2] 21:18, 23
toxicologist [5] 73:14; 85:23; 159:22; 220:8
Toxicology [1] 22:2
toxigenic [1] 50:17
Toxin [1] 50:13
toxin [8] 50:11, 21; 51:9, 15; 53:24; 54:4; 80:17; 81:22
toxins [1] 50:18
track [1] 86:5
tracking [1] 102:11
tract [5] 50:8; 114:12; 119:13; 159:18; 220:4
trade [1] 30:19
training [2] 157:15; 218:1
transfer [2] 107:14; 111:19
transferred [2] 107:3, 24
translation [2] 156:24; 217:11
transmission [4] 127:8; 172:8; 187:20; 232:18
transmitted [10] 11:18, 21; 12:2; 16:19; 62:8; 77:2; 78:1, 5; 127:19; 188:6
transmitting [1] 25:19

travel [1] 100:21
treated [2] 33:4; 117:1
treatment [1] 121:1
tremendous [1] 43:25
triage [6] 80:8; 93:24; 103:7, 13; 152:15; 213:2
trial [9] 15:10, 13; 16:12; 68:19, 22; 69:1, 12; 139:10; 199:23
trials [10] 44:8; 47:13, 14; 69:16; 145:18, 21; 149:20; 206:6, 9; 210:8
trivialize [2] 157:10; 217:21
trouble [3] 83:25; 157:22; 218:8
troubling [1] 67:20
true [1] 86:14
Trussell [10] 63:16; 98:5; 128:11; 138:6, 9; 139:6; 188:23; 198:18, 21; 199:18
trusted [1] 16:6
TSS-like [1] 55:4
TSST-1 [9] 50:13, 24; 51:9, 12, 17; 53:20, 24; 80:19, 25
tubal [3] 71:14; 143:21; 204:9
Tucson [1] 5:16
turn-off [1] 46:13
Turning [1] 31:19
Twelve [1] 117:6
twelve [3] 49:23; 151:24; 212:11
Twenty-three [3] 116:22, 24; 117:14
twice [2] 133:11; 193:23
two-day [1] 45:20
type [34] 42:19; 95:4; 123:18; 131:2, 11; 133:9, 24; 136:14; 138:6; 142:1; 147:1, 2; 149:24, 25; 150:6, 17; 152:8; 176:8; 184:5; 191:14, 23; 193:21; 194:11; 197:1; 198:18; 202:14; 207:14, 15; 210:12, 13, 19; 211:5; 212:20; 236:19
types [7] 43:10; 62:13; 114:24; 130:22; 150:24; 191:9; 211:12
typical [5] 68:24; 141:25; 143:4; 202:13; 203:17
typically [1] 22:25
typing [1] 98:21

- U -

U.S. [11] 13:22; 14:11; 17:6; 27:6; 30:1; 66:5; 90:13; 91:5; 92:23; 106:25; 109:3
UDEN [26] 5:25; 17:24; 18:3; 87:8; 129:13; 130:1; 146:8, 13, 15; 155:13, 25; 172:22; 173:1; 175:6; 177:15; 189:25; 190:13; 206:21; 207:1, 3; 215:25; 216:12; 233:7, 11; 235:17; 238:1
Uden [12] 5:25; 87:7; 129:12; 146:7; 155:12; 172:21; 177:14; 189:24; 206:20; 215:24; 233:6; 237:25
ultimately [3] 12:7; 43:13, 18
unable [1] 103:9
unacceptably [1] 20:19
unaesthetic [1] 46:2
UNAIDS [1] 15:8
unambiguous [1] 11:22
unanimous [2] 170:19; 231:4
unattractive [1] 46:3
unaware [1] 22:25
unborn [1] 33:9
unconsumer [2] 140:3; 200:16
underneath [2] 158:15; 219:1
understandable [4] 144:17, 19; 205:5, 7
understanding [11] 41:24, 25; 71:18; 97:19; 104:1; 125:11; 134:20; 140:14; 185:23; 195:7; 201:2
understood [1] 47:12

undertook [3] 111:23; 138:20; 199:7
underwent [1] 120:24
undetectable [1] 9:23
undiagnosed [1] 120:17
unevaluable [1] 116:3
unexpected [4] 112:13, 21; 120:1; 121:13
unexplained [1] 49:16
unfamiliar [1] 98:24
unfortunate [1] 11:7
Unfortunately [1] 74:23
Uniform [1] 4:10
uniform [1] 11:8
uninsured [1] 61:5
Unintended [1] 57:16
unintended [32] 8:25; 20:19; 25:14, 22; 26:1, 2; 27:22; 28:1, 8, 9, 12; 30:5; 56:14, 21; 57:1, 5, 6, 9, 10, 21; 58:13; 59:8, 21; 60:17; 61:3; 63:1, 4; 64:8, 17; 96:20, 23; 116:1
unique [6] 9:9; 20:21, 22; 33:15; 34:12; 62:14
uniqueness [2] 33:8; 34:25
unit [1] 94:25
United [13] 8:15, 20; 9:1; 20:20; 21:11; 25:6, 14; 28:4; 57:2, 5, 17; 64:17; 105:8
units [3] 84:12; 168:20; 229:6
University [8] 4:11; 5:7, 22; 6:1, 4, 10; 42:8; 102:16
unknown [1] 119:19
unlike [2] 46:4
unlimited [1] 114:3
unmet [2] 8:23; 25:12
unnecessary [2] 154:9; 214:21
unparalleled [1] 33:9
unpleasant [1] 43:12
unreadable [1] 79:16
unsuccessfully [1] 26:5
unusual [3] 83:4; 120:13, 19
Unwanted [1] 65:15
unwanted [4] 33:7, 11; 57:8; 64:15
update [3] 32:19; 52:15; 111:23
urban [1] 60:20
urge [3] 8:19; 12:12; 27:5
urgent [2] 93:24; 94:3
urinary [1] 119:13
Urologic [1] 107:19
usable [1] 79:14
usage [7] 11:6, 12, 15; 131:24; 132:6; 192:11, 18
useful [17] 44:12, 24; 88:9; 138:3; 162:24; 164:6, 7, 9; 165:2; 175:24; 198:15; 223:11; 224:18, 19, 21; 225:14; 236:10
user [5] 54:24; 70:20; 71:19; 76:17; 82:20
Users [1] 24:17
users [13] 14:22; 16:4; 24:11; 52:9; 68:24; 69:1, 2, 3, 5, 6, 9; 84:17; 101:21
uses [3] 97:2; 123:2; 183:14
usual [3] 4:9; 139:12; 199:25
uterus [3] 76:11; 83:13; 100:20

- V -

V.P. [1] 74:20
vagina [12] 9:21; 14:5; 22:11, 15, 22; 51:4; 54:1, 2; 100:18; 117:5; 126:25; 187:12
vaginal [50] 11:25; 14:21; 15:2; 16:17; 17:6; 22:17, 19; 23:11, 19; 24:4, 5, 12, 13, 18; 31:7; 32:9; 46:2; 50:3; 51:6, 16, 17; 55:21; 58:20; 60:4, 24; 61:3; 62:1, 7, 14, 22; 63:17; 64:9, 22; 65:6; 67:24; 75:17;

100:16, 17; 106:16; 109:7; 116:2; 117:6; 119:12, 23; 143:8; 145:18; 158:6; 203:21; 206:6; 218:17
vaginally [2] 50:23; 79:23
vaginas [1] 42:23
vaginitis [11] 116:1; 119:13; 121:9; 133:5, 8, 13; 193:17, 20, 25
valid [8] 15:18; 32:18; 139:17; 163:20; 171:18; 200:5; 224:7; 232:3
valuable [4] 21:3; 48:23; 60:23; 64:11
variability [1] 47:8
varied [1] 114:4
variety [2] 21:10; 95:6
vary [1] 116:15
varying [3] 26:15; 132:18; 193:4
vasectomy [1] 71:14
vast [3] 11:11; 14:22; 44:22
vein [2] 149:22; 210:10
verbal [1] 89:25
versions [1] 24:8
versus [7] 54:13; 137:1; 168:12; 177:22; 197:13; 228:23; 238:8
vested [1] 85:14
via [1] 11:25
viable [2] 45:8; 60:10
Viagra [1] 61:12
Vice [1] 30:16
video [4] 178:11, 13; 238:23, 25
view [3] 45:14; 135:21; 196:8
violent [1] 9:18
virtually [1] 88:6
virtue [2] 134:17; 195:4
virus [6] 23:5; 24:14; 172:9, 11; 232:19, 21
visit [1] 62:19
visiting [1] 61:23
visits [1] 58:20
vitro [1] 53:18
VLI [7] 44:20; 46:23; 74:9; 86:6; 106:24; 107:2; 111:2
voice [1] 8:16
void [1] 62:15
volume [2] 154:25; 215:12
voluntarily [6] 39:1; 107:7, 11; 111:12; 130:7; 190:19
voluntary [5] 34:18; 113:13, 17; 129:23; 190:10
vomiting [2] 49:20; 100:5
vote [12] 161:13, 17; 162:13; 169:12, 14; 170:17; 221:24; 222:3, 25; 229:23, 25; 231:2
voting [2] 162:16; 223:3
vu [1] 42:15

- W -

waiting [1] 26:24
waiving [1] 36:13
walk [1] 89:21
walk-ins [1] 85:17
walking [2] 164:14; 225:1
Walmart [1] 105:13
wanted [13] 87:15; 101:20; 111:2; 127:17; 129:5; 137:14; 146:9; 175:17; 188:4; 189:17; 198:1; 206:22; 236:3
wanting [1] 82:6
wants [3] 17:4; 134:14; 195:1
warn [2] 24:3, 11
Warning [1] 100:5
warning [13] 12:21; 55:5; 110:15; 125:8; 126:3, 5, 6; 128:23; 185:20; 186:15, 17,

18; 189:10
warnings [18] 11:12; 31:23; 123:2, 3; 124:6; 125:7; 126:25; 128:22; 158:14; 172:19; 183:14, 15; 184:18; 185:19; 187:12; 189:9; 218:25; 233:4
warranted [3] 119:21; 148:16; 209:4
wash [3] 77:3; 173:8; 233:18
watching [2] 181:11; 241:23
ways [8] 45:3; 54:21; 56:12; 85:13; 95:6; 98:15; 140:22; 201:10
we'd [3] 4:4; 6:13; 89:10
We'll [2] 151:5; 211:18
we'll [21] 4:8, 15; 7:16, 23; 24:23; 40:25; 42:6; 148:6; 151:13, 14; 160:18; 163:6; 170:14, 21; 208:19; 211:25; 212:2; 221:4; 223:18; 230:24; 231:6
We're [11] 11:15; 13:5; 31:1; 41:14; 73:11; 89:12; 106:2; 125:17; 150:4; 186:4; 210:17
we're [48] 8:2; 32:17; 37:24; 43:14; 64:6; 73:16, 17, 22; 75:7, 9; 77:18, 20; 79:8; 83:18; 94:17; 100:23; 103:24; 104:4, 5; 106:2, 3; 132:12; 134:23; 140:8; 144:9; 146:1, 4, 5, 10; 150:24; 161:3; 162:6; 170:24; 174:10; 192:24; 195:10; 200:21; 204:22; 206:14, 17, 18, 23; 211:12; 221:14; 222:18; 231:9; 234:21
We've [6] 16:9; 79:17; 126:24; 129:13; 187:11; 189:25
we've [25] 14:8; 29:6; 41:1; 62:12; 69:20, 23; 77:10; 79:11; 102:13; 126:9, 24; 142:23; 149:2; 151:22; 178:16; 179:21; 186:21; 187:11; 203:11; 209:15; 212:9; 239:3; 240:8
wear [1] 108:11
wearing [1] 53:14
web [2] 179:21; 240:8
week [7] 58:24; 67:4; 85:17; 93:14, 17; 152:16; 213:3
weekend [1] 103:6
weeks [3] 67:5; 117:13
weigh [1] 29:19
weight [1] 69:18
welcome [2] 4:5; 41:11
welcomes [1] 38:1
well-circumscribed [2] 167:22; 228:8
weren't [4] 148:14, 15; 209:2, 3
wet [4] 111:3, 5; 173:8; 233:18
whenever [1] 31:14
Whereas [1] 22:8
Whereupon [1] 243:13
white [1] 60:1
Whitehall [7] 43:22; 107:3, 4, 7, 14; 109:13; 111:12
whoever [2] 134:13; 194:25
wide [2] 70:6, 8
wider [1] 28:20
widespread [1] 37:3
William [3] 8:10, 13; 30:14
WILLIAMS [5] 5:21; 156:2; 178:3; 216:14; 238:14
Williams [7] 5:21; 156:1; 172:21; 178:2; 216:13; 233:6; 238:13
willing [3] 80:3; 178:13; 238:25
wind [3] 79:9, 11, 13
window [2] 175:12; 235:23
wise [2] 152:14; 213:1
wish [4] 7:14; 24:23; 29:9; 31:10
withdrawal [3] 68:7; 143:23; 204:11
withdrawn [7] 39:1; 106:25; 107:10, 11; 111:12; 133:1; 193:13

withdrew [1] 107:8
woman [27] 13:10; 20:21; 22:13, 18; 23:17; 24:6; 28:17; 33:13; 54:3; 64:2, 3; 65:18; 67:25; 68:4; 71:25; 72:12, 22; 83:5; 95:24; 97:19, 23; 144:18, 22; 158:14; 205:6, 10; 218:25
Women [9] 12:24; 13:2; 25:4; 26:21; 49:7; 65:19, 21; 128:10; 188:22
won't [6] 135:21; 154:10, 17; 196:8; 214:22; 215:4
wonderful [4] 42:23; 43:4; 44:23; 45:17
wondering [7] 96:21; 137:7; 159:15; 160:13; 197:19; 220:1, 24
Word [1] 98:22
word [2] 9:9; 36:25
wording [3] 87:11; 159:7; 219:18
words [1] 75:20
work [4] 44:8; 59:4; 73:12; 101:16
worked [9] 45:3; 48:2; 65:3; 73:23; 74:2; 98:3; 102:13; 153:12; 213:24
workers [1] 15:11
Working [1] 74:1
working [7] 65:5, 7; 102:16; 152:8; 155:10; 212:20; 215:22
works [6] 38:19; 71:5; 180:8; 181:2; 240:20; 241:14
world [3] 57:10; 98:25; 101:10
worldwide [2] 145:19; 206:7
worn [1] 24:19
worried [7] 93:25; 147:19; 159:13, 19; 208:7; 219:24; 220:5
worry [4] 144:21; 160:4; 205:9; 220:15
worth [1] 15:21
worthwhile [1] 73:10
wouldn't [5] 80:7; 96:4; 136:3; 196:15; 242:20
wounds [3] 52:1; 92:2
woven [1] 44:18
wrapped [2] 147:14; 208:2
wrapper [4] 122:16, 18; 183:3, 5
wrestle [1] 96:10
wrestled [2] 69:20; 95:21
write [2] 74:18; 85:10
writer [1] 69:13
writing [2] 149:18; 210:6
written [13] 20:15; 48:3; 108:18; 145:17; 150:15; 157:24; 172:23; 175:23; 206:5; 211:3; 218:10; 233:8; 236:9
wrong [1] 37:12
wrote [1] 75:11

82:4, 13
young [1] 49:18
younger [1] 40:6
yours [1] 94:23

- Z -

zone [1] 93:18

- Y -

Yeah [14] 135:5; 136:12; 166:14; 171:1; 173:19; 174:15; 177:15; 195:17; 196:24; 226:25; 231:11; 234:5; 235:1; 238:1
yeah [6] 163:7; 171:16; 175:2; 223:19; 232:1; 235:13
year [31] 9:1; 25:14; 52:10, 11; 55:24; 56:4; 58:6; 66:25; 67:2, 9, 23; 68:23; 71:2; 85:12; 87:12; 89:2; 110:5; 117:22; 118:6, 7; 132:22; 144:14, 23; 146:1; 167:4; 193:9; 205:2, 11; 206:14; 227:15
yellow [1] 8:3
yesterday [3] 42:16; 98:21, 24
York [1] 5:19
you'd [7] 86:13; 151:16; 168:2; 181:16; 212:3; 228:13; 242:3
You'll [1] 42:5
you'll [2] 13:18; 93:9
you've [7] 28:23; 48:16, 20; 65:15; 78:6;