

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING

ADVISORY COMMITTEE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

7695 '00 AUG -3 11:47

Thursday, July 13, 2000

8:30 a.m.

Advisory Committee Conference Room 1066
Food and Drug Administration
5630 Fishers Lane
Rockville, MD 20852

PARTICIPANTS

Randy P. Juhl, Ph.D., Chairperson
Igor Cerny, Pharm.D., Executive Secretary

MEMBERS

Loyd V. Allen, Jr., Ph.D.
Elizabeth I. McBurney, M.D.
Garnet E. Peck, Ph.D.
Judith Martin Riffée, R.Ph.
William J. Rusho, R.Ph.
Sarah L. Sellers, Pharm.D.
Lawrence Trissel, F.A.S.H.P.
Tony Welder, R.Ph.

INDUSTRY REPRESENTATIVE

Joan M. LaFollette, R.Ph.

CONSUMER REPRESENTATIVE

Rose-Ellen M. Hope, R.Ph.

FDA

Kathleen Anderson, Pharm.D.
Jane Axelrad
Peter H. Cooney, Ph.D.
Amit Mitra, Ph.D.
Lana Ogram
Brian Rogers, Ph.D.
Capt. George Scott
Vinod P. Shah, Ph.D.

C O N T E N T S

Call to Order	
Dr. Randy Juhl	4
Conflict of Interest Statement	
Dr. Igor Cerny	4
Introductory Remarks	
Lana Ogram	7
3,4-diaminopyridine and 4-aminopyridine Update to the Committee	
Introduction: Ms. Jane Axelrad	19
Acorda Therapeutics: Dr. Andrew Blight	20
Committee Discussion	23
Withdrawn or Removed List of Drug Products	
Remarks: Capt. George Scott	40
Demonstrably Difficult to Compound	
Introduction, Factors and Approach	
Dr. Kathleen Anderson	58
Committee Discussion	64
Metered-Dose Inhalers and Dry Powder Inhalers	
Dr. Brian Rogers	90
Committee Discussion	105
Open Public Hearing	
Mr. Jana Nestlerode	122
Dr. Larry Sasich	139
Ms. Shelley Capps	145
Transdermal Delivery Systems	
Dr. Vinod Shah	149
Dr. Amit Mitra	155
Committee Discussion	162
Sterile Drug Products	
Dr. Peter H. Cooney	167
Committee Discussion	179

1 P R O C E E D I N G S

2 **Call to Order**

3 DR. JUHL: Good morning. We will begin. Welcome
4 to I believe the third meeting of the Pharmacy Compounding
5 Advisory Committee.

6 Let us begin with the reading of the Conflict of
7 Interest Statement, Dr. Igor Cerny.

8 **Conflict of Interest Statement**

9 DR. CERNY: The following announcement addresses
10 the issue of conflict of interest with regard to this
11 meeting and is made a part of the record to preclude even
12 the appearance of such at this meeting.

13 In accordance with 18 U.S.C. 208, general matters
14 waivers have been granted to all committee participants who
15 have interests in companies or organizations which could be
16 affected by the committee's discussions of the five drug
17 products for inclusion on a list of drug products that
18 cannot be compounded because they have been withdrawn or
19 removed from the market because such drug products or
20 components of such drug products have been found to be
21 unsafe or not effective.

22 With respect to the second topic on the agenda to
23 be discussed today and Friday, July 14th, the agency has
24 determined that all reported interests in firms regulated by
25 the Center for Drug Evaluation and Research present no

1 potential for a conflict of interest at this meeting with
2 the following exception: In accordance with 18 U.S.C.
3 208(b), a full waiver has been granted to Mr. Welder.

4 A copy of this waiver statement may be obtained by
5 submitting a written request to the agency's Freedom of
6 Information Office, Room 12A-30 of the Parklawn Building.

7 In the event that the discussions involve any
8 other products or firms not already on the agenda for which
9 an FDA participant has a financial interest, the
10 participants are aware of the need to exclude themselves
11 from such involvement, and their exclusion will be noted for
12 the record.

13 With respect to all other participants, we ask in
14 the interest of fairness that they address any current or
15 previous financial involvement with any firm whose product
16 they may wish to comment upon.

17 DR. JUHL: Let's begin by going around the table
18 and having microphone practice and introductions, so that
19 the members of the audience know who we are.

20 We will start with you, Joan.

21 MS. LaFOLLETTE: Joan LaFollette, Bristol-Myers
22 Squibb, Director of Regulatory Science, CMC.

23 DR. ALLEN: Loyd Allen, International Journal of
24 Pharmaceutical Compounding.

25 MR. WELDER: Tony Welder, Community Pharmacist

1 from Bismarck, North Dakota.

2 DR. PECK: Garnet Peck, Professor of Industrial
3 Pharmacy and Director of the Industrial Pharmacy Laboratory,
4 Purdue University.

5 DR. SELLERS: Sarah Sellers, Pharmacist,
6 Greenville, North Carolina.

7 MR. RUSHO: William Rusho, University of Utah.

8 DR. MCBURNEY: Elizabeth McBurney, Dermatologist,
9 Slidell, Louisiana.

10 MS. RIFFEE: Judy Riffie, the College of Pharmacy,
11 University of Florida, Gainesville, Florida.

12 MR. TRISSEL: Larry Trissel, M.D. Anderson Cancer
13 Center.

14 MS. HOPE: Rose-Ellen Hope, Pharmacist, associated
15 with Public Citizen.

16 MS. AXELRAD: Jane Axelrad, the Associate Director
17 for Policy, Center for Drugs, and Co-Chair of the Pharmacy
18 Compounding Steering Committee, FDA.

19 MS. OGRAM: Lana Ogram. I am the Director of the
20 Division of Prescription Drug Compliance and Surveillance,
21 and I am the other Co-Chair of the Pharmacy Compounding
22 Steering Committee, FDA.

23 CPT SCOTT: George Scott, Regulatory Operations
24 Officer, Office of Compliance, FDA.

25 DR. ANDERSON: Kathy Anderson, Consumer Safety

1 Officer, Office of Compliance, FDA.

2 DR. ROGERS: Brian Rogers, CMC Reviewer, Division
3 of Pulmonary Analogy Drug Products.

4 DR. JUHL: We will proceed with our agenda. For
5 those of you in the audience who have come in, if you
6 happened to walk past the table without picking up a copy of
7 the agenda, they are on the outer table.

8 Let me turn it over to Lana Ogram for introductory
9 remarks. Lana.

10 **Introductory Remarks**

11 MS. OGRAM: Good morning. I would like to also
12 welcome you back for the third meeting of the Pharmacy
13 Compounding Advisory Committee meeting and to thank you
14 again for your willingness to participate on this committee.

15 [Slide.

16 Pharmacy compounding is a very complicated and
17 far-reaching area and we truly appreciate your continuing
18 support, your dedication, and your in-depth preparations for
19 each meeting.

20 I would like now to pause just for a moment and
21 have members of our Steering Committee introduce themselves.
22 They are sitting on the far side.

23 Rita, would you start.

24 MS. HOFFMAN: Rita Hoffman, Consumer Safety
25 Officer, Center for Drug Evaluation and Research.

1 MR. RICHMAN: Fred Richman, Office of Compliance,
2 Center for Drugs.

3 MS. REID: Kim Keller Reid, Special Government
4 Employee working on a company project in the Office of
5 Compliance.

6 MR. HOROWITZ: David Horowitz, Office of the Chief
7 Counsel.

8 MS. MISCAELLI: Andrea Miscielli, Regulatory
9 Policy Staff in CDER.

10 MR. MITCHELL: William Mitchell, Regulatory Policy
11 Staff.

12 MS. PALLAS: Lou Ann Pallas, Consumer Safety
13 Officer and Pharmacist, Division of Manufacturing and
14 Product Quality, Office of Compliance, CDER.

15 MR. BROWN: Ron Brown, Regulatory Operations
16 Officer, Office of Compliance.

17 MS. ORTUZAR: Liz Ortuzar, Executive Operations
18 Staff.

19 MR. JONES: Mike Jones, Office of the Center
20 Director.

21 MS. MILLE: Yana Mille, Operations Staff.

22 MR. LENISH: John Lenish, Office of Policy,
23 Planning, and Legislation.

24 MS. OGRAM: Thank you. I would like to thank each
25 of these individuals who has really been working diligently

1 to implement the provisions of Section 503A of the Act.

2 It has been almost a year since we met last, and
3 before we proceed with our formal agenda, I would like to
4 bring you up to date on some of the issues that have
5 transpired.

6 I will briefly talk about the status of the
7 lawsuit, the bulk drug substances list, the Memorandum of
8 Understanding between FDA and the States, and our general
9 compounding regulations.

10 As we mentioned during our last meeting, the day
11 before Section 503 went into effect in November of 1998,
12 seven compounding pharmacies sued FDA in the Federal
13 District Court of Nevada. They challenged the
14 constitutionality of two provisions of the law based on
15 First Amendment grounds as an abridgement of the right to
16 freedom of speech.

17 As you may recall, the law exempts compounded
18 drugs that are produced under specified conditions from
19 three key requirements of the Food, Drug, and Cosmetic Act,
20 and those requirements are: that the drugs are produced
21 under current Good Manufacturing Practices, that they are
22 labeled with adequate directions for use, and that drugs
23 undergo agency approval of New Drug Applications and
24 Abbreviated New Drug Applications.

25 The two provisions that were challenged in the

1 lawsuit state that in order to qualify for the exemptions
2 provided for by the law, the compounded drug may not be
3 based on a prescription that has been solicited by the
4 compounding pharmacist, pharmacy, or compounding physician,
5 and that the pharmacy, pharmacist, or compounding physician
6 may not advertise or promote the compounding of a particular
7 drug, class of drug, or type of drug.

8 It is important to remember, though, that the law
9 always permitted the advertisement of compounding services.

10 On December 18th of '98, the court issued a
11 temporary restraining order which enjoined FDA from
12 enforcing these two provisions of the law.

13 On September 16th of '99, the U.S. District Court
14 of Nevada decided that the two speech-related restrictions
15 of Section 503A violated the First Amendment of the
16 Constitution.

17 In addition, the Court concluded that the
18 advertisement and solicitation provisions can be severed
19 from the remainder of the law, and this means that for the
20 moment, Section 503A is fully operative as a law, but
21 without the restrictions on advertisement and solicitation
22 of prescriptions.

23 In June of this year, FDA filed an appeal in the
24 Ninth Circuit Court of Appeals, and that appeal is pending.

25 As you may remember, Section 503A restricts the

1 universe of bulk drug substances that may be used in
2 compounding and qualify for the exemptions. In addition to
3 certain other requirements, only bulk drug substances that
4 fall into one of three major categories may be used in
5 compounding.

6 Each bulk drug substance must comply with an
7 applicable current USP or NF monograph and the USP chapter
8 on pharmacy compounding. If a monograph does not exist,
9 then, it must be a component of an FDA-approved drug, and if
10 neither, it must appear on a list of bulk drug substances
11 published by FDA in the Federal Register.

12 FDA originally solicited nominations for this drug
13 from the public in April of 1998, and after reviewing
14 candidates for the list, in January of 1999, we published a
15 proposed bulk drug substances rule, and we received a number
16 of additional nominations for the list.

17 We have discussed each nomination with this
18 committee at the first two Pharmacy Compounding Advisory
19 meetings in October of '98 and May of '99.

20 USP, with whom we have been consulting in the
21 development of this list, has agreed to develop standard
22 monographs that will establish the identity, strength,
23 quality, and purity of bulk drug substances that will appear
24 on the agency's published list.

25 They are working quickly to develop these

1 standards and have already developed seven monographs for
2 drug which were nominated for inclusion on the list. Final
3 monographs now exist for bismuth citrate, iodoform, sodium
4 butyrate, diloxanide furoate, myrrh topical solution,
5 tinidazole, and ferric sulfate hydrate.

6 Ferric sulfate will most likely stay on the list
7 because USP's monograph is for ferric sulfate hydrate
8 solution, and since the substance is available as both a
9 solution and a powder, listing the ferric sulfate will
10 clarify that either the solution or the power form may be
11 used in compounding.

12 Once a USP standard monograph is published, a bulk
13 drug substance no longer requires listing within FDA's
14 regulation, so these drugs will not be included in the final
15 rule.

16 Several months ago, FDA approved a drug containing
17 caffeine citrate as an active ingredient, therefore, this
18 substance may be used in pharmacy compounding and it also
19 will not appear in the final rule.

20 USP is also tackling monographs for eight other
21 drug substances listed in the proposed rule, and these
22 include betahistine chloride, choline bitartrate,
23 cyclandelate, levoglutamide, metronidazole benzoate,
24 phenindamine tartrate, and phenyltoloxamine dihydrogen
25 citrate.

1 These proposed monographs are in the very early
2 stages of development and have appeared in the U.S.
3 pharmacopeial form as preview proposals. Monographs
4 proposed as previews must then be repropoed as in-process
5 revisions before they can be made official.

6 A monograph for taurine has also been published as
7 an in-process revision.

8 The bulks final rule is currently undergoing
9 agency review, and after clearance, it will go to OMB and
10 then publication.

11 This regulation will continue to evolve as
12 substances are recommended for addition to, or removal from,
13 the list through the petition process.

14 It is also important to remember that the list is
15 not intended to interfere in any way with the agency's
16 investigational New Drug Program, and that drug substances
17 that are excluded from this list may still be available
18 under an IND protocol.

19 Since our last meeting, we received a petition to
20 amend the bulk drug substances list to include one more drug
21 - D-ribose. The nominator intends to supply the substance
22 as a powder and as a sterile concentrated solution for IV
23 injection.

24 Unfortunately, we didn't receive this nomination
25 in enough time to review and present it at this meeting,

1 however, as part of our deliberations on this substance, we
2 will in the future consult with the USP and this committee
3 as required by statute. We will go through the formal
4 rulemaking process before we take a final action on
5 D-ribose.

6 [Slide.

7 At our last meeting, we discussed the Memorandum
8 of Understanding that FDA is developing in consultation with
9 the National Association of Boards of Pharmacy. The MOU is
10 designed to address the interstate distribution of
11 compounded drugs, and a compounded drug may qualify for the
12 exemptions of 503A that I mentioned before if, among other
13 conditions, either of these two conditions apply, and that
14 is, that it is in a state in which the drug is compounded,
15 and that state has entered into an MOU with FDA.

16 If this is the case, then, the MOU must address
17 the distribution that inordinate amounts of compounded drug
18 outside of the state and the MOU must provide for the
19 appropriate investigation by the state of complaints related
20 to compounded drugs which are distributed outside of the
21 state.

22 On the other hand, if the state in which the drug
23 is compounded has not entered into an MOU, then, the amount
24 of compounded drug products shipped out of the state by the
25 licensed pharmacy would not be permitted to exceed 5 percent

1 of the total prescription orders dispensed or distributed by
2 the pharmacy and still qualify for the exemptions from the
3 law.

4 One of the elements that must be defined in the
5 MOU is guidance on the meaning of "inordinate amounts," and
6 also a safe harbor in excess of 5 percent which would not be
7 considered to be inordinate.

8 [Slide.

9 We have been working with NABP to develop the
10 draft standard MOU as required by the law, and although the
11 law did not require it, because of the complicated nature of
12 this provision, FDA did publish the draft standard MOU in
13 the Federal Register in January of 1999, and we made it
14 available for public comment.

15 The MOU generated a tremendous amount of interest
16 and we wound up reopening and extending the comment period t
17 twice, in March and again in June of 1999. By the end of
18 August, we had received over 6,000 comments from a variety
19 of sources, from trade associations, from compounding
20 pharmacies, prescribers, as well as 13 state boards of
21 pharmacy.

22 A large proportion of the comments that we
23 received were as form letters from customers from several
24 large compounding pharmacies.

25 To kind of put the volume of comments received

1 into perspective, we received a little over 200 comments on
2 the bulk drug substances proposed rule and approximately 30
3 comments on the withdrawn and removed proposed rule.

4 Most of the comments that we received on the MOU
5 centered around the definition of the term "inordinate"
6 although we also received some comments on the portion of
7 the MOU that deals with the investigation of complaints.

8 We have reviewed the comments and we understand
9 and we are sympathetic to many of the concerns voiced, so we
10 have continued to consult with the National Association of
11 Boards of Pharmacy, and we are revising the draft standard
12 MOU in the areas which were the primary focus of comments.

13 We intend to republish the revised draft MOU and
14 again solicit comments from the public. After we consider
15 those comments and we revise the draft, we intend to
16 finalize the MOU and make it available for signature by
17 individual state agencies.

18 We plan to continue to exercise our enforcement
19 discretion and will not normally take regulatory action
20 regarding this requirement until at least after 90 days
21 after the standard MOU is finalized and made available for
22 signature.

23 [Slide.

24 I would like to talk just a little bit about our
25 general implementing regulations. In addition to the three

1 specific regulations which list drugs which may or may not
2 be compounded, and the MOU which I just described, we are
3 continuing to work on a proposed rule which will address
4 general regulations necessary to implement certain
5 provisions of the pharmacy compounding law although most of
6 the provisions of 503A are self-implementing.

7 In our proposed regulations, we will define what
8 it means to compound regularly or in inordinate quantities,
9 copies of commercially available drug products that will not
10 qualify for the exemption from the Act, and the regulation
11 will also address such things as supplying of compounded
12 drugs to physicians for office stock, the labeling of
13 compounded drugs, and elements of the certificate of
14 analysis which is required to accompany bulk drug
15 substances.

16 The regulations will, of course, go through notice
17 and comment rulemaking, and we hope to publish something in
18 the near future.

19 Before we begin our in-depth discussions of the
20 two topics which are the subject of this meeting, the
21 additions to the list of the drugs that have been withdrawn
22 or removed from the market and may not be compounded under
23 the exemptions of 503A, and our preliminary thoughts on the
24 list of drugs that are demonstrably difficult to compound, I
25 would like to turn to Jane Axelrad, who will discuss some

1 additional recent development in the bulks drug arena.

2 DR. JUHL: Before we move on, are there questions
3 for Lana about any of the topics that she covered?

4 [No response.]

5 DR. JUHL: Any rough idea of how long it will be
6 before the MOU will be available?

7 MS. OGRAM: It will be repropose, republished,
8 and I would say that is months away.

9 DR. JUHL: Sometime before the end of the year
10 perhaps?

11 MS. OGRAM: Yes.

12 DR. JUHL: Okay. Has there been any activity at
13 the state board level with regard to enforcement of any of
14 the provisions that you are aware of?

15 MS. OGRAM: There has been some state activity.
16 As you know, we do have a final rule in the area Withdrawn
17 and Removed Drugs, so pharmacies that are compounding those
18 types of drugs are going through a warning process. Since
19 the law is fairly new, we are making sure that compounders
20 that are working in that area are being advised, and then we
21 will take action if there is a continuation of compounding
22 of drugs that are on that list.

23 DR. JUHL: Are the state boards taking the lead on
24 this and consulting with you? How is that working?

25 MS. OGRAM: We are working with the state boards,

1 it's a partnership. Information has come to us, and we are
2 sharing information with the state boards and working
3 together.

4 DR. JUHL: Okay. Good. Thank you.

5 Jane.

6 **3,4-diaminopyridine and 4-aminopyridine -**

7 **Updates to the Committee**

8 **Introduction**

9 [Slide.

10 MS. AXELRAD: We, at the meeting a year ago, when
11 we were discussing the bulks list, we discussed two compound
12 that had been nominated for consideration on the list -
13 4-aminopyridine, which is being used to treat a spinal cord
14 injury and multiple sclerosis, and 3,4-diaminopyridine,
15 which is principally used to treat Lambert-Eaton's disease.

16 Those were two of the compounds that we had
17 originally indicated that we had concerns about and that we
18 were consulting with the committee, and we were not
19 proposing to put them on the list because we had concerns
20 about the toxicity profile with those drugs, and we had
21 presentations by two firms at the meeting who indicated that
22 they were studying those drugs under INDs.

23 At the time, the Advisory Committee asked us to
24 give them an update, and this is the first time we have met
25 since then, so we wanted to provide you with an update on

1 where we stand in terms of working with the companies on
2 making those drugs available under open label trials.

3 As you will recall, the committee voted against
4 putting those drugs on the bulk drugs list, but we wanted to
5 provide you the update about where we were. I spoke with
6 Dr. Jacobus from Jacobus Pharmaceuticals who produces
7 3,4-diaminopyridine and who is involved in studies in that,
8 and Dr. Jacobus has authorized me to tell you that they have
9 submitted a protocol to us for an open label trial and that
10 they believe, and we also believe, that we can work out the
11 details of that, and that they will be able to supply any of
12 the patients with Lambert-Eaton's disease with
13 3,4-diaminopyridine.

14 We have representatives here from Acorda
15 Pharmaceuticals who will address the situation with regard
16 to 4-aminopyridine.

17 DR. JUHL: We have Dr. Andrew Blight, Vice
18 President of Research and Development from Acorda, and Dr.
19 Veronica Mallon, Vice President of Intellectual Property and
20 Government Affairs.

21 I presume you are Dr. Blight.

22 DR. BLIGHT: Yes.

23 DR. JUHL: Welcome.

24 **Acorda Therapeutics**

25 DR. BLIGHT: I am, in fact, Andrew Blight. I am

1 here today to answer any questions that you may have
2 regarding Acorda Therapeutics and the proposed expanded
3 access program.

4 I know we were not originally on the agenda,
5 therefore, I will keep my statement brief and leave details
6 to questions should you have them.

7 As you know, at the meeting in May of '99, Acorda
8 agreed to initiate an expanded access program that would
9 make its sustained released formulation of fampridine
10 available to patients who are currently taking compounded
11 formulations, and it's with sincere regret that I have to
12 report that Acorda does not feel it is possible to initiate
13 an expanded access study of fampridine at the present time.

14 This is a difficult position for us, as you will
15 realize, because we took our commitment very serious and
16 expended a great deal of effort towards that goal. The
17 reasons for the change in our outlook are quite
18 straightforward.

19 As intended following the 1999 meeting, we planned
20 extensively for the study. We retained a specialize
21 clinical research organization and put together two
22 protocols that were submitted to the FDA in December of last
23 year.

24 In the process of doing this, it became clear to
25 us and to our board of directors that such a program would

1 constitute a much larger drain on our resources and capital
2 than we initially envisioned when we met with you last year.

3 In trying to assess the actual costs of
4 undertaking this kind of study, we found that it wasn't
5 possible to obtain sufficiently detailed information about
6 the numbers of patients already taking compounded
7 fampridine, the doses that they receive, or the proportion
8 of cost recovery that we might reasonably expect.

9 Without such information on which to base a
10 detailed plan and budget, we believe it would be
11 irresponsible for a small company like ours with no current
12 revenue stream to initiate such an open-ended study.

13 This is particularly because, of course, it would
14 threaten our ability to proceed in a timely manner with a
15 clinical development program designed to bring fampridine to
16 regulatory approval.

17 So, in summary, I repeat that we regret our
18 inability to provide an immediate solution for people
19 currently taking this drug, however, I will add that our
20 development program is on track with active Phase II studies
21 in both MS and spinal cord injury, and plans for entering
22 Phase III in the next calendar year.

23 Our primary concern as a company must be to
24 complete our development program for fampridine in these two
25 indications, so that everyone who may benefit can have

1 access to a well-characterized drug with demonstrated
2 efficacy and safety.

3 I would be happy to answer any questions that you
4 may have.

5 Thank you.

6 **Committee Discussion**

7 DR. JUHL: Questions from the committee?

8 MR. TRISSEL: Maybe this is more directed to the
9 agency. Where does the situation stand with the
10 implementation of 4-AP on to any list?

11 MS. AXELRAD: We haven't published the final list
12 yet, but I don't think it is any secret that we really
13 changed our position since we proposed it to the committee.
14 You know, we are considering the comments, but we had some
15 fairly serious concerns about the drug.

16 We indicated that although we would try to work
17 with the company to make it available under an open label
18 trial, our decision on whether to put it on the list did not
19 depend on whether it would be made available in that
20 situation.

21 We felt that since this was a drug to treat a
22 serious life-threatening disease, and it had a significant
23 toxicity profile, it was only in very early trials, and we
24 really did not have any sound evidence that it would work,
25 that we did not feel that it should go on the list.

1 I don't think that the fact that it won't be made
2 available is likely to change that decision.

3 Also, we, too, have been trying to get a better
4 handle on how many patients are out there. The only thing
5 that we have are the representations from IACP of the
6 numbers of patients that are actually on the drug. We have
7 talked to people with the Multiple Sclerosis Society and
8 some of the other organizations, and it may not be that
9 there are that many patients on it.

10 We are having the same difficulty that the company
11 has in trying to estimate how many patients are actually
12 taking this and how many would be affected, but I think that
13 we agree that the best thing to do is to get this drug
14 studied under an IND and if it shows that it is safe and
15 effective, then, it can be made available to everybody who
16 needs it under an approved application.

17 DR. JUHL: Could I ask, then, the reason that this
18 would be not be financially feasible for the company is that
19 the access would have to be expanded to too many patients
20 for you to afford?

21 DR. BLIGHT: No. Really, the issue is one of
22 needing to be able to plan for it, so that the cost recovery
23 could make this self-sustaining. As a small company, we
24 don't have the resources obviously to undertake this based
25 on existing capital because that would derail our

1 development program.

2 DR. JUHL: So, what are your estimates of the
3 number of patients that would be involved in such a
4 possibility?

5 DR. BLIGHT: As Jane said, we really don't have an
6 estimate that we can put any reliance on. Originally, I
7 think we had estimates that were in the range of 10- to
8 20,000 patients, and what calculations we could make based
9 on existing information suggested that the numbers might be
10 much lower than that.

11 Clearly, in a cost-sharing program of this kind,
12 the fewer patients you have, the most expensive that it will
13 become for each individual. The point that it becomes a
14 positive feedback, where less and less people enroll. So,
15 that was the major -- the major issue was that it was very
16 difficult to know what the costs would be and how they could
17 be planned for.

18 DR. JUHL: If I could go back to the minutes of
19 the last meeting, you weren't the person who appeared the
20 last time, but the committee felt intimidated by the
21 presentation from your company.

22 Let me read from the minutes. "With this in mind"
23 -- this was Dr. Cohen reading -- "Acorda has informed CDER
24 that if fampridine is not placed on the list on allowable
25 substances for compounding, Acorda would be willing to

1 sponsor long-term expanded access, clinical study of our
2 formulation."

3 Later on he continues, "If the compound fampridine
4 is allowed, we will be unable to offer such a program." At
5 least in my recommendation, that weighed heavily on what I
6 decided to recommend to the agency. Now, the agency
7 obviously has the last word in this, but it appears to me to
8 be rather irresponsible for your company to come in with
9 such a strong position, be so cocksure of what it is that
10 you are going to do, tell the committee you had better not
11 put this drug on the list for pharmacists to use, because
12 otherwise we won't do the program, and now a year later you
13 are not doing the program.

14 Why is that?

15 DR. BLIGHT: I think that statement was factually
16 correct, that if, in fact, compounding were to continue,
17 there would be very little pressure on us to put forward an
18 expanded access program at this time in the development
19 process.

20 I am sure we are very sorry if that came across as
21 being an attempted intimidation. That was simply a
22 statement, I think at its base of the situation as it stood
23 from our point of view, and it was very clear that that was
24 only our point of view and that clearly, there are other
25 issues to be considered. So, I would apologize for such an

1 impression, and I am sure Dr. Cohen would also if he were
2 here.

3 DR. JUHL: Dr. Allen.

4 DR. ALLEN: I guess a couple of comments. One, I
5 believe the vote of the committee was very dependent upon
6 the input from the manufacturer and the understanding that
7 they would follow through, so I think I would like to
8 request that that be revisited at some point in time.

9 As far as having patients available for your
10 clinical studies, in many clinical studies, you know, the
11 drug products are provided free of charge to the
12 participants in the studies, whereas, in the current
13 distribution system for compounding, the patients have to
14 pay for the product, so there is an incentive for them to be
15 a part of a clinical study.

16 So, I am not sure that it would adversely affect
17 the number of participants in the studies, you know,
18 provided you had your principals involved. So, I guess I
19 would like to request that we at some point revisit this
20 bulk drug substance.

21 DR. JUHL: Larry.

22 MR. TRISSEL: I would like to second that. We
23 certainly discussed the extent of the potential patient load
24 for your company, and 10- and 20,000 patients was discussed,
25 and the company assured us that they could meet that with no

1 problem.

2 There were some of us who thought that was
3 probably not going to happen, and apparently that was true.
4 I think it is incumbent on us now to revisit this issue
5 based on the reality of the situation the way it is now,
6 because the committee's consideration was based on fault
7 information provided by Acorda.

8 DR. BLIGHT: I think that, in fact, if the numbers
9 could be assured to be between 10- and 20,000, it would be
10 possible to calculate sufficiently well to produce such a
11 study, but if, in fact, the numbers fall significantly below
12 10,000, then, it becomes much more difficult to distribute
13 the costs of such a study, and that is the major concern,
14 not that it would be too big, but that it would be not big
15 enough.

16 DR. JUHL: Are you more interested in patients
17 with MS or spinal cord injuries?

18 DR. BLIGHT: Well, as a company, we were founded
19 primarily to develop therapies for spinal cord injury, but
20 because of our interest in demyelination in spinal cord
21 injury, we have broadened that to include MS.

22 So, we are now equally interested in both
23 conditions, although our roots are in the spinal cord injury
24 side of this.

25 DR. SELLERS: I would just like to comment that

1 supply was not one of the criteria that we were supposed to
2 base our decision on, and we would have to reestablish new
3 criteria for listing drugs on the list.

4 DR. JUHL: Other comments?

5 [No response.]

6 DR. BLIGHT: Thank you.

7 DR. JUHL: Thank you.

8 I believe given the situation that has occurred,
9 at anytime anyone can repropose that a drug be reconsidered
10 for the bulks list, and as disappointed as I am at the
11 company not being able to fulfill the promises that were
12 made to us with some degree of emphasis, neither have we
13 seen the development of information about people who were on
14 this drug, who have been severely and adversely affected by
15 it either, so I think we also need to call upon the
16 community who would propose that the drug be reconsidered to
17 provide information to the agency that could be examined by
18 the committee in order to reexamine the issue, if that meets
19 with the committee's approval and if I make a bit of sense
20 to you.

21 DR. ALLEN: Just one comment along those lines, it
22 is my understanding that as soon as the bulks list becomes
23 official, the compounding can no longer be done on anything
24 that does not meet the FDAMA requirements, USP, NF, et
25 cetera, et cetera.

1 So, if this continues on the list to not be
2 included and the bulks list is published sometime in the
3 next few months, and it may be longer than that, and it may
4 be longer than that before we meeting again, that means
5 there may be an interim period of time where this product
6 could not be compounded.

7 Could we ask that this product be put on hold
8 until we review it? Do you see what I mean?

9 DR. JUHL: I understand. Does the agency have a
10 response to that?

11 MS. AXELRAD: Well, I think that there is two ways
12 to go here. We don't have any additional technical
13 information to present on this. I am not sure what
14 information you would be seeking to have someone who wants
15 it reconsidered that they would propose.

16 I am not sure you are looking for technical
17 information about the safety of the product. I gather you
18 are looking for how many people are actually taking it and
19 what effect would it have if it was not made available to
20 them.

21 DR. JUHL: I guess what I am suggesting is that on
22 the MOU, for example, compounding pharmacists generated
23 6,000 responses to the agency on your request for comment.
24 Compounding pharmacists at this point haven't generated that
25 kind of information and comments on this drug.

1 If those patients are out there, I would really
2 like to know about it. That was really the concern of the
3 committee, are there patients believe, and their physicians
4 believe, that they are being helped by this, that will no
5 longer have it available to them.

6 A year ago we had some concern. We were told some
7 numbers. The agency was quite clear in its decision about
8 the drug. That has not seemed to raise alarm amongst
9 patients, pharmacists, or physicians regarding the drug. I
10 guess that is the kind of information that I thought should
11 we make one more last plea for.

12 MR. TRISSEL: But also we were expecting to have a
13 program in place, so no one should have been particularly
14 concerned. The drug would be available through Acorda, but
15 that didn't happen, and now we are going to have a potential
16 where the drug is in some sort of limbo state that makes it
17 unavailable for patients, and that is different than what we
18 had been led to believe last time.

19 MS. AXELRAD: I would like to say we did that in
20 the proposed rule where we were not going to put 4-AP on the
21 list. We received a number of comments, somewhere in the
22 neighborhood of 200. Somebody can correct me, but my
23 recollection is it is somewhere in the neighborhood of 200
24 comments on 4-AP from MS patients, families and friends of
25 MS patients, physicians, and other individuals in favor of

1 including the substance on the book drugs list, but the
2 majority of these were letter and E-mail testimonials about
3 the benefits of 4-AP, and they really did not provide any
4 scientific data that contradicted the information that we
5 have. I mean they are just anecdotal statements of how it
6 may have worked in individual cases, which is a far cry from
7 scientific data that shows that the drug is, in fact,
8 effective, which is why we believe that it ought to be
9 studies in adequate and well-controlled trials, because
10 there is a large placebo effect and unless you do those
11 kinds of trials, you really don't know.

12 Also, it needs to be studied in numbers of
13 patients before you can get a true safety profile. We
14 already know that there are some concerns about this. We
15 have somebody here from the division who is probably better
16 able to address this if you wanted more detail.

17 But I guess what I am saying is we did get a lot
18 of comments on this. We didn't get any scientific evidence
19 that would suggest a change.

20 DR. JUHL: Well, I think it is kind of ridiculous
21 to expect that you are going to get scientific evidence when
22 we know there isn't any. The committee is concerned there
23 is patients who are receiving the drug, they and their
24 physicians believe that they are receiving benefit. They
25 are going to be put in a situation of not having it, or they

1 and their pharmacists are going to be breakers of the law.

2 We were looking for a way around that. The
3 company promised us a way around that, and now we don't have
4 that anymore. So, it is the concern for those 200 patients
5 who sent in their testimonials, and that is all we have at
6 this point.

7 MS. AXELRAD: What I am suggesting is that rather
8 than having us put it on hold, if the committee would like
9 to revote now. I don't perceive that by putting it on hold
10 we would be getting any additional scientific evidence for
11 the committee to consider that would justify us waiting
12 until the fall.

13 What I would suggest is the committee could
14 revote.

15 DR. JUHL: We are talking right past each other.
16 We are not looking for scientific evidence. Again, what we
17 are looking for is some estimation of the number of patients
18 who are going to be inconvenienced and possibly adversely
19 affected by having the drug removed from their access.

20 MS. AXELRAD: I have no way of getting that
21 number.

22 DR. JUHL: I understand that, but that's the issue
23 that I have for me anyway. If other members of the
24 committee would like to jump in -- Garnet?

25 DR. PECK: Since last year -- and I am not

1 prepared to present scientific data -- I have a concern over
2 stability. We have been approached about this compound, and
3 there is a feeling of interest about the stability of the
4 material. Consequently -- well, first of all, there may
5 have been information presented a year ago and I do not
6 remember it from the chemical standpoint, so I would
7 question the compound from that standpoint.

8 DR. JUHL: Yes, there was information that was
9 presented on the difficulty in providing a suitable
10 formulation.

11 DR. PECK: Well, that is the reason for the
12 controlled release system, it supposedly stabilizes it, but
13 basically, it is my understanding that there is a stability
14 problem, and it should be addressed. So, I have a concern
15 even though there have been patients taking the drug.

16 DR. SELLERS: At our last meeting, we were
17 instructed not to use supply as a basis for deciding whether
18 or not we should list these drugs. In addition, we also
19 discussed the availability of these drugs under emergency
20 INDs. I think we need to remember that in this discussion.

21 DR. JUHL: Other comments?

22 MS. AXELRAD: Dr. Juhl, what I was going to
23 suggest is that we can just assume, you know, that there is
24 at least a large number of patients that are taking this
25 drug. It may be in neighborhood of, you know, anywhere over

1 1,000 patients are on it, and the committee, without sort of
2 stipulation, might want to take another vote based on the
3 evidence that they heard, and determine whether given that
4 it may not be available to those patients if it is not put
5 on the list, you know, whether they want to change their
6 position having heard the statements about the toxicity of
7 the drug and our position about the need to study it under
8 an IND.

9 DR. JUHL: Maybe if I could ask Dr. Blight, what
10 is your status of your controlled clinical trials and the
11 need for enrollment in, and the availability, of the trials
12 to patients in distant geographies from where we are
13 studying.

14 DR. BLIGHT: As I mentioned, we are in Phase II
15 with both indications. We have just begun a 90-patient
16 study in spinal cord injury, just completed a 24-patient
17 study in MS that was addressing issues of dosage, and our
18 plan is to complete Phase II's in both indications by the
19 beginning of next year. It is obviously not clear what the
20 outcome will be, but in the best case, we would move into
21 Phase III's in the first half of next year and proceed from
22 there.

23 Obviously, this is not a predictable course for
24 any drug development, but it is in our interest, of course,
25 to do this as rapidly as we can, and our current time line

1 calls for completion of Phase III's again within the course
2 of a year, but we obviously cannot guarantee any stage of
3 the development program, but we are pushing ahead with it.

4 DR. JUHL: Thank you.

5 Loyd.

6 DR. ALLEN: Related to the stability that Garnet
7 brought up, I don't see any difficulty in determining the
8 stability of solid or oral dosage form as capsules and a
9 beyond use date, for example, could be published in the
10 literature, whether it is 15 days, 30 days, 45 days, 60
11 days.

12 We are certainly not looking for a two-year
13 expiration date, but something that would be appropriate
14 guarantee the purity and the stability of the product for a
15 reasonable amount of time. That should not be too difficult
16 to come up with.

17 DR. JUHL: But we don't have that data.

18 DR. ALLEN: Not yet. I think it could be
19 generated in a matter of a few months, though, pretty
20 quickly.

21 DR. McBURNEY: I am a little reluctant today to
22 reconsider the issue and vote on it because the data was
23 presented over a year ago, and I did not come prepared to
24 this meeting and have reviewed the data, so I would have
25 some reluctance at that today.

1 I would be glad to review it this evening if that
2 material was given to me, but that would be my concern as an
3 informed committee member.

4 MR. TRISSEL: Far be it from me to declare
5 stability not to be an important issue, and make a career
6 out of this, but these are two very significant health
7 problems that have no effective treatments, and to me,
8 stability, while the love of my life, may not be the most
9 important issue on a short-term, few weeks basis from
10 compounding.

11 To me, the treatment of someone with a spinal cord
12 injury or MS, where there is nothing else effective, may be
13 something to consider.

14 MS. LaFOLLETTE: I just wanted to reemphasize that
15 all these drugs can be available by an emergency IND. It is
16 not like we are eliminating patients from getting the drug.

17 DR. ALLEN: The emergency IND or the compassionate
18 IND is a topic that I would like to discuss at some point at
19 this meeting, to try to get more information. As I
20 understand it, it is a little bit more complex than just
21 filling out a single sheet of paper and submitting it.

22 So I think it would help us as committee members
23 to understand the situation a little better if we could have
24 a discussion of it and, you know, possibly look at some
25 alternatives.

1 DR. FEENEY: If I could address that. I am Dr.
2 Feeney from the Division of Neuropharm Drug Products.

3 The emergency IND regulations would allow us, on
4 an individual patient basis, to supply the drug, but I would
5 anticipate that whatever number of patients are on the drug
6 already, be it 1,000, be it 200, be it 10,000, would
7 eventually have to be supplied with drug, and to my
8 knowledge, right now Acorda is the only company in a
9 position to do that.

10 So, I don't know that financially, this puts them
11 in any different position than they would be if they opened
12 up a large open label treatment protocol.

13 MS. AXELRAD: Dr. Feeney, could we grant emergency
14 or compassionate use INDs if the pharmacist who was
15 compounding the drug indicated the formulation and how they
16 were preparing it? In other words, if the supply was coming
17 from a compounding pharmacist as opposed to from Acorda,
18 could we do that?

19 DR. FEENEY: I guess we would be able to do that.
20 That would be kind of a monumental task for our chemists to
21 review the individual data. It might be worth talking to
22 our chemists about that.

23 To give you an example of how the emergency IND
24 works, for diaminopyridine, Jacobus has been -- if the
25 patient needs diaminopyridine for Eaton-Lambert, they

1 currently get an emergency IND through their treating
2 physician with an LOA to Jacobus' chemistry.

3 MS. AXELRAD: That is a letter of authorization.

4 DR. FEENEY: And then Jacobus supplies the drug
5 free of charge. I don't know if Acorda would be willing to
6 do that.

7 DR. JUHL: I am assuming that they wouldn't. That
8 was kind of the situation that we had hoped would be
9 established, and the real question is can a patient who is
10 receiving a non-Acorda compounded product from a pharmacy
11 avail themselves to the IND process on a one-time emergency
12 basis, and if your contention is that they would need a
13 chemist review, similar to the chemist reviews that the
14 agency is accustomed to seeing, all that obviously isn't
15 something that a compounding pharmacist would be able to
16 provide.

17 DR. FEENEY: Sure, and beyond that, it does create
18 a certain roadblock in terms of paperwork for the patient
19 and their physician. So, you know it is not going to be
20 easy for them.

21 DR. JUHL: So, we have an answer about the IND.

22 MS. AXELRAD: I wouldn't rule it out. I mean I
23 think there are a lot of obstacles, I think, you know, we
24 would have to explore among ourselves exactly what the
25 paperwork would be. We do emergency -- there are different

1 kinds of INDs we are talking about here, but we would have
2 to see what would be involved in terms of chemistry.

3 It certainly isn't the kind of chemistry seen in
4 New Drug Application. The chemistry submission for an IND
5 is pretty basic. We would have to discuss how basic it
6 would be and what kind of paperwork would be involved for
7 all the parties, whether the pharmacist, the physician, and
8 the patient, and then whether we would have the resources to
9 deal with something of that size, you know, where we are
10 going to get 1,000 or these.

11 We could look into that.

12 DR. JUHL: Let's defer further discussion on this,
13 and if it is possible to get some clarification sometime
14 during the day on that topic.

15 MS. AXELRAD: We might be able to do that, but we
16 are going to be here, so it might be hard for us to get in
17 contact with all the people that we need to, to get back to
18 you.

19 DR. JUHL: Let's give it a try and then reconsider
20 this later on, if that is okay. You don't mind
21 procrastinating? Okay. Thank you.

22 Let us now move to the drugs withdrawn or removed
23 for reasons of safety or efficacy.

24 Captain Scott.

25 **Withdrawn or Removed List of Drug Products**

1 CPT SCOTT: Good morning. I will be talking with
2 you about several proposed additions to the list of drug
3 products that have been withdrawn or removed from the market
4 for reasons of safety or effectiveness.

5 First, I would like to briefly review what has
6 been done up to this point with the withdrawn or removed
7 drug product list and then I will present the five drug
8 products that we are proposing to add to the list.

9 [Slide.

10 As you know, one of the conditions of Section 503A
11 of the Act is that the licensed pharmacist or licensed
12 physician may not "compound a drug product that appears on a
13 list published by the Secretary in the Federal Register of
14 drug products that have been withdrawn or removed from the
15 market because such drug products or components of such drug
16 products have been found to be unsafe or not effective."

17 In October 1998, we presented to this committee 60
18 drug products, represented by 60 ingredient names, as
19 potential candidates for the Withdrawn or Removed Drug
20 Products list.

21 [Slide.

22 We published a proposed rule on October 8, 1998,
23 which was 63 FR 54082, for this first list containing the 60
24 drug products. The primary focus of the list was drug
25 products that have been withdrawn or removed from the market

1 for reasons of safety.

2 Because of a comment received to the proposed
3 rule, the agency decided to postpone final action on
4 parenteral drug products containing neomycin sulfate because
5 of the pendency of various administrative actions concerning
6 that drug. That brought us to 59 drug products.

7 [Slide.

8 The final rule, published on March 8, 1999, and it
9 was in 64 FR 10944, and it contained 59 drug products that
10 may not be used for pharmacy compounding under the
11 exemptions in Section 503A of the Act.

12 [Slide.

13 I would now like to briefly discuss five
14 additional drug products that we are proposing to include on
15 the Withdrawn or Removed Drug Products list.

16 The first two drug products are aminopyrine and
17 astemizole. On January 4, 2000, in 65 FR 256, we published
18 a proposed rule to include these two drug products on the
19 Withdrawn or Removed list.

20 The other three drug products, cisapride,
21 grepafloxacin, and troglitazone, were identified after we
22 had prepared the proposed rule. They will appear in a
23 future proposed rule proposing to add them to this list.

24 [Slide.

25 Aminopyrine, which is chemically related to

1 dipyrone, a drug product already on the list, was
2 inadvertently overlooked during our first review and is now
3 being proposed for addition to the list, and we are
4 proposing to add it to the list, so that no drug products
5 may be compounded using aminopyrine.

6 [Slide.

7 Drug products containing aminopyrine were used as
8 an analgesic and an antipyretic. Aminopyrine caused
9 agranulocytosis, some cases of which were fatal. In 1964,
10 we declared drug products containing aminopyrine to be new
11 drugs.

12 We invited New Drug Applications, NDAs, for these
13 drug products, but only for use as an antipyretic in serious
14 situations where other safer drugs could not be used. We
15 received no NDAs for the drug products containing
16 aminopyrine, and so those unapproved drug products were
17 removed from the market by their manufacturers, and that was
18 42 FR 53954, October 4th of 1977.

19 [Slide.

20 The second drug product appearing in the January
21 proposed rule is astemizole, and we are also proposing that
22 no drug products may be compounded using astemizole.

23 Astemizole tablets were marketed under the
24 proprietary name Hismanal and were indicated for the relief
25 of symptoms associated with seasonal allergic rhinitis and

1 chronic idiopathic urticaria. We approved the NDA for
2 astemizole tablets in December of 1988.

3 Within a few years of the approval, it was learned
4 that low-level overdosages of astemizole were resulting in
5 life-threatening heart arrhythmias. Patients with liver
6 dysfunction or who were taking other drugs that interfered
7 with the metabolism of astemizole were also found to be at
8 risk of serious cardiac adverse events while taking this
9 drug.

10 The manufacturer of astemizole tablets, the only
11 astemizole drug product on the market, removed the product
12 from the market on June 18, 1999. A notice was published in
13 the Federal Register on August 23, 1999, 64 FR 45973,
14 announcing FDA's determination that astemizole tablets were
15 withdrawn from the market for safety reasons.

16 [Slide.

17 As I mentioned before, the following three drug
18 products that I will present are not in the current proposed
19 role. This is because the proposed rule was prepared prior
20 to the removal from the market for safety reasons.

21 The first one is cisapride, and we are proposing
22 that all products may not be compounded using cisapride.

23 Cisapride tablets and suspension are marketed
24 under the proprietary name Propulsid and are indicated for
25 severe nighttime heartburn experienced by adults with

1 gastroesophageal reflux disease not adequately responding to
2 other therapies.

3 We approved the tablets on July 29, 1993, and the
4 suspension on September 15, 1995. As of December 31, 1999,
5 use of cisapride had been associated with 341 reports of
6 heart rhythm abnormalities, including 80 reports of deaths.

7 Most of these adverse events occurred in patients
8 who were taking other medications or suffering from
9 underlying conditions known to increase the risk of cardiac
10 arrhythmia associated with cisapride.

11 Because of the risk of serious cardiac
12 arrhythmias, including ventricular tachycardia, ventricular
13 fibrillation, torsades de pointes, QT prolongation, and
14 death associated with use of cisapride in certain patients,
15 the manufacturer of cisapride, in consultation with the FDA,
16 has decided to discontinue marketing both cisapride tablets
17 and suspension as of July 14, 2000, and make them available
18 only through an investigational limited access program.

19 Because cisapride will only be available through
20 treatment protocols under this limited access program,
21 institutional review board approval, completion of a Form
22 1572, and signed informed consent will be required.

23 [Slide.

24 The next drug is grepafloxacin, and again all
25 products containing grepafloxacin we are proposing should

1 not be compounded.

2 Grepafloxacin tablets were marketed under the
3 proprietary name Raxar. Grepafloxacin is a fluoroquinolone
4 antibiotic indicated for the treatment of infections caused
5 by strains of bacteria susceptible to the drug, such as
6 community acquired pneumonia, chronic bronchitis, and
7 various sexually transmitted diseases.

8 We approved grepafloxacin tablets on November 11,
9 1997, and Glaxo Wellcome, who marketed grepafloxacin under a
10 licensing agreement with Otsuka, voluntarily removed all
11 licensed formulations of grepafloxacin from the market on
12 October 27, 1999, as a result of emerging safety concerns.

13 Glaxo Wellcome conducted an extensive review of
14 the safety of grepafloxacin and determined that due to an
15 effect of the drug on cardiac repolarization, manifested as
16 QT interval prolongation on the electrocardiogram, some
17 patients may be at risk of a very rare but serious
18 ventricular arrhythmia known as torsades disease pointes.

19 Although torsades disease pointes had been
20 reported very rarely in patients taking grepafloxacin, it
21 was considered by the company that the therapeutic benefit
22 of treatment with grepafloxacin may be outweighed by the
23 potential risk to patient safety, especially given the
24 availability of alternative treatments.

25 [Slide.

1 The last drug we will be discussing is
2 troglitazone. Again, all products containing troglitazone
3 are proposed not to be compounded.

4 Troglitazone tablets were marketed under the
5 proprietary name Rezulin. Troglitazone was indicated for
6 the treatment of type 2 diabetes mellitus. We approved the
7 tablets on January 29, 1997.

8 Severe liver toxicity has been known to occur with
9 troglitazone since 1997, and the drug has been linked to
10 reports of confirmed liver failures and deaths.

11 On March 21, 2000, the manufacturer removed
12 troglitazone tablets from the market due to a recent review
13 of safety data that had showed that troglitazone tablets
14 were more toxic to the liver than two similar drugs in its
15 class.

16 FDA felt that compared to similar alternative
17 diabetes drugs, the continued use of troglitazone tablets
18 posed an unacceptable risk to patients.

19 [Slide.]

20 In summary, we are proposing to update the
21 Withdrawn or Removed Drug Product list to add the five drug
22 products discussed above. We invite the Pharmacy
23 Compounding Advisory Committee to discuss these five
24 proposed drug products.

25 Specifically, we are seeking advice on whether

1 additional drug products should be added to the list and
2 whether the drug products now being proposed should go on
3 the list.

4 Thank you.

5 DR. JUHL: Thank you, Captain Scott.

6 Are there comments or questions from the
7 committee?

8 DR. ALLEN: So, the current discussion will be
9 primarily on all five or just the top two that action would
10 be taken on at this meeting? I am not clear.

11 DR. JUHL: I think the agency would be interested
12 in hearing comments on any of the five. I think in all
13 instances, these are drugs that have a clear record, they
14 have been withdrawn from the market, and in all instances,
15 there are alternatives with the possible exception of
16 cisapride, for which there is a limited use program that is
17 in force.

18 Do I assume by the lack of comments that there is
19 general agreement with the proposal?

20 MR. WELDER: I would just like to comment on the
21 cisapride. How tough will it be to get cisapride on special
22 needs?

23 CPT SCOTT: I have invited Dr. Talarico, the
24 division director from Gastrointestinal and Anticoagulation
25 Drug Products to speak on any questions you may have about

1 that.

2 DR. TALARICO: There are three limited-access
3 protocols available for cisapride. One is in the pediatric
4 population for newborns with very severe reflux which
5 manifests with apnea, bradycardia and other serious
6 complications.

7 The second is in adults with gastroparesis with
8 motility disorder. This is use of cisapride in off-label
9 use because the drug was approved initially only for
10 nocturnal heartburn. But the therapeutic value of the drug
11 was perceived to me more in this additional indication than
12 in the approved one.

13 And then the third protocol is for children with
14 failure to thrive because of reflux that would be beyond the
15 age of a few months of first group I mentioned.

16 There is a registration needed by the physician to
17 enroll and they will have to provide evidence that they can
18 comply with all the requirements, which are quite stringent,
19 for these protocols.

20 The physicians will be screened, will be found to
21 be suitable to handle these patients. There is a very
22 extensive, very detailed informed consent for both the
23 physician and the patients, any legal representative for the
24 patient, and so forth.

25 The hospital IRB will have to be informed of these

1 programs going on. So I would say they are limited-access
2 protocols to make sure that, first of all, the patients
3 enrolled is the patient who really needs the drug and that
4 the drug is used in the safest possible way.

5 These are the two aims of this program.

6 DR. JUHL: So, it would be safe at least in the
7 pediatric population to assume that the distribution would
8 in all likelihood be limited to specialists in children's
9 hospitals and other specialty tertiary care?

10 DR. TALARICO: Yes, neonatal intensive care unit
11 mostly. Some of them might continue the drug outside the
12 step-down units, but primarily in intensive care unit.

13 DR. JUHL: And for the adult populations?

14 DR. TALARICO: Adult population will be mostly
15 gastroenterologist specialty, board-certified
16 gastroenterologists with knowledge of the disease, so that
17 truly the patient selection is very, very stringent, very
18 appropriate, and that the drug is given appropriately.

19 The drug is influenced a lot in terms of safety by
20 concomitant factors like additional drugs that are
21 metabolized by the same pathways and by concomitant
22 underlying condition. It just so happened that many drugs
23 that interfere with the safety of cisapride are common
24 drugs, like antibiotics, for example, and many underlying
25 conditions are not grave diseases, are diseases that are

1 frequently found in patient population and older people.

2 That is the reason why this drug is unsafe,
3 because many factors continue to be a problem.

4 MR. WELDER: My concern, we have used cisapride,
5 and in pediatrics, my concern would be to get some of those
6 for those kids that need it, and from the comments made, it
7 was most of the patients that have problems had many other
8 problems and also were taking other meds, and the kids that
9 we have used it for, that would not be a criteria, because
10 they weren't on other meds that I know of.

11 DR. TALARICO: Yes, that limits quite a bit. Of
12 all the physicians or centers that have applied, the
13 requirements are so stringent that some have not pursued it,
14 and some have not found it to be suitable, so there is a big
15 selection in the process of all will be allowed to use
16 cisapride.

17 DR. JUHL: And this is in a patient-by-patient
18 selection.

19 DR. TALARICO: Yes, patient-by-patient selection.

20 DR. JUHL: And also I think it would be fair to
21 say that the scrutiny of the physician prescribing is quite
22 heavy, too.

23 DR. TALARICO: Oh, yes, indeed, yes.

24 DR. ALLEN: As I read the materials that were
25 provided, Janssen is only going to make the product

1 available through mid-August 2000. What will occur after
2 that point, will the patients' physicians not have it to
3 use?

4 DR. TALARICO: I am sorry?

5 DR. ALLEN: On the materials that were provided to
6 us, it states that Janssen will market the product until
7 July 14, 2000, and the product will remain available through
8 pharmacies until approximately mid-August 2000.

9 Does that mean that after --

10 DR. TALARICO: No, Janssen will cease distribution
11 on July 14, but today, they will continue to make it, the
12 formulation, or they might have enough that they anticipate
13 a substantive period of time suitable for deciding how
14 valuable this drug, or if a new drug, say, might come on the
15 market.

16 DR. ALLEN: Well, it states here --

17 DR. JUHL: Limited access will continue. It's the
18 in-pharmacy distribution --

19 DR. ALLEN: Well, this talks about the
20 investigational limited access program.

21 DR. TALARICO: Right.

22 DR. JUHL: Oh, really.

23 DR. TALARICO: The limited access program is just
24 initiated, and will continue we don't know until when, but
25 the distribution, the marketing of the drug will stop as of

1 tomorrow.

2 DR. ALLEN: Maybe they have two different things
3 in this one paragraph, and it was a little bit confusing, I
4 guess.

5 So, in essence, this means that physicians that
6 will prescribe this will either need to be board certified
7 or eligible for board certification in specific areas, et
8 cetera.

9 DR. TALARICO: Yes. There will be many patients
10 who may indeed need the drug, and they may have some
11 contraindication, some very severe contraindication, and
12 that is typical of patients that is still at this point
13 difficult to determine.

14 I am not sure whether there will be a
15 compassionate use additional distribution. We haven't
16 looked into that yet, whether the responsibility will be
17 strictly between patient and the physician.

18 DR. ALLEN: I guess my concern would be a lot of
19 the individual patient's physicians that don't live close to
20 major medical centers, you know, to try to be involved in a
21 study like this, it's the death knell, you know, for that
22 patient, they will not go through the necessary processes,
23 and with the hundreds of thousands of patients that have
24 been on cisapride successfully, you know, I would hope that
25 those patients could continue, the specific pediatric

1 patients would have access to the drug until something new
2 came along.

3 DR. TALARICO: The newborn population, it is
4 straightforward, because they will have to be in neonatal
5 intensive care unit. The adult patient with gastroparesis
6 represents probably the biggest population where there might
7 be limited access to research center, sophisticated
8 hospitals, et cetera, but then it will be on the physician
9 responsibility to document that the diagnosis has been done
10 appropriately.

11 In other words, even a known specialist can
12 communicate with some specialists, they can have
13 consultations and make sure that the diagnosis has been made
14 accurately.

15 DR. JUHL: Other questions or comments?

16 [No response.]

17 DR. JUHL: Then, if I could reassert, I am
18 assuming that lack of comments means agreement by the
19 committee that these drugs that have been withdrawn for
20 reasons of safety, we would recommend that they be added to
21 the list, that they not be available for pharmacy
22 compounding.

23 DR. ALLEN: Would that be the top two that have
24 been requested, that have already been withdrawn, thinking
25 the other three have not been withdrawn yet?

1 MS. AXELRAD: They may never be withdrawn. I mean
2 most drugs, and many of the drugs that we considered before,
3 are never formally withdrawn. They don't have to
4 necessarily withdraw the application. As long as they are
5 withdrawn from the market for reasons of safety, we believe
6 that they ought to go on the list.

7 DR. JUHL: And I again was taking the group as a
8 group of five. If anyone wants to consider them
9 individually, I would be happy to do that, too.

10 DR. ALLEN: Could we consider them individually?

11 DR. JUHL: We can indeed.

12 First taking aminopyrine. May I assume
13 concurrence with aminopyrine?

14 The shaking of heads, are we willing to do that?
15 The shaking of heads doesn't show up in the transcript is my
16 reason for -- we haven't been asked for a vote, but --

17 MS. AXELRAD: You should take a vote. We should
18 have asked you to take a vote.

19 DR. JUHL: You want to? Okay.

20 MS. AXELRAD: Why don't you just vote on each one,
21 and then we will have a clear record.

22 DR. JUHL: Let's do it formally then.

23 Those who are eligible to vote that concur with
24 aminopyrine being put on the Withdrawn for Reasons of Safety
25 and therefore not available for pharmacy compounding list,

1 please signify by raising your hand.

2 [Show of hands.]

3 DR. JUHL: Opposed?

4 [No response.]

5 DR. JUHL: None were opposed. It was unanimous.

6 Astemizole. Comments?

7 [No response.]

8 DR. JUHL: Same question. Those who would
9 recommend that it be placed on the withdrawn list, and not
10 available for pharmacy compounding, please raise your hands.

11 [Show of hands.]

12 DR. JUHL: Opposed?

13 [No response.]

14 DR. JUHL: Again, it's unanimous.

15 Cisapride. Additional comments?

16 MR. RUSHO: In our hospital, the withdrawal of
17 cisapride has not made any impact at all. Physicians have
18 been able to go to proton pump inhibitors and other things,
19 and the loss of cisapride has not been significant.

20 DR. JUHL: Other comments?

21 [No response.]

22 DR. JUHL: If not, the same question. Those who
23 would recommend that cisapride be placed on the withdrawn
24 and therefore not available for pharmacy compounding list,
25 please signify by raising your hand.

1 [Show of hands.]

2 DR. JUHL: Opposed?

3 [One hand raised.]

4 DR. JUHL: One opposed. And how many voting?

5 Nine in favor of the recommendation, 1 opposed -- excuse me

6 -- 8 in favor, 1 opposed.

7 Grepafloxacin. Comments?

8 [No response.]

9 DR. JUHL: All those who would recommend to the
10 agency that grepafloxacin be placed on the withdrawn list
11 and therefore not available for pharmacy compounding, please
12 signify by raising your hand.

13 [Show of hands.]

14 DR. JUHL: Opposed?

15 [No response.]

16 DR. JUHL: It's unanimous.

17 Troglitazone. Comments? Discussion?

18 [No response.]

19 DR. JUHL: All those who would recommend that
20 troglitazone be placed on the withdrawn and therefore not
21 available for pharmacy compounding list, please signify by
22 raising your hand.

23 [Show of hands.]

24 DR. JUHL: Any opposed?

25 [No response.]

1 DR. JUHL: None opposed. It also is unanimous.
2 Additional comments on the Withdrawn for Safety
3 list?

4 [No response.]

5 DR. JUHL: If not, we are five minutes early. We
6 will take a 15-minute break and reconvene at 10:10.

7 [Recess.]

8 DR. JUHL: We will reconvene. Our next topic is
9 the Demonstrably Difficult to Compound. Section 127 of
10 FDAMA instructed the agency to prepare a list of drug
11 products that had demonstrable difficulties in compounding.

12 This is the first introduction that we, as a
13 committee, have had to the white paper on this topic, and to
14 get us started is Dr. Kathleen Anderson.

15 **Demonstrably Difficult to Compound**

16 **Introduction: Factors and Approach**

17 DR. ANDERSON: Good morning.

18 [Slide.

19 I will be providing an overview of the approach
20 FDA is proposing to use in developing the Difficult to
21 Compound list. This afternoon, our CDER experts will
22 present the scientific rationales based on our preliminary
23 findings for including certain products on this list. They
24 will also be available to answer any technical questions.

25 [Slide.

1 Section 503A(b) (3) (a) of the Federal Food, Drug,
2 and Cosmetic Act states that "a drug product may be
3 compounded under Subsection (a) only if such drug product is
4 not identified by the Secretary by regulation as a drug
5 product that presents demonstrable difficulties for
6 compounding that reasonably demonstrate an adverse effect on
7 the safety or effectiveness of that drug product."

8 To do this we convened an internal agency work
9 group and worked with experts from CDER. It was decided
10 that the best approach to develop this list would be to
11 identify criteria or factors that we could use to evaluate
12 products for inclusion on the list.

13 [Slide.

14 In developing these factors, we considered
15 attributes that could affect a product's safety and
16 efficacy, namely a product's potency, purity, and quality.
17 We then identified factors that we could use to help us
18 evaluate the effect of compounding on a product's potency,
19 purity, and quality.

20 [Slide.

21 The first factor is the drug delivery system. Is
22 a sophisticated drug delivery system required to ensure
23 dosing accuracy and/or reproducibility?

24 [Slide.

25 Another factor was drug formulation and

1 consistency. Is the sophisticated formulation of the drug
2 product required to ensure dosing accuracy and/or
3 reproducibility?

4 Because of the sophisticated formulation, is
5 product-to-product uniformity of the drug product often
6 difficult to achieve?

7 Is the safety or efficacy of the product a concern
8 if there is product-to-product variability?

9 [Slide.

10 The third factor is bioavailability. Is it
11 difficult to achieve and maintain a uniformly bioavailable
12 dosage form?

13 Is the safety or efficacy of the product a concern
14 if the bioavailability varies?

15 [Slide.

16 Complexity of Compounding. Is the compounding of
17 the drug product complex?

18 Are there multiple, complicated or interrelated
19 steps?

20 Is there a significant potential for error in one
21 or more of the steps that could affect drug safety or
22 effectiveness?

23 [Slide.

24 Facilities and Equipment. Are sophisticated
25 facilities and/or equipment required to ensure proper

1 compounding of the drug product?

2 Is there a significant potential for error in the
3 use of the facilities or equipment that could affect the
4 drug safety or effectiveness?

5 [Slide.

6 Training. Is specialized, highly technical
7 training essential to ensure proper compounding of the drug
8 product?

9 [Slide.

10 Testing and Quality Assurance. Is sophisticated,
11 difficult to perform testing of the compounded drug product
12 required to ensure potency, purity, performance
13 characteristics, or other important characteristics prior to
14 dispensing?

15 Is there a significant potential for harm if the
16 product is compounded without proper quality assurance
17 procedures and end-product testing?

18 [Slide.

19 We propose to evaluate products in light of each
20 of these factors in developing the list of Difficult to
21 Compound Drugs. Each factor would be balanced on a
22 case-by-case basis in deciding whether a product would be an
23 appropriate candidate for inclusion in the list.

24 We seek input from this committee on any
25 additional factors that should be used in evaluating

1 products for the list. We specifically request comment on
2 whether to consider as a factor the difficulty or importance
3 of achieving stability for a drug product on this list.

4 We would like the advice of the committee on the
5 use of these factors. Are they appropriate?

6 [Slide.

7 After we developed the factors, we then began a
8 preliminary evaluation for inclusion on the list, focusing
9 on products with evidence in the literature and potential to
10 affect the public health.

11 [Slide.

12 In this preliminary evaluation, we found that some
13 products with similar characteristics raise similar concerns
14 for pharmacy compounding. For example, all drug products
15 contain uncertain dosage forms, such as metered dose
16 inhalers, if compounded, could present the same difficulties
17 in assuring the safety or effectiveness of that product.

18 We have also tentatively determined that the
19 compounding of all dry powder inhalers and transdermal drug
20 delivery systems are difficult to compound. Therefore, we
21 are tentatively proposing to include both specific drug
22 products and categories of drug products grouped by relevant
23 factors, such as metered dose inhalers, on the list of
24 Difficult to Compound Drugs.

25 [Slide.

1 We also found in this preliminary evaluation that
2 some products could raise concern for pharmacy compounding
3 if minimum standards are not met. For example, we recognize
4 that the compounding of sterile products is a complicated
5 issue.

6 As described in our concept paper, the preparation
7 of sterile products is unavoidably complex. We believe that
8 the concerns associated with the compounding of sterile
9 products may be alleviated by the use of minimum standards.

10 We evaluated a variety of existing guidelines for
11 pharmacy-prepared sterile products and have tentatively
12 concluded that USP Chapter 1206 describes comprehensive
13 controls that, if followed, would ensure the quality of
14 sterile drug products.

15 Therefore, we are tentatively proposing to include
16 drug products that are demonstrably difficult to compound
17 unless certain minimum standards are met, and we are
18 tentatively proposing that sterile drug products, compounded
19 under procedures other than those described in USP Chapter
20 1206, would be considered demonstrably difficult to
21 compound.

22 [Slide.

23 Our concept paper describes in detail our
24 preliminary findings. We seek input from this committee on
25 our proposed approach and whether it is appropriate to

1 include the following products on the Difficult to Compound
2 list: metered dose inhalers, dry powder inhalers,
3 transdermal delivery systems, and sterile products
4 compounded under procedures other than those described in
5 Chapter 1206 of the USP.

6 [Slide.

7 This afternoon our CDER experts will be presenting
8 the scientific rationales for tentatively including each of
9 these products on the Difficult to Compound list. Dr. Brian
10 Rogers from the Office of New Drug Chemistry will discuss
11 metered dose inhalers and dry powder inhalers.

12 Dr. Vinod Shah and Dr. Amit Mitra, from the Office
13 of Pharmaceutical Sciences, will discuss transdermal drug
14 delivery systems. Dr. Peter Cooney, also from the Office of
15 New Drug Chemistry, will discuss sterile drug products.

16 [Slide.

17 We view this process as an ongoing project and
18 intend to continually identify and evaluate additional
19 products, as well as categories of drug products for
20 inclusion on this list.

21 We seek input from this committee for products for
22 evaluation as candidates for the list.

23 Thank you.

24 **Committee Discussion**

25 DR. JUHL: I would like now to have the committee

1 engage in a discussion of the criteria that have been
2 presented by Dr. Anderson, and your view of the suitability
3 of those criteria in making determinations of both the
4 products and product categories that are before us at this
5 meeting and may come before us at other meetings.

6 Who would like to start? Larry.

7 MR. TRISSEL: First, I would like to say that I do
8 think that a good effort has been made to put together some
9 valid points for consideration here, so anything I have to
10 say that is not positive should be taken in that light.

11 I have a couple of concerns with regard to the
12 criteria, not many. The complexity of compounding issue may
13 be one of interpretation. It is hard to envision something
14 more complex than a multi-component parenteral nutrition
15 admixture, but it must be compounded, there is no way to
16 deliver this in any other way. So, that issue should be
17 viewed in the light of having to delivery complex mixtures
18 when there is no alternative.

19 The other thing that you had specifically asked
20 for was about stability, something that is, as I pointed
21 out, near and dear to my heart. The stability issue clearly
22 is one related, not to categories, but to specific
23 molecules.

24 I would note that the agency has approved over the
25 years any number of commercial drugs for sale that have

1 really remarkably short stabilities. The one that comes to
2 mind right now is a melphalan injection with a 60-minute
3 stability from time of compounding. We don't like that by
4 any stretch of the imagination, but we do work within that
5 to deliver this drug to patients.

6 It is hard to envision trying to give something
7 with a lower stability than 60 minutes, but we did have one,
8 an investigational drug that had 30 minutes, and even at 30
9 minutes it was 15 percent decomposition. That was imidazole
10 carboxamide some years ago.

11 Fortunately, for those stability purists, this is
12 not a drug that had much therapeutic value, but melphalan
13 does, and is approved for sale in this country with a
14 60-minute stability. I am not sure how you would use
15 stability in light of that other than to make professional
16 recommendations of use within some time frame.

17 I think I will stop there because you are just
18 asking at this point about the criteria.

19 DR. JUHL: Loyd.

20 DR. ALLEN: I have a couple of things.

21 Incidentally, this is a very good presentation as far as the
22 components of it.

23 On drug delivery system, is a sophisticated
24 delivery system required, I think probably the intent was to
25 include a delivery system, active drug product component

1 together, however, the way it currently reads is any
2 sophisticated drug deliver system, for example, I.V.
3 ambulatory pumps are very complex, programmable systems that
4 pharmacists have to work with, intrathecal pumps are very
5 sophisticated. We could even look at iontophoresis and
6 phonophoresis as being sophisticated delivery systems even
7 though they are not necessarily a part of a system that I
8 think may have been envisioned by the metered dose inhalers
9 and the DPIs, the dry power inhalers.

10 So, I think the terminology of sophisticated drug
11 delivery systems needs to be considered and narrowed down.

12 Like Larry, sophisticated formulation, you have
13 got TPNs, you know, that are very sophisticated, so again
14 the use of that term might be one that needs to be modified.

15 A little concern related to bioavailability, and I
16 think the intent here was reasonable bioavailability, but
17 Larry brought up an example of a very short stability item,
18 and I will bring up an example of a very low
19 bioavailability, FDA-approved product fosamax with a
20 bioavailability of less than 1 percent.

21 If you look at some of the variances in
22 bioavailability, you know, you are looking at 30, 40 percent
23 variation among the products, you know, at least it is
24 listed in the PDR.

25 So, I am not sure exactly how that will be

1 applied, if it is measurable, because with compounded
2 products, we don't generally measure bioavailability. I
3 understand what the concept and the intent was, but it does
4 need to be looked at.

5 As far as testing and quality assurance, I guess
6 we will get into this more in detail tomorrow on sterile
7 products. There are some tests which, for example,
8 sterility, which may take seven days, and so if you got a
9 product that the patient and the physician is waiting on, in
10 fact, may only be stable for 24 hours, then, we can't wait
11 for a stability, but I guess we will take that up tomorrow.

12 So, that would be all at this point.

13 DR. JUHL: Other general comments?

14 MR. RUSHO: Just a couple comments. First of all,
15 those of us in the hospital are already under JCAHO
16 regulations. We have ASHP regulations, and ABP is already
17 in here, and now we have FDA regulations.

18 All of these have a slightly different twist, you
19 know, and it would be nice if they were consistent. For
20 example, certification of laminar flow hoods. One agency
21 will say once a year, other agencies will say twice a year.

22 The other general comment or a question on this
23 one is who is going to enforce this.

24 DR. JUHL: I think that does bring a good
25 question, although I think JCAHO, the ASHP isn't

1 regulations, it is suggestions and guidelines. What we are
2 talking about here, though, is going to be having the effect
3 of law on pharmacists.

4 MR. RUSHO: Well, ASHP does if you have a
5 residency, which we do.

6 DR. JUHL: And the question of enforcement, I
7 guess it falls back to the State Board of Pharmacy to
8 enforce, and they would be responsive, not only to their
9 regular inspections, but to complaints that are filed, as we
10 have now.

11 Larry.

12 MR. TRISSEL: We are just now beginning the
13 process of preparing for our next JCAHO inspection, and one
14 of the criteria that we are looking at, that they have set
15 out for us, is compliance with the FDA rules on compounded
16 formulations, so JCAHO may be doing some enforcement on
17 this, as well.

18 DR. JUHL: You are going to have to be quite a
19 sage to comply with those before they are written.

20 MR. TRISSEL: Well, I did point that out, but do
21 the best you can.

22 DR. JUHL: Other general comments?

23 MR. TRISSEL: I am sorry, one more. Loyd brought
24 up about bioavailability, and I had forgotten to mention the
25 real problematic ones are the ones that are erratic, not

1 that are low. We can deal with it when they are consistent,
2 it is when they are erratic that is a problem, but even
3 then, we have an example of an commercial product with
4 extremely high variability that was approved by the agency
5 in THC capsules.

6 Clearly, an inhaled dosage form might be a more
7 consistent delivery system, but for other reasons, this
8 drug, this more erratically bioavailable product has been
9 approved, and we have lived with that.

10 DR. JUHL: Fortunately, no one suggested that be
11 added to the bulks list as yet, so -- although I wouldn't
12 rule it out.

13 I have a couple of general comments. One, I would
14 like to echo Larry's statement that I can tell there has
15 been a lot of work that has gone into this document, and
16 some of the things that you probably fought back and forth
17 on with yourselves.

18 These things are difficult to understand when you
19 don't have examples, and it is much easier in that context,
20 and it is also, as has been pointed out, difficult to deal
21 with when we are not talking about drugs -- I don't mean
22 drug products -- I mean a drug compound.

23 There is much variability in a lot of things that
24 don't really have a significant effect on safety and
25 efficacy, and with some drugs it has a tremendous impact, so

1 that has a difference, too.

2 We will see when we talk about the general
3 categories a difference in the way that they are dealt with.
4 In the case of sterile products, what the white paper
5 suggests is that sterile products should not be done unless
6 certain guidelines are followed. With the other categories
7 of products, the recommendation is that they shouldn't be
8 done, period.

9 Now, it is much easier to deal with the former
10 than the latter, but the profession has developed guidelines
11 that allows the agency to feel comfortable in making that
12 kind of a recommendation with sterile products, whereas,
13 guidelines and standards haven't been developed for the
14 compounding of inhaled dosage forms for transdermals, and so
15 on. So, I think that difference will become apparent as we
16 go through the discussions.

17 I found that Nos. 2 through 4 are kind of hard to
18 differentiate, again examples of specific drugs will make it
19 easier, and as also has been pointed out, the definition of
20 sophisticated and specialized and complex is kind of in the
21 eye of the beholder, but it is inherent to each of the
22 definitions.

23 For example, are sophisticated facilities of
24 equipment required? Well, someone who is a compounding
25 pharmacist would say yes, but I have those sophisticated

1 facilities and equipment. They would be sophisticated
2 probably by virtue of their cost.

3 Is technical training essential? Well, being in
4 that business, a pharmacy degree is a technical and highly
5 specialized training. So, I think we will have a set of
6 guidelines that could be applied in most any direction.
7 There is great flexibility in that, but there also is not
8 much predictability in that without having gone through, I
9 guess, and developed what amounts to case law in the
10 treatment of various examples.

11 One final comment. The testing and quality
12 assurance, most every compounded product would have a
13 shortcoming in this area almost by definition. There is
14 very little in the way of testing and quality assurance on
15 the product, as the FDA understands, it for other products
16 that occurs with compounded products.

17 Are there other general comments that this group
18 would like to make? We are going to have to live with these
19 guidelines for some difficult discussions, so now is the
20 time to speak up if there are specific changes or
21 suggestions you could make to the agency.

22 MR. RUSHO: Tomorrow, aren't we going to discuss
23 the sterile product guidelines specifically?

24 DR. JUHL: We will.

25 MR. RUSHO: Okay. I will reserve comments until

1 then.

2 DR. JUHL: Okay.

3 DR. ALLEN: I have one question. According to the
4 FDAMA '97, there are two professions that can be involved in
5 compounding. One would be pharmacists, the other would be
6 the physicians. So, am I correct in understanding that
7 physicians would also fall under everything that we discuss
8 in the next two days?

9 DR. JUHL: My hope and my assumption is that is
10 true.

11 MS. AXELRAD: Yes.

12 DR. JUHL: I see a nodding concurrence from the
13 agency.

14 MR. TRISSEL: That is a point I was going to raise
15 tomorrow, but since it comes up now, I am aware of at least
16 one large chain of oncology centers that uses nurses to do
17 all of their compounding, so are you telling me that they
18 don't have to comply with these rules because they are
19 nurses?

20 I think that would be a poor precedent to set. If
21 I was the president of a company using pharmacists and could
22 get out of doing anything for quality assurance by hiring
23 nurses, I might be inclined to do so. I am not sure that is
24 the right thing to do.

25 MS. AXELRAD: We are going to be addressing the

1 issue of who can compound in our general regulations, but we
2 also know that pharmacy technicians also do a lot of the
3 compounding under the direction and supervision of a
4 pharmacist, and so we will be addressing how it would work
5 in terms of nurses or others that would be compounding under
6 the supervision of a physician. That is something we will
7 handle in our general compounding regulations.

8 DR. JUHL: And will there be a relatively specific
9 definition of, "under the supervision"?

10 MS. AXELRAD: Well, we hope there will be enough
11 of a definition to allow for what is actually happening out
12 there, as long as there is somebody who is qualified, who is
13 responsible for actually happens.

14 Also, it will probably be, in part, it will be a
15 matter of state law. You know, different states allow
16 different people to do different types of activities. So,
17 we will have to have a definition that is broad enough to
18 encompass differences between the states in terms of what
19 they allow.

20 It will be put out for comment, and there will be
21 an opportunity for comment on it, so if we draw it too
22 narrowly in the first instance, and we get comments on it,
23 we can adjust it.

24 DR. JUHL: Do you have an idea of when that might
25 be available?

1 MS. AXELRAD: We are hoping by the end of this
2 year.

3 DR. JUHL: Okay. Garnet.

4 DR. PECK: So, that would handle, then, No. 6,
5 which involves training of individuals to do this particular
6 compounding, and it is recognized that highly technical, it
7 could also -- the words "well trained," and I am assuming
8 that that will take care of that.

9 DR. JUHL: I think we are talking about two
10 different things here. In one instance, she is talking
11 about the general regulations for who may compound, and here
12 we are talking about drugs that fall under the Difficult to
13 Compound rubric, and training is one of the components we
14 are considering.

15 DR. PECK: What I heard had to do with who will
16 direct the compounding and the like, and that does have an
17 element of training to it.

18 DR. JUHL: It certainly does, but it is not No. 6.

19 DR. PECK: I realize it's not No. 6, but it also
20 is covering training.

21 MS. AXELRAD: Well, I think we are going to cover
22 in the definitions, the general discussion of who is allowed
23 to compound and the relationship between their people and
24 people that they supervise. I don't think we have any
25 particular plans at the moment to put out any detailed

1 criteria, and certainly not in the regulations, on what kind
2 of training would be appropriate.

3 I think that the use of this factor here is
4 designed to say for certain kinds of things, there are some
5 very specialized training that the pharmacists or physicians
6 or nurses, or whoever might be doing it, might not be likely
7 to have, and if there is a dosage form or a type of drug or
8 a particular drug for which that kind of training that is
9 not the norm in pharmacy compounding as required, then, that
10 would be one thing that we would consider in determining
11 whether that particular drug or category of drug should go
12 on the list of products that are difficult to compound.

13 DR. JUHL: Other comments? I think in our
14 questions to be answered - Do you agree that the following
15 are the appropriate factors to use in evaluating drug
16 products or categories of drug products to determine if they
17 should be included on the difficult to compound list? If
18 not, what additional factors would you suggest we use in the
19 evaluation? Do you think that the difficulty or importance
20 of achieving stability should be considered as a factor?

21 There are several questions there. Let me take
22 the first one and have a formal discussion and conclusion on
23 it.

24 Do we agree that the following are appropriate
25 factors to be used in evaluating the products as to whether

1 or not they should be on the difficult to compound list?

2 Is there further discussion on that before calling
3 the question?

4 I think it is one of your handouts, Pharmacy
5 Compounding Advisory Committee questions, and the factors to
6 which I refer are those which were made in Dr. Anderson's
7 presentation and in the white paper in your preliminary
8 information.

9 Is everyone ready?

10 Do we agree? All those that agree, would you
11 please signify by raising your hand?

12 Do you agree that the following are the
13 appropriate factors to use in evaluating drug products or
14 categories of drug products to determine if they should be
15 included on the difficult to compound list?

16 MR. TRISSEL: All of them in this category?

17 DR. JUHL: Or we can take them individually
18 depending upon the wishes of the committee. I am calling
19 all right now.

20 All those that agree, would you please signify by
21 raising your hand?

22 [Show of hands.]

23 DR. JUHL: Opposed?

24 [No response.]

25 DR. JUHL: It's unanimous.

1 Are there additional or different factors that we
2 would suggest that would be used in the evaluation? This is
3 multiple choice.

4 MR. TRISSEL: I think, not additional, but
5 different in the sense of providing some explanation for
6 some of the more nebulous terminology would be very helpful.
7 What is sophisticated? Give some examples. What is
8 complex? Is it more complex than the TPN, and in what way,
9 that sort of thing, would be very helpful for guidance for
10 everyone on what you are thinking.

11 DR. JUHL: Yes, my assumption is that our
12 recommendation to the agency would be taken in the context
13 of our previous discussion and suggestions.

14 Sarah.

15 DR. SELLERS: At our first meeting, we described
16 compounded drugs as representing maybe 1 or 2 percent of the
17 total amount of drugs that are dispensed, but for certain
18 drug products, the amount that are compounded from bulk
19 active substances may be a lot higher, and the sheer volume
20 of the amounts of certain drug products that may be
21 compounded from bulk active materials may suggest that we
22 need to consider that because if we are compounding much
23 more amounts, we are going to increase risk.

24 I am thinking specifically of nebulized
25 medications and potentially intrathecal type of medications.

1 DR. JUHL: There is in the criteria neither a
2 mention of risk of the product nor of benefit. As has been
3 suggested, TPN, the only we can do that is to compound, so
4 it would seem that some consideration of risk and benefit
5 would need to be considered, as well.

6 Other additional factors that you would like to
7 see the agency consider and for us to use in considering?

8 MS. LaFOLLETTE: I believe stability, even though
9 we have mentioned it, that the compatibility and interaction
10 with the packaging component and with the formulation
11 definitely needs to be discussed.

12 DR. JUHL: That is the next question.

13 MS. LaFOLLETTE: Okay.

14 DR. JUHL: Good. Moving on to that - Do you think
15 that the difficulty or importance of achieving stability
16 should be considered as a factor?

17 Other comments on that?

18 MR. TRISSEL: I am not sure how you can do that
19 other than on a case-by-case basis, and as I have said,
20 other than pointing out what the demonstrated stability
21 criteria are, particularly -- we do have guidance from the
22 USP on this for compounded products by category, and I
23 suppose the idea is if you want to exceed those maxima that
24 are in the USP, you need some documentation of that, but we
25 do have criteria already established for this, that we are

1 supposed to be following for all compounded formulations.

2 So, I am not sure what the agency is proposing
3 with regard to stability, something different than the USP
4 has already said?

5 MS. AXELRAD: Well, we are not proposing it. We
6 were asking the committee, it was something that came up in
7 our discussions. In fact, it could be taken into account in
8 the second factor on drug formulation and consistency. You
9 know, we decided it didn't deserve a special mention at
10 least at this time, but we really did have a lot of debate
11 about it, so we wanted to hear what the committee had to say
12 with regard to that.

13 DR. JUHL: Loyd.

14 DR. ALLEN: Yes, it is addressed in Chapter 795,
15 pharmacy compounding practices in detail, and there will
16 probably be more additional work done on that in the future,
17 but basically, there is a general guideline, but those can
18 be modified by any published valid data, you know, that has
19 been published in the literature or whatever documentation
20 the pharmacist might have.

21 DR. ANDERSON: I think the intention, I think it
22 was more if there are specific products, that maybe there
23 would be a problem with stability, that maybe we should be
24 considering for certain things. There may be things out
25 there that maybe it would be a factor that we should

1 consider.

2 DR. JUHL: Do you have some examples that would be
3 helpful?

4 DR. ANDERSON: I think Dr. Trissel had mentioned
5 one earlier, but we haven't looked at any of those, we
6 haven't considered this yet, it is just something that the
7 work we have had, have debated about, and I think we needed
8 more guidance on it, and that is why we brought it to the
9 committee.

10 DR. JUHL: Well, it is certainly a factor that any
11 pharmacist would take into consideration when making
12 anything, but the question is I guess would we use it in our
13 considerations of difficult to compound, and I think the
14 answer is yes.

15 DR. ANDERSON: Some drugs maybe, there is the
16 polymorphic, which could relate back to the formulation. It
17 may fit better under the formulation.

18 MS. LaFOLLETTE: My concern was more with the type
19 of delivery systems that we are talking about today, the
20 metered dose inhalers and transdermals. You could be having
21 interaction with material components, and that isn't going
22 to be obvious to everyone, and it may not be in the
23 literature if a unique formulation is being used, it hasn't
24 been demonstrated before, and these things do occur, I can
25 attest to that by experience.

1 DR. JUHL: Garnet.

2 DR. PECK: This is a general statement about
3 stability, but we are going to be talking about specific
4 types of delivery system, and there you can cite specific
5 examples, metered dose inhalers, for example, and the
6 stability of the particulates that are inside a system that
7 has particulates or insolubles, so you can cite those as
8 specifics, and that is nice, and also you can cite it
9 specifically in sterile products.

10 So, as a general category, maybe you cannot come
11 up with examples. It is just something that we all live
12 with, and that is stability. I guess that was the reason
13 for asking the question.

14 MS. AXELRAD: In some cases, I think, establishing
15 stability and making sure that it is there in a particular
16 product is more difficult than in other places, and those
17 are the cases where we would focus in terms of whether
18 somebody ought to be compounding them when they don't have a
19 regular stability program or some way of making sure that
20 the product is stable when it is made and stays stable for
21 its use.

22 Again, I think that fits fine under Factor 2,
23 under Drug Formulation and Consistency, and could be
24 incorporated in those cases where, for example, in metered
25 dose inhalers, there is that type of an issue.

1 DR. JUHL: Other comments on stability? Any last
2 comments on the criteria before we move forward?

3 [No response.]

4 DR. JUHL: Hearing none, then, let's proceed to a
5 discussion of metered dose inhalers and dry powder inhalers.
6 Dr. Brian Rogers.

7 MS. AXELRAD: Dr. Juhl, it might be helpful to
8 actually have a vote, so that we have in the record a vote
9 on the stability issue, like does the committee want to or
10 does not want to include as a factor, stability
11 specifically.

12 DR. JUHL: Let me propose that we not have a
13 specific factor on stability, but include that in the
14 various places of the existing criteria where it would
15 normally be considered, such as drug formulation and
16 consistency, Category No. 2.

17 MR. TRISSEL: Possibly 1, too.

18 DR. JUHL: Possibly 1, drug delivery system.
19 Other possibilities?

20 Let me make that as a proposal and then is there
21 further discussion before we vote on that?

22 [No response.]

23 DR. JUHL: Seeing none, let's call the question
24 then. The recommendation to the agency that they not have a
25 specific stability criteria, but include that in the other

1 criteria where it is appropriate.

2 Those that would favor that recommendation, please
3 raise your hands.

4 [Show of hands.]

5 DR. JUHL: Opposed?

6 [No response.]

7 DR. JUHL: I see that as unanimous.

8 MR. WELDER: On the basic question that we took a
9 vote on a while ago, I have a little bit of concern. Are we
10 going to discuss each one of these categories individually
11 at some time, because we took a general vote on approving
12 from the drug delivery systems all the way to the testing
13 and quality assurance, because there are a lot of questions
14 in between there on a lot of these things like who defines
15 whether a compound is complex or not, how do we determine
16 that, are we going to discuss that in this meeting?

17 DR. JUHL: We can discuss that further if you
18 would like.

19 MR. WELDER: Does no one else have a question on
20 who defines these things? When I have a pharmacy that does
21 compounding, who determines whether the drug product is
22 complex?

23 DR. JUHL: I think the use of these guidelines is
24 to determine whether or not products or categories of
25 products get placed on the Difficult to Compound list. So,

1 that judgment would need to be made by the agency and by
2 this committee. Individual pharmacies wouldn't need to make
3 the judgments because we are making the judgment on the
4 categories.

5 MR. WELDER: So, these individual items won't be
6 up for discussion at this meeting then.

7 DR. JUHL: They can be.

8 MR. WELDER: The vote that we took approves all
9 these things, and I kind of had a half a hand up because
10 there are so many questions.

11 DR. JUHL: Let's go ahead. I want you to have the
12 opportunity to make suggestions for clarification changes or
13 anything that would you feel more comfortable.

14 MR. WELDER: One of my questions would be what is
15 a sophisticated facility and/or equipment required to ensure
16 proper compounding of the drug product. I can speak for my
17 pharmacy in that we have everything that we need for a
18 particular product. We don't do compounding -- we don't do
19 all compounds, but what we do compound, we have the proper
20 equipment and facility.

21 My question is, are the rules going to be
22 developed for everyone, and does every compounding pharmacy
23 have -- will they be required to have every piece of
24 equipment if it fits in a category by the FDA? The category
25 itself bothers me a little bit, in categories of drugs.

1 MS. AXELRAD: I think that others have pointed out
2 the issue of sophistication of the facility being not as
3 clear and asked for examples of that. I think that when we
4 got through the particular categories that we are going to
5 talk about today, you will see some examples of where we
6 think sophisticated equipment or facilities are necessary.

7 Certainly not all pharmacies would be required to
8 have it, but I think for certain categories of products
9 where you have to have very specialized equipment that is
10 not likely to be something that your average pharmacy would
11 have, but more likely something that a manufacturer would
12 have, then, those are the types of things that we think
13 should go on the list as difficult to compound.

14 DR. JUHL: This is where we get into the problem
15 of the two approaches that are being taken, one for sterile
16 products, there is sophisticated equipment, there is
17 sophisticated training, there is sophisticated this, that,
18 and the other thing, but if you have them, you can do them.

19 With the metered dose inhalers, even if you have
20 the equipment, we are saying you can't do it. I think that
21 is the issue that raises its head on this.

22 DR. ANDERSON: We are trying to use these to help
23 us evaluate the products. We are not trying to say that
24 every pharmacy has to have these, but again, you said it
25 depends on the approach, but it is something for us to use

1 to look at these different drugs to say are they difficult
2 or not.

3 DR. JUHL: Larry.

4 MR. TRISSEL: I hadn't actually thought of what
5 Tony was saying. Are you thinking like State Boards require
6 every pharmacy to have a sink and a balance, and those are
7 mandatory, and you are concerned that somebody will make
8 every single piece of compounding equipment mandatory in
9 every single pharmacy, something like that, because I didn't
10 read it that way.

11 I thought if you were going to compound a
12 particular product, you needed suitable facilities and
13 equipment for that product. That is the way I understood
14 it. If that is not the intention, we should know that now.

15 DR. ANDERSON: Yes, right.

16 MR. WELDER: Well, that was my intent of the
17 question, because every serious compounding pharmacist would
18 have those things available, and the definition of what is
19 complex still bothers me, a little better categories of
20 drugs that would be put in difficult to compound.

21 For instance, in Item 4, Complexity of
22 Compounding, is the compounding of the drug product complex,
23 are there multiple, complicated or interrelates steps?
24 Well, sure there is a lot of things that we compound that
25 have many steps, they are multiple, and they could be

1 complicated, but who defines -- I mean they are easy steps,
2 you take them one at a time, it is like reading a recipe in
3 a lot of case -- so who defines, are these drugs going to be
4 on a list because there are multiple steps? I am concerned
5 about that.

6 DR. ANDERSON: I don't think that it is one,
7 everything is going to be a case-by-case basis, and I don't
8 think that one single factor would make a product on the
9 list. There are things that we are trying to use to
10 evaluate the products, to consider, to look at these
11 products, and look at each product.

12 MR. TRISSEL: Tony, I don't read these as
13 pass/fail kinds of things. They are just topics for
14 consideration. Is that what the agency intends?

15 DR. ANDERSON: Right.

16 MR. TRISSEL: These are just things that normal
17 people would consider.

18 DR. ANDERSON: Right, and we just need input
19 whether we are going in the right direction, whether these
20 are the things that we should be looking at, when we are
21 looking at different drug products.

22 MR. WELDER: Okay.

23 DR. ANDERSON: I don't think any single factor
24 would be overriding or any one would be dispositive. It's
25 an overall thing to help us how would we approach this list,

1 how are we going to make this list.

2 MR. WELDER: Okay. I was just a little bit
3 uncomfortable with the vote and including all of these
4 things without any questions being asked, and so they are
5 not just blanket okay on it. Okay.

6 DR. JUHL: If anyone wants, after we go through
7 these categories of drug products, to go back and look at
8 the criteria and say, well, you know, after our discussion,
9 this one didn't seem to work or we need to add one more, so
10 I will reserve our opportunity to do that at the end of our
11 discussions if we want to. I want everybody to feel
12 comfortable with this when we get done with it, because it
13 is going to be used along down the line.

14 Sarah.

15 DR. SELLERS: Do we cover under these points, the
16 route of delivery?

17 MS. AXELRAD: You mean the route of
18 administration?

19 DR. SELLERS: I am thinking risk of applying a
20 drug directly to the lungs that may be contaminated
21 certainly is a concern, likely injecting pyrogens into the
22 intrathecal space is a big concern. It appears to me that
23 the route of delivery may be a consideration when you are
24 compounding.

25 DR. ANDERSON: It could fall under several, but I

1 don't think there is a specific one, but it is something
2 that we could look at.

3 DR. JUHL: I think that would actually be
4 important in the overall risk to benefit considerations.

5 Any other comments.

6 [No response.]

7 DR. JUHL: Let me move on then to the metered dose
8 inhalers and dry powder inhalers, Dr. Brian Rogers.

9 **Metered Dose Inhalers and Dry Powder Inhalers**

10 DR. ROGERS: Good morning.

11 [Slide.

12 I am going to be talking about the difficulties
13 associated with compounding metered dose inhalers and dry
14 powder inhalers. I will summarize the characteristics of
15 these drug products, which not only have potentially complex
16 and environmentally sensitive formulations, but are required
17 to be contained in complex drug delivery devices.

18 These devices require tight controls with
19 difficult-to-perform tests to assure reproducible and
20 accurate drug delivery to the patient. In these two drug
21 products, reproducibility of the drug delivery to the
22 patient means both accurate delivery of both the mass of the
23 active expelled from the device, but accurate and
24 reproducible deposition at the correct site in the lungs,
25 and this is controlled by control of the particle size

1 distribution.

2 [Slide.

3 Metered dose inhalers are a pressurized system
4 containing a liquified gas, the propellant. The propellant
5 suspends the micronized drug substance and provides energy
6 to both propel and disperse the drug substance in metered
7 doses.

8 Generally, surfactants are used to stabilize the
9 suspension formulation. Co-solvents may be present to aid
10 in formulating. MDIs may dispense from a few micrograms to
11 milligrams of a drug substance per actuation, and the volume
12 of formulation delivered by the valve in an MDI is typically
13 25 to 100 microliters.

14 [Slide.

15 Once the specified quantity of formulation is
16 expelled from the valve, the liquified gas vaporizes, both
17 propelling and dispersing the suspended or dissolved drug
18 substance along with any non-volatile excipients.

19 The dispersed drug substance in a discrete
20 actuation contains a range of particle sizes characterized
21 by the size distribution. Also, the distribution of the
22 drug substance mass per minimum dose is characterized by
23 dose content uniformity.

24 [Slide.

25 Dry powder inhalers contain a micronized drug

1 substance with or without a carrier, with lactose being the
2 most commonly used carrier.

3 Energy for dispersion of particles is supplied by
4 either patient inspiration, compressed gas, or some form of
5 motor-driven device. Current designs include pre-metered
6 and device-metered DPIs.

7 [Slide.

8 This slide shows the various designs of DPIs
9 currently available. The devices may have its formulation
10 dispersed by the patient's energy of inhalation or by some
11 form of internal power source, as I just described.

12 These two types may be further subdivided by
13 whether a device has an internal metering process or has the
14 dose pre-metered as in a blister or a capsule. The
15 pre-metered type of DPI can either have the dose removed
16 directly from its container or the dose can be transferred
17 to a holding cavity for later inhalation.

18 The device-metered DPI generally has the dose
19 transferred to a holding chamber.

20 The pre-metered type of device may contain either
21 single or multiple doses, and the device-metered DPI is
22 exclusively multiple dose in character.

23 [Slide.

24 In addition to dose measurement and particle size
25 issues, further complex and subtle interactions may occur

1 between the drug substance carriers and components of the
2 container closure system.

3 These may include gravitational, fluid dynamic,
4 and other interactive forces, such as electrostatic, Van der
5 Waals, and capillary forces. Together, these forces are
6 responsible for the differences in fluidization behaviors
7 exhibits by different powders within an inhaler.

8 It is critical to have a precisely reproducible
9 formulation to obtain device-to-device and batch-to-batch
10 reproducibility.

11 [Slide.

12 General Concepts. The aerodynamic particle size
13 of the inhaled particles containing the drug substance
14 affects the point of deposition in the airways. Normally,
15 the smaller the particles, the greater the penetration into
16 the lungs.

17 Patients need to inhale drug substance particles
18 generally particles generally less than 5 micrometers in
19 diameter to be effective in treating diseases of the
20 airways. The range of effective particle sizes is narrow
21 with larger particles only contributing to systemic exposure
22 and contribute no clinical benefit.

23 Unlike other conventional drug products, these are
24 highly dependent on the particle size distribution for their
25 safety and effectiveness. Concepts of classical

1 bioequivalence and bioavailability are usually not
2 applicable.

3 Bioavailability is difficult to determine since
4 the dose is too small for blood analysis. Its concentration
5 ends up being in the parts per billion range in general.
6 Bioequivalence studies are hindered by having 85 to 90
7 percent of the dose absorbed through the GI tract instead of
8 the biological target.

9 [Slide.

10 Dosing and performance and thus safety and
11 efficacy are directly dependent on design reproducibility
12 and performance characteristics of the container and closure
13 system. The most important dosing and performance
14 characteristics are particle size distribution and dose
15 content uniformity.

16 Both the metering valve and any canister lining
17 must be compatible with the formulation. A canister lining
18 should be proven to not have pinholes. Pinholes or porosity
19 in the lining will allow corrosion of the canister metal by
20 reaction of the underlying metal with components of the
21 formulation.

22 There is also a definite potential for absorption
23 of the drug into plastic components. Absorption may also
24 cause swelling of elastomers. Extraction of plastic and
25 elastomeric component by the formulation may result in

1 leaching of carcinogens or compounds that patients are
2 sensitive to.

3 [Slide.

4 Here are a couple of versions of actuators. This
5 first example is an actuator designed to be used with an
6 integral spacer. The second drawing here shows the same
7 actuator with the first part of the spacer shown, and the
8 spacer extends in this direction for a little distance.

9 The other example of an actuator on the right is a
10 standard actuator, such as used in standard MDIs. Above the
11 actuator is a canister with a valve stem shown here and the
12 ferrule here, and this is the canister proper.

13 The canister fits within the actuator down in here
14 with the valve stem seeding in this insert here, and that
15 forms a seal, and when the canister is actuated by pushing
16 down with finger pressure on the top, the valve stem is
17 compressed and the plume is expelled from the valve stem
18 down into this area here and out through the orifice in this
19 direction, so that the plume is expelled approximately 90
20 degrees from the angle of the valve stem.

21 In this device, the canister sits at a slight
22 angle and again the plume exits more or less perpendicularly
23 to the valve stem.

24 [Slide.

25 Here is a diagram of a typical MDI valve in a

1 relaxed or a pre-actuation configuration. As you can see,
2 the valve is very complex with many of the components
3 interacting with and depending on one another. Any changes
4 to a valve will likely cause changes in the performance
5 characteristics. The parts of the valve are labeled there,
6 and I won't go into them.

7 In the rest position, as shown here, the metering
8 chamber is full, that is this area here, and in contact with
9 the bulk formulation, which fills this outside area here,
10 the canister inserting in this area and basically forming
11 this kind of shape here, and a good portion of the canister
12 not occupied by the valve is formulation.

13 Once the canister is depressed to actuate the
14 device, the stem is pushed upward by the finger pressure and
15 as soon as this happens, the metering chamber is closed off
16 from the bulk formulation in the canister, and then a little
17 further pressure and distance moved of the valve stem allows
18 this little hole here to actually come into the metering
19 chamber, and then the metering chamber becomes exposed to
20 the atmosphere and the pressurized contents of the metering
21 chamber is expelled through the valve stem under great
22 pressure.

23 [Slide.

24 The function of the actuator is to generate
25 aerosol particles, direct the dose, influence the velocity

1 of the aerosol, control the amount of medication delivered,
2 and the particle size distribution available to the patient.

3 The valve precisely measures the formulation
4 volume and provides a leak-proof seal to prevent changes in
5 the formulation concentration.

6 [Slide.

7 Here is a diagram of a typical dry powder inhaler.
8 The one shown here is only average in complexity relative to
9 others, and this DPI is considered a pre-metered multi-dose
10 unit. It has the formulation inserted into individual
11 blisters on a strip coiled around a wheel here in the bottom
12 of the device, as it is shown here.

13 When the dose is desired, the patient causes a
14 ratcheting of the device, so that a new blister is moved
15 into position here, and just prior to the moving into the
16 position, the backing strip off of these blisters is removed
17 by this wheel, and the formulation is exposed to the air
18 intake that the patient will pull air through.

19 Once the dose is removed by inspiration, the used
20 portion of the blister, the used half of the blister will be
21 coiled on this wheel.

22 [Slide.

23 In conclusion, the MDI and DPI drug delivery
24 systems are sophisticated and complex. Precise formulating
25 is crucial to drug dosing accuracy and reproducibility from

1 the standpoint of both dose uniformity and particle size
2 distribution. Container enclosure components directly
3 affect potency, purity, and quality of the drug product,
4 hence, safety and efficacy of the product.

5 [Slide.

6 In the interest of time, I have chosen to focus on
7 the complexity of compounding MDIs, however, the issues are
8 equally applicable to DPIs with some obvious exceptions
9 which pertain to liquid formulations, and I think those will
10 be obvious to you.

11 The formulation in an MDI is a mixture of
12 micronized or solubilized drug substance in a matrix of oily
13 excipient material, propellant, and possibly a co-solvent.

14 The composition and physical chemical properties
15 of each component is crucial to performance. Also, the
16 composition has a direct effect on the extent of
17 agglomeration and suspension stability.

18 [Slide.

19 Important drug substance properties which must be
20 controlled during compounding include the particle size
21 distribution, particle morphology, solvates and hydrates,
22 clathrates, morpnic forms, the amorphous character, the
23 solubility profile, the moisture content and/or residual
24 solvent content, microbial quality, pH profile, and specific
25 rotation.

1 [Slide.

2 Important aspects of the formulation liquid phase
3 include propellant identity, the presence of a co-solvent,
4 surfactant identity, concentration, and quality. Relative
5 proportion of the volatile components influence the internal
6 canister pressure.

7 Important physical/chemical properties of the
8 formulation include polarity, surface tension, and density.
9 These all affect the suspension characteristics of the
10 two-phase formulation.

11 [Slide.

12 Factors adversely affecting the formulation's
13 physical stability include preferential interaction of the
14 suspended drug substance with container components, settling
15 and creaming resulting from differential densities between
16 the liquid phase of the formulation and the drug substance.

17 The above factors result in a less homogeneous
18 suspension and thus greater inconsistency in the dose
19 delivery and particle size distribution.

20 These inconsistencies are seen in the beginning to
21 end of canister variability, canister-to-canister
22 variability, and batch-to-batch variability.

23 Properties of the liquid phase need to be
24 carefully optimized and controlled to minimize the above
25 problems.

1 [Slide.

2 The individual formulation components require very
3 careful control of impurities and degradation products.
4 Minor changes in concentration or dose of an excipient can
5 be result in large changes in toxicological properties.

6 Very careful control of impurities and degradation
7 products in all formulation components is required to
8 prevent possible bronchospasm in lungs of sensitive
9 individuals.

10 As a result of the Montreal protocol, CFC
11 propellants are becoming very difficult to obtain an
12 acceptable purity. Tight controls on purity are needed to
13 be implemented to assure drug product quality.

14 As a result, the composition of the formulation
15 and complexity of this dosage form make it very difficult to
16 formulate outside of a dedicated manufacturing environment.
17 Minimizing product-to-product variability, that is,
18 obtaining the best possible dosing accuracy is necessary for
19 obtaining safety and efficacy.

20 [Slide.

21 Compounding of MDIs and DPIs require multiple,
22 complicated and interrelated steps. In each of these, there
23 is a significant potential for error. The formulation must
24 be carefully handled, mixed, dispensed, and so on.

25 The container and closure system design and