

1 which potentially could be used for adult cancer and  
2 pediatric cancer alike, does the Pediatric Rule apply to  
3 that classification of drugs?

4 DR. HIRSCHFIELD: Dr. Cohn, stay tuned for this  
5 afternoon. That is going to be their topic of discussion.

6 DR. CHESNEY: Thank you, Dr. Hirschfield. Dr.  
7 Weiner, any concluding comments? Questions?

8 DR. WEINER: Yes, just two comments to response to  
9 what Dr. Murphy had asked and also just by way of summary  
10 from our perspective, and this is a remark that I made  
11 actually in the meeting in February that Dr. Finklestein  
12 referred to which is that from our perspective time is  
13 really the issue. In implementing FDAMA and the Rule, time  
14 is really the question. How long does it actually take to  
15 Phase I and Phase II trials in kids, and what is the meaning  
16 of that in terms of "incentivizing" the pharmaceutical  
17 companies to do this in pediatrics? Will it be worth it?  
18 From our perspective, anything that really impedes the  
19 progress and the efficiency of the systems involved in  
20 evaluating agents and getting new information that is going  
21 to be useful for treatment or kids is a bad idea. That is  
22 all we have got.

23 The second point I really wanted to address had to  
24 do with flexibility. I think, you know, the conversation  
25 today has yielded a lot of interesting suggestions about how

1 greater flexibility might be brought to bear with respect to  
2 FDAMA and with respect to the implementation of the  
3 Pediatric Rule, both formal in terms of the redesign of  
4 FDAMA specific provisions for cancer perhaps and, in  
5 addition, an informal mechanism such as that which was  
6 suggested by Dr. Spielberg and by others, picking up on the  
7 notion that, yes, there are informal contacts in industry  
8 and personal contacts that, hopefully, will be of benefit  
9 but there are also opportunities to bring FDA to the table,  
10 as happened in February, so that we can come up with more  
11 creative solutions to getting new agents, evaluating new  
12 agents, as well as understanding agents that are already  
13 approved and already in use in treatment for kids so that we  
14 can have sufficient information about those as well.

15 DR. CHESNEY: Thank you for your very thoughtful  
16 comments, and I understand there is Valium outside for our  
17 FDA colleagues who I think have stood up extremely well to  
18 the challenges presented this morning.

19 I would like to reiterate what Dr. Finklestein  
20 said, that I really believe this is a "we/we" situation and  
21 not a "we/they" and, please, be back by 10:55 and we will  
22 attempt to address the question that the FDA specifically  
23 gave us. Thank you.

24 [Brief recess]

25 **Open Public Hearing**

1                   DR. CHESNEY: We are past the time for the open  
2 public hearing and nobody has signed up but if there is  
3 anybody here today that would like to make a comment at the  
4 microphone, this would be a good time to do so. Yes?

5                   DR. REAMAN: I would just like to make a comment  
6 because, as Dr. Finklestein referred to earlier, I was at  
7 this meeting in February where there was a great deal of  
8 discussion, and certainly the end result of that meeting was  
9 that this is a "we/we" situation and we are working together  
10 very collaboratively.

11                  Subsequent to that, in review of the guidance that  
12 was put forth from the FDA there were some concerns as  
13 related to flexibility to some of the interpretation, but I  
14 must say from my perspective now, being responsible for  
15 developmental therapeutics and sharing that responsibility  
16 in the Children's Oncology Group, I see absolutely nothing  
17 about the guidance which would limit the early access to new  
18 agents for children with cancer, and I would really applaud  
19 the FDA in everything that they have done to interpret and  
20 to remove any obstacles from the Pediatric Rule and FDAMA in  
21 ensuring this. Thank you.

22                  DR. CHESNEY: Thank you, Dr. Reaman. Any other  
23 comments? If not, we need then to go on to the question to  
24 the committee, and I wondered if Dr. Hirschfield, Dr. Pazdur  
25 or Dr. Murphy would like to read it or interpret it for us,

1 or do we take it as written?

2 DR. HIRSCHFIELD: I think you could take it as  
3 written. I could just read it out loud for those who may  
4 not have a copy of the question: Special characteristics of  
5 pediatric oncology necessitated a more general drug  
6 development plan to qualify for the FDAMA pediatric  
7 exclusivity incentive. These characteristics are rarity of  
8 the diseases, life-threatening natural history of the  
9 diseases, biological differences between adult and pediatric  
10 tumors, the existence of established cooperative groups, and  
11 research protocols as the standard of care. Are there other  
12 areas of pediatrics that have similar characteristics that  
13 may benefit from a similar approach?

14 **Discussion**

15 DR. CHESNEY: Thank you. Comments from the  
16 committee? Yes?

17 DR. PRZEPIORKA: The information that we have been  
18 given so far yesterday and today indicates that extension of  
19 exclusivity is for drugs and biologics, and I was wondering  
20 if this is also true for devices, such as catheters or  
21 transdermal delivery systems, or diagnostics for pediatric  
22 diseases.

23 DR. MURPHY: The rule includes biologics. Now, if  
24 you look at the list that we did publish under the FDAMA  
25 requirement, it did include some biologics because we did

1 not look at whether something had a patent or exclusivity,  
2 and because biologics normally don't have patents -- that is  
3 why exclusivity is not usually including those. So, I am  
4 just trying to recognize that there is a little bit of  
5 confusion about the fact that we did have some biologics on  
6 that list. We were trying to look at products that we  
7 thought would have a public health benefit potentially if  
8 they were labeled so they were on the list. But, again, as  
9 Dr. Pazdur said, you have to have something to attach it to  
10 for exclusivity to work. So, that is a problem in that most  
11 biologics are not approved where they have that patent  
12 mechanism. So. Devices -- no. It does not apply to that  
13 either.

14 DR. CHESNEY: Other conditions which might qualify  
15 as pediatric oncology has? Dr. Fink?

16 DR. FINK: Well, the two groups that I deal with,  
17 cystic fibrosis, although there is a strong national  
18 organization there, and the other would be the neuromuscular  
19 disorders and, again, there is a strong voluntary health  
20 agency that has somewhat taken leadership in those two  
21 diseases, but they are similar in that they are life-  
22 threatening; they are orphan diseases; and there are care  
23 networks through the CF centers and the NMDA centers.

24 DR. CHESNEY: Dr. Luban?

25 DR. LUBAN: I would like to add to that group

1 sickle cell disease. Now, while it might not be life-  
2 threatening it certainly is quite morbid and there is,  
3 through the sickle cell centers sponsored by NHLBI, a  
4 growing clinical trials network.

5 DR. CHESNEY: Maybe I could add one group. I  
6 don't think we have any pediatric nephrologists in the room,  
7 but having lived with one for 30-plus years --

8 [Laughter]

9 -- who has devoted his career to trying to bring  
10 rare pediatric diseases to the attention of Congress, and  
11 their needs, I would just like to say that there are many  
12 renal diseases that also fall into the same category as Dr.  
13 Fink just mentioned. They are relatively rare. They have  
14 very strong support groups, and I can't elaborate on them  
15 but maybe somebody else in the room can but there is a very  
16 elaborate nephrotic syndrome network of investigators that  
17 would be similar to some of the pediatric oncology groups.

18 Yes, Dr. Luban?

19 DR. LUBAN: Perhaps Dr. Hudak or Ward could  
20 comment on the use of the neonatal networks for some  
21 clinical trials, particularly in prematures.

22 DR. HUDAQ: Sure, the neonatal network is an NIH  
23 sponsored group of study centers for which there is  
24 competitive application by sites. It is headed up under NIH  
25 CD. I think it has been in existence now for 15 years, and

1 the network I think is a good example of how cooperation  
2 between NIH and academic centers can produce some meaningful  
3 and important results, and it also illustrates, frankly,  
4 some of the perils of doing large, multi-center trials where  
5 there is a significant lag phase in terms of an idea gets  
6 developed and when it gets implemented, and what happens in  
7 the interim in the clinical centers. But, this has led to  
8 some important information and clarification of therapies in  
9 neonatology, and that is a little bit different model than  
10 the orphan type diseases because we are never at a dearth of  
11 neonates, and it does target some of the important  
12 morbidities that we see in premature babies.

13 DR. WARD: I think the other area that is actively  
14 involved in multicenter trials is that of the pediatric  
15 pharmacology research units. Dr. Kauffman wanted to comment  
16 about it, but that has allowed also multicenter trials to  
17 proceed in areas of very important aspects of pediatric  
18 therapeutics, and to proceed fairly efficiently.

19 DR. CHESNEY: Yes, Dr. Balis?

20 DR. BALIS: The other disease I want to raise is  
21 neurofibromatosis, which is a disease that shares a lot in  
22 common with cancer and which many of the new agents that we  
23 are developing that are molecularly targeted may have  
24 application, but at this point there really is no other  
25 standard therapy, other than surgery.

1                   DR. CHESNEY: Several other categories that have  
2 occurred to me are the immune deficiency diseases; chronic  
3 granulomatous disease, very small numbers of patients,  
4 inevitably fatal, and I don't know about their support group  
5 but certainly SCIDs and some of the other better defined  
6 genetic immunodeficiency diseases have very elaborate  
7 support groups and networks. Then, the whole area of  
8 genetic and metabolic diseases, again, cystinosis falls in  
9 that category but probably other people here can think of  
10 many more of those. Dr. Danford?

11                  DR. DANFORD: I wish I could say that pediatric  
12 cardiology and heart disease had things in common with the  
13 research protocols and networks available in oncology but,  
14 unfortunately, I can't. There are scattered examples of  
15 multicenter trials but, by no stretch of the imagination,  
16 can we say the standard of care equals Phase III trials even  
17 in cardiology conditions that are treated with medicines  
18 rather than surgeries.

19                  The one place where we could say that there might  
20 be that kind of a situation would be in devices, and there  
21 the interventional cardiologists do have a well-developed  
22 nationwide network. Unfortunately, we just heard that FDAMA  
23 and the Pediatric Rule don't apply in those situations.

24                  DR. CHESNEY: Dr. Luban?

25                  DR. LUBAN: I would like to propose not a group

1 but, rather, a disease phenomenon that crosses groups, that  
2 is very, very common and requires a potential application of  
3 the rule, and that is in thrombosis, childhood thrombosis --  
4 very, very common; unfortunately, poorly treated. At this  
5 point, no organized clinical trials, although there have  
6 been some moves through the hemophilia treatment centers to  
7 incorporate thrombosis trials in those groups. And, with  
8 the advent of all of the new low molecular weight heparins,  
9 it is potentially an important avenue to explore.

10 DR. CHESNEY: Dr. Fink, I wonder if you could  
11 elaborate or tell us a little bit more about the cystic  
12 fibrosis situation, which I thought was very analogous to  
13 the oncology example.

14 DR. FINK: Well, there are 125 centers that are  
15 partially funded by the National CF Foundation that  
16 participate in collaborative Phase I, Phase II and Phase III  
17 trials, and recently the National Foundation has even gone a  
18 step further and developed eight therapeutic development  
19 network centers that take care of the Phase I and Phase II  
20 trials and to use the entire network for the Phase III  
21 trials, so that there is even a gradation, and centering  
22 Phase I and Phase II trials in larger academic centers that  
23 have a large population and heavy research support has led  
24 to more efficient production of Phase I and Phase II trials,  
25 and then the Phase III trials obviously, because of patient

1     needs, are spread to the wider network. That has been a  
2     combined effort that really has both federal and private  
3     funding.

4                 DR. CHESNEY: Dr. Luban, could you tell us more  
5     about the sickle cell networks?

6                 DR. LUBAN: The National Heart, Blood and Lung  
7     Institute has for years funded sickle cell centers which are  
8     a combination of both basic science as well as clinical  
9     research. For many years the clinical research was very  
10    single-institution directed, and it has just been within the  
11    last three or four years that there has been more of an  
12    attempt to bring those centers together and have them do  
13    cooperative clinical investigations and the initiation now  
14    of hydroxyurea trials.

15                 My understanding from the Branch is that they  
16    would like to do more and more clinical trials and, of  
17    course, the infrastructure is all paid for already by NIH,  
18    with nurse practitioners, data monitors, in a similar way  
19    although clearly in a much lower scaled way than the cancer  
20    cooperative groups. Certainly, also from a biological  
21    perspective, lots of animal models, SCID mouse particularly,  
22    as well as pharmacologic manipulation so that as drugs can  
23    be developed, and are being developed, there should be a  
24    mechanism to do some translational clinical trials.

25                 DR. CHESNEY: Yes, Dr. O'Fallon?

1 DR. O'FALLON: I believe there is an AIDS  
2 cooperative group for children.

3 DR. CHESNEY: Very active network of AIDS clinical  
4 treatment units, of which we have one at St. Jude, very  
5 actively involved in sharing data and comparing notes. Yes,  
6 Dr. Fink?

7 DR. FINK: Yes, one of the things that occurred to  
8 me yesterday when we were talking about psychoactive drugs  
9 is that almost all of the diseases and groups we are talking  
10 about share the issues of how do you cope at a family level  
11 with chronic disease? How do you administer chronic  
12 medications, and what do you do with the adolescent with a  
13 chronic disease? And, yet, none of the groups probably have  
14 the psychiatric expertise or maybe the number of patients to  
15 take on that issue, and there clearly is a need across  
16 pediatrics to try and understand family and individual  
17 coping and growing up with a chronic medical disability.

18 DR. CHESNEY: Thank you. I think we heard about  
19 autism yesterday which also very much falls into this  
20 category of relatively rare disease with a bad need for new  
21 drugs, new approaches. Dr. Ward?

22 DR. WARD: I would like to just provide something  
23 of an overview. I think we have just heard of multiple  
24 areas in pediatric medicine and pediatric problems that need  
25 additional therapeutic research. I think FDAMA can work,

1 and is working in many of these. And, from the February  
2 meeting, the FDA proposed mechanism by which trials at Phase  
3 I and Phase II level could qualify for exclusivity -- we  
4 heard it in its application to oncology drugs, but there are  
5 probably many other areas of therapeutics, from cystic  
6 fibrosis to cystinosis to other inborn errors of metabolism,  
7 that may benefit from that process.

8           When it comes time for renewal, I have concerns  
9 about trying to create carve-outs for specific clinical  
10 areas, especially if we have a process that can serve all  
11 areas of pediatrics effectively, because if one area is  
12 carved out and identified as unique many other areas will  
13 feel they are also unique, and the potential effect could be  
14 an unwinding of congressional support for renewal. And, I  
15 think we have to be very cautious in how we proceed over the  
16 next 18 months as this comes up for a great deal of debate  
17 and discussion.

18           DR. CHESNEY: Thank you. Any other comments?

19 Suggestions for other diseases which the FDA has asked for?  
20 I guess, not having been at the February meeting but having  
21 worked with our pediatric subcommittee for sometime, I would  
22 also like to emphasize what Dr. Ward just said so  
23 articulately. I think many of us in the room have disabled  
24 children or children with a limited life span, including  
25 myself, and I think we would all like a carve-out, if you

1 will, but I think that it is important that we try in every  
2 possible way to support FDAMA, and I am very impressed at  
3 what the Oncology Group has done at the FDA -- Dr. Pazdur's  
4 presentation today -- to work with FDAMA, and I just would  
5 like to reiterate what Dr. Ward said, that we should all try  
6 at every level to support what has been a historic  
7 contribution to pediatric care.

8 DR. MURPHY: I guess I want to second or third  
9 that because I think when you go to Congress you never know  
10 what you are going to come out with at the end, folks. So,  
11 we have something that is working. We are working on ways  
12 to make it work better where we have identified problems.  
13 If you tinker with it too much, you don't know that you are  
14 going to get it at all, first of all, secondly, you don't  
15 know what you are going to end up with.

16 It is like a new child having certain infirmities  
17 and we want to trade it in for another child, I would say  
18 let us work with this child and support developing this  
19 child, if you will, because it truly is a program in its  
20 infancy. Think about what the potential would be for moving  
21 all these various fields forward, if we could ever get to  
22 the point where we actually had products that are already  
23 out there that aren't labeled and get them studied, plus  
24 then move these developmental fields forward in all these  
25 areas of science -- we have an opportunity here, and I would

1 caution some restraint as we go forward and, instead of  
2 trying to fix every single problem use the tools we have  
3 been given and work with them and work to have FDAMA renewed  
4 very much in the format that it is -- not that FDA doesn't  
5 have its problems either with it, but we really believe that  
6 we are just now discovering how to work with this  
7 opportunity in the most positive way. Thank you.

8 DR. CHESNEY: Dr. Spielberg:

9 DR. SPIELBERG: I would fourth that. With the  
10 perspective of having been in pediatric pharmacology for 25  
11 years, this really is historic. I think most of us who have  
12 been in the field for a long period of time never would have  
13 imagined that we would be in the position where we are today  
14 where a lot of the past issues are no longer issues; where  
15 drugs are being actively studied; where large numbers of  
16 compounds which had been orphan for many, many years are now  
17 being actively studied.

18 The renewal of the legislation really is crucial I  
19 think not only to the issue of getting drugs studied, but  
20 really also has tremendous impact on the overall activities  
21 within pediatric departments around the country. It has  
22 stimulated a great deal of translational research.

23 If I can be critical of departments of pediatrics  
24 because I was in them for many years, even when I was junior  
25 faculty I was told there were two things you could do,

1 molecular biological or patient care, and that was it, and  
2 molecular biological was too difficult for clinicians and,  
3 after all, the molecular biologists didn't understand  
4 anything about patients so they should stay away.

5                   What this has done is revitalize the whole issue  
6 of translational research. The old model which I was taught  
7 in the '60s in medical school of bench to bedside really  
8 does have validity, of getting science to the patients who  
9 need it, and this initiative has really reawakened that in a  
10 remarkable way. It is truly critical for renewal not only  
11 for pharmacologic interventions but really for clinical  
12 investigation in pediatrics in general.

13                  And, there are certain things that have been done  
14 around the sites independent of the FDAMA effort, and I  
15 think that is another lesson that we can take. If there are  
16 specific issues, we can go outside the legislation to try to  
17 fix certain things. One example is that we have been  
18 working on legislation to increase the number of pediatric  
19 pharmacology trainees, you know, Ralph and I -- Bob is the  
20 only one who doesn't share our hairdo -- but we all are  
21 getting old and we recognize that so many years have gone by  
22 without pediatric departments focusing on the need for  
23 translational research that the next generation of  
24 translational researchers isn't there.

25                  But that legislation, including some clever things

1 that Sen. Dodd's and DeWine's office came up with of debt  
2 forgiveness of those who go into pediatric investigation and  
3 stay in that field, along with additional support for the  
4 PPRU network to actually support the training slots that are  
5 needed, is really one aspect of things that we can work on  
6 independent of FDAMA, but even that initiative is truly  
7 dependent on FDAMA renewal otherwise we are going to be  
8 training people who won't have jobs in the long run. One of  
9 the neatest things about trying to train young people is  
10 that they will have jobs, otherwise why spend all those  
11 years? And, FDAMA provides routes for pediatricians to be  
12 involved in government, to be at FDA, to be at NIH. It  
13 provides routes for them to go into industry because  
14 industry will be working on pediatric projects, and it will  
15 obviously provide routes for their careers in academia so  
16 that the studies can be carried out.

17 So, you know, if you look at that piece of  
18 legislation for trainees and then you look at FDAMA you say,  
19 "aha, the two work together and they really do complement  
20 each other." Similarly, I think as time goes by, I think  
21 Dianne is right, I mean we have had -- what? -- a year and a  
22 half experience with this, barely two; ten drugs getting  
23 labeled in the previous ten years for kids and eleven drugs  
24 getting labeled in the last year. I mean, that is a ten-  
25 fold increase in the rate of activity. And, obviously, from

1 all the compounds that are now being studied, all those  
2 things will lead into labels. We have made mistakes and we  
3 have realized knowledge gaps. Those knowledge gaps then can  
4 be turned into support for NIH funds to study those disease  
5 processes so that we can get outcome variables that we can  
6 study so that we can, in fact, get drugs properly labeled  
7 for kids. It all kind of beings to work together. But  
8 critical to the whole effort really is getting FDAMA renewed  
9 and keeping the process going.

10 DR. CHESNEY: If I could just make one more  
11 comment, my husband, for those of you who don't know, is the  
12 chairman of our department but if I had a dollar for every  
13 time he has come back from a meeting at the NIH or Congress  
14 and said, "I can't stand these internists; they forget that  
15 children aren't just little adults and that we absolutely  
16 need to focus on pediatric issues." And, I think there have  
17 been many positive outcomes of his work and many others, the  
18 PPRUs is just an incredible idea. Maybe, Ralph, you can  
19 tell me sometime whose idea it was, but these centers that  
20 are just devoted to studying drugs in children are just  
21 revolutionary. And, I think in so many ways FDAMA  
22 represents this major new movement in support of children  
23 that I would just add again to Dr. Spielberg's always  
24 articulate comments that it is really critical that we try  
25 to work within the system or we will be back where we were

1 when internists ran the show -- apologies to any internists  
2 in the room! Any other comments? Ralph?

3 DR. KAUFFMAN: I would just add briefly to Steve's  
4 comments, and that is we need to understand that renewal of  
5 FDAMA is not automatic. There is very powerful opposition  
6 out there that will be doing everything possible to try to  
7 see that FDAMA is not renewed, and will be lobbying whoever  
8 is in Congress next session very aggressively to try to keep  
9 this legislation from being renewed. So, it isn't going to  
10 be automatic, and all of us are going to have to engage in a  
11 concerted effort, those who have the welfare of children at  
12 heart, to make sure that this gets done because it certainly  
13 is not a sure thing.

14 DR. CHESNEY: Thank you. Dr. Ettinger?

15 MS. ETTINGER: I just feel, from the unique  
16 perspective of being at the bedside as a nurse, I can  
17 reiterate what Susan had said. It is really important that  
18 we put concrete measures from bench to bedside because it is  
19 our parents and it is the families who actually drive this  
20 including parent support groups in all of these, they are  
21 the ones who actually bring it forth and I think it is most  
22 important that we continue that.

23 DR. CHESNEY: Dr. Murphy, the last word.

24 DR. MURPHY: I just want to thank you for your  
25 thoughts and the fact that, as we move forward in each of

1 these areas, we will be bringing different issues to this  
2 committee, again usually supplemented with the  
3 subspecialists, as you saw yesterday with neuropharm., and  
4 actually they have been one of the more active participants  
5 in this last year and a half in trying to help us to develop  
6 priority setting in how we move forward in this area. And,  
7 we will, as always, listen to what you have said as far as  
8 some of the areas that we may need to look at in our future  
9 written requests as we try to move the science and the  
10 information that is available. Because -- it a very good  
11 point to end -- what are we trying to do? People say why is  
12 the label so important? Because that is FDA's way of  
13 providing the science and the information. Now, we are  
14 hoping to develop other mechanisms and being able to  
15 transmit the information to the public in other ways besides  
16 just the label, but for right now the label is our main say  
17 of communicating to both the professional and, through some  
18 of our package inserts and med. guides, to the patients and  
19 the families. And, that is the goal. The goal is that you  
20 will have the right information, meaning you will know how  
21 you are dosing the child. The mother and father have the  
22 expectation that when their child receives that medicine it  
23 will have been studied and we will know that it will work,  
24 and we will know how to advise them as to how the adverse  
25 effects are. So, we are expanding this spectrum of the

1 preclinical all the way to not just the bedside in the  
2 hospital but the bedside at home in the middle of the night  
3 when you should be able to expect something as simple and  
4 common as your anti-pruritic to have the right dose. So,  
5 thank you all very much.

6 DR. CHESNEY: I want to thank all of our speakers  
7 today. I want to thank Dr. Smith, Dr. Weiner, Dr. Pazdur,  
8 everybody who made contributions and comments this morning.  
9 I think this has been a very, very informative session for  
10 those of us who are not oncologists.

11 This meeting will reconvene at one o'clock.  
12 Yesterday lunch was in the Plaza Cafe and there was room  
13 reserved for the FDA; we are not sure if that is true today.  
14 I think our executive secretary has an announcement.

15 DR. TEMPLETON-SOMERS: I just want to clarify this  
16 afternoon's meeting because I think there might be some  
17 confusion. The pediatric subcommittee of oncology will  
18 convene at one o'clock in the Chesapeake Suites to talk  
19 about the extrapolation issue, and the pediatric  
20 subcommittee of the anti-infective drugs stays in this room  
21 and you will be meeting also at one o'clock.

22 DR. CHESNEY: Thank you very much.

23 [Whereupon, at 11:34 a.m., the proceedings were  
24 recessed]

**C E R T I F I C A T E**

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO

Miller Reporting Company (202) 546-6666 Min-U-Script®

anniversary 60:10	approximately 10:16; 22:15; 23:4; 24:23; 35:8; 37:2; 39:7	attorney 56:11	begin 89:9	bottom 86:10
announcement 8:1; 120:14	April 12:3; 13:11	atypical 98:3	beginning 11:19, 20;	boundaries 20:16; 45:16
ual 10:4	Apropos 45:6	audience 80:12	52:5; 85:25	bout 30:5
nually 22:13	area 10:24; 16:8; 17:3, 11; 44:15; 57:2; 59:15; 60:17; 67:3; 83:16, 19, 23; 84:25; 98:19; 99:14, 15;	authority 20:5; 82:14; 95:5	begins 11:5	BOYETT 5:12, 12; 55:4; 90:5, 6; 91:14
anti 24:5; 33:2	100:1; 107:13; 108:7; 112:11; 119:6	authorized 90:17	behalf 17:24; 21:15, 19	Brain 5:23; 19:9; 20:11; 23:6; 37:22, 24; 41:14; 47:4, 6; 48:11; 54:22
anti-antigenic 100:25	areas 11:2; 14:2; 15:7, 17; 16:7, 17; 18:7; 38:14; 84:5, 16; 89:16; 90:4; 93:6; 94:12; 97:17; 104:12;	autism 111:19	behaviors 40:22	brainstorming 11:8
Anti-arrhythmic 27:4	107:17; 111:24; 112:5, 10, 11, 12; 113:25; 119:1, 8	automatic 118:5, 10	behind 69:4	Branch 5:11; 110:15
anti-cancer 24:3	argue 91:16; 92:21; 93:5	availability 51:25; 100:16	beings 87:21; 117:7	break 15:13; 45:4; 96:13; 100:21
anti-infective 120:20	arguing 27:16	available 12:19; 29:22; 31:1; 33:9, 15; 44:4; 49:16; 53:9; 55:7; 80:20; 81:15; 82:11, 13; 92:4; 98:12;	below 25:21; 32:10	breast 19:6; 22:25; 29:1, 2, 9, 12; 61:15; 66:17; 80:24
anti-inflammatory 16:1	Arkansas 20:8	108:13; 119:10	bench 115:7; 118:18	bridge 61:20
anti-leukemia 43:13	arm 30:5, 6; 45:21, 22	avenue 100:10; 109:9	beneficial 71:6	brief 89:1; 102:24
anti-personnel 47:22	around 58:3; 114:21; 115:14	average 93:24	benefit 28:1, 11; 33:19; 36:1; 63:25; 70:16, 25;	briefed 64:5
anti-pruritic 120:4	array 60:21	avoids 28:19	71:1, 1; 79:17, 22, 23; 83:8; 85:20; 102:8;	briefly 118:3
anti-tumor 71:11	arrays 20:2	aware 9:12; 12:9; 51:12; 57:11; 60:18; 67:8	104:13; 105:7; 112:7	bring 12:11, 17, 21; 56:7; 89:24; 102:9; 106:9; 110:12; 118:21
antibiotics 85:15	arrhythmias 27:5	awareness 50:23	bringing 11:15; 12:12; 119:1	Bristol-Myers 8:23, 24; 9:1
antibodies 42:14	article 4:10, 23; 5:4	away 60:24; 115:4	better 22:4; 27:23; 28:16, 16, 17; 30:1, 5, 6, 7, 16;	broad-brush 13:18
antibody 87:13	articulate 92:25; 117:24	B 77:21	31:21; 32:10, 11; 35:23; 38:9; 41:16; 52:13; 55:19;	brought 58:13; 88:19; 102:1
anticipate 11:15	articulated 59:9	babies 107:12	58:2; 76:4; 83:18; 108:5;	Brown 30:5
anticipated 14:24	articulately 112:23	back 17:16; 18:9; 26:19; 59:18; 60:4; 61:7; 95:20;	113:12	Brunswick 6:1
antivirals 14:4, 20	articulating 50:25	98:18, 22; 102:21; 117:13, 25	beyond 22:8; 81:22	build 14:16
apologies 118:1	Ascent 9:4, 5	backward 66:20	bias 28:22	Building 8:16; 12:13; 34:25; 47:7
apoptosis 43:1	ascribe 28:13	bad 101:21; 111:20	big 4:10; 61:14; 98:23	busier 10:6
apparent 28:7, 11	ascribed 28:8	balance 50:7	bigger 77:24	busters 85:4
appear 14:5; 52:24	ashamed 60:4	BALIS 5:9, 9; 20:13; 79:7, 8; 107:19, 20	biologic 26:18	button 4:19
appearance 8:4	aside 19:2, 22; 32:7	ball 80:4	biological 22:21; 36:15; 97:17; 98:19, 25; 104:9;	C 77:21
appears 89:11	aspect 69:15, 17; 75:17; 116:5	banding 37:18	110:20; 115:1, 2	
applaud 103:18	aspects 11:10; 16:18; 51:14; 95:12; 107:17	banks 36:19	biologically 24:1	
licability 97:24	assent 30:21	Barbara 6:24	Biologicals 65:3; 87:12	
licable 66:25; 79:6	assessment 78:13; 83:1	barely 116:22	biologics 65:12; 104:19, 23, 25; 105:2, 5, 11	
application 14:25; 19:9; 20:6, 14; 21:7, 9, 12; 27:8; 62:8; 64:12; 66:6; 79:11; 80:23; 87:15; 106:24; 107:24; 109:2; 112:4	assessments 83:2	base 16:7, 8	biologists 115:3	
applications 12:2; 61:12, 17; 62:7; 64:15	assign 36:21	Based 8:5; 21:7; 29:24; 35:11, 13; 38:8; 41:24;	biology 19:3; 36:14; 39:11; 62:4; 97:16, 20	
applied 99:2, 11	assimilate 51:23	44:2; 72:8; 81:13; 90:9	biopharmaceutical 91:12	
applies 64:23, 25; 65:8, 10, 12; 68:12	assist 19:16; 88:25	bases 14:16	Biostatistics 5:13	
apply 18:19, 24; 78:7; 79:15; 84:4; 100:18; 101:2; 105:12; 108:23	Associate 4:22; 17:22, 23	basic 22:11; 23:15, 23; 50:18; 93:14; 110:8	birthday 47:5	
pplying 62:5	associated 19:5; 27:6; 36:3	basically 10:2; 61:10; 68:16; 73:7; 76:23; 93:3, 16	bit 51:5; 53:5; 55:5; 105:4; 107:9; 109:11	
approach 17:5; 24:20; 29:11; 35:9; 50:14; 58:17, 25; 59:7, 17; 75:7; 80:9; 85:12; 88:16, 23; 104:13	associates 38:19; 39:6	blind 52:4	blindly 84:17	
approaches 18:14; 36:2; 41:16, 19; 44:20; 49:18; 55:9; 61:16; 78:23; 81:10; 111:21	assurance 90:14	block-buster 58:23; 80:2	block-buster 58:23; 80:2	
approaching 25:25	Astra 8:24	Blood 27:12; 110:6	Blood 27:12; 110:6	
appropriate 30:12, 20, 22; 50:16; 55:23; 100:10	attach 56:3; 105:9	blue 32:8, 8, 13	blue 32:8, 8, 13	
appropriately 27:18	attached 76:21	board 45:8; 46:3	board 45:8; 46:3	
approval 70:21, 24; 71:4, 93:17	attack 85:22	bear 102:1	Bob 7:8, 19; 115:19	
rove 68:11; 70:23; 91:19, 23, 25; 93:14	attacks 87:14	beats 27:6, 8, 10	bomb 47:23	
approved 68:5, 6, 12; 70:5; 87:6; 91:18; 102:13; 105:11	attempt 71:13; 73:7, 14; 102:22; 110:12	become 10:23; 98:21	bonding 51:22	
	attempted 64:21	becomes 57:11	bone 32:22	
	attempting 63:6	bedside 115:7; 118:16, 18, 120:1, 2	books 96:25	
	attendant 12:12	bee 68:4	both 41:22; 48:24; 62:10;	
	attention 46:25; 106:10	began 12:3; 25:20; 57:2	68:12; 79:19; 96:19; 99:10; 102:3; 110:2, 8;	
			119:17	16; 117:3, 5, 5, 6, 18; 118:16

**Canada** 26:9; 37:10, 18; 44:6  
**Cancer** 5:7, 10; 6:20; 16:25; 20:13; 21:6; 22:2, 4, 11, 13, 16, 18, 24, 25, 25; 23:14, 18, 21, 25; 24:6, 13, 20, 21; 26:8; 29:1, 2, 9, 12; 30:12, 16, 31:2, 4, 8, 10; 32:22; 33:3; 34:9, 21; 35:3; 36:21; 37:4, 10, 14, 19, 20; 38:10, 14; 39:19, 25; 40:2, 9, 11, 14, 19, 19, 20, 23; 41:4, 7, 12, 17, 22, 25; 42:3; 43:25; 44:5, 11, 14, 16, 19, 23; 45:13; 47:1, 7, 12, 15, 23; 48:2, 5, 17, 24; 49:7, 14, 24; 50:6, 14, 16; 51:9; 52:14; 54:6, 7; 60:12; 61:15, 16, 16, 16, 20; 66:18, 18; 75:1, 3, 8, 12, 17, 22; 79:9, 11; 80:3, 24, 25; 81:9; 82:6; 96:6; 99:24; 101:1, 2; 102:4; 103:18; 107:22; 110:19  
**cancers** 22:20, 23; 23:4, 16; 24:1, 4, 19; 40:4, 16, 17; 41:8; 48:10, 10; 97:10, 11, 12, 16; 99:12  
**carcinoma** 60:14; 80:24  
**carcinomas** 23:1  
**card** 78:6, 10; 85:12  
**cardiac** 27:3  
**cardiologists** 108:21  
**cardiology** 108:12, 17  
**cardiorenal** 13:24; 14:3; 15:22  
**care** 6:15; 18:12; 28:16; 30:12; 44:18; 47:10; 51:8, 10; 52:15, 22; 55:11; 57:6, 8; 59:11; 62:17; 75:3, 4; 78:17; 84:7; 104:11; 105:22; 108:16; 109:19; 113:7; 115:1  
**care-taker** 58:8  
**career** 74:10; 106:9  
**careers** 116:15  
**carefully** 18:14  
**carried** 32:15; 116:16  
**carrot** 63:20; 77:17, 24  
**carve-out** 112:25  
**carve-outs** 112:9  
**carved** 112:12  
**case** 31:13; 34:12; 59:24; 60:1  
**cases** 20:23; 22:12; 24:2; 29:18; 30:2; 67:3; 69:23; 71:18; 80:5  
**cast** 52:17  
**categories** 13:20, 23; 15:13, 25; 62:7; 108:1  
**category** 14:15; 87:21; 106:12; 108:9; 111:20  
**catheters** 104:20  
**caught** 46:24  
**Cause** 5:20; 22:18; 46:15; 47:11

**causes** 42:21; 43:9  
**caution** 114:1  
**cautious** 112:15  
**CD** 106:25  
**CDER** 4:23; 5:2  
**cell** 42:4; 43:1; 81:15; 106:1, 3; 110:5, 7  
**cells** 42:2, 3; 43:1, 9  
**Center** 5:23; 7:2; 11:14; 17:22, 23; 39:1; 60:12  
**centering** 109:21  
**centers** 51:19; 105:23, 23; 106:3, 23; 107:2, 7; 109:6, 14, 19, 22; 110:7, 12; 117:19  
**Central** 6:20; 26:14; 40:4  
**centuries** 79:13  
**certain** 11:1; 20:23; 73:5; 89:2, 4, 6, 13, 16; 93:2; 113:16; 115:13, 17  
**certainly** 82:21; 94:11; 103:8; 106:2; 108:5; 110:20; 118:12  
**certainty** 14:13  
**CF** 105:23; 109:15  
**chair** 6:3; 7:20; 9:24  
**chairman** 5:12; 117:12  
**challenges** 46:16, 18; 102:18  
**chance** 45:22; 96:11  
**chancer** 42:9  
**change** 43:4; 75:13  
**changed** 25:7; 31:7  
**changes** 15:24; 25:17; 28:18; 55:20, 21; 56:8; 83:17  
**characteristic** 38:12; 70:12  
**characteristics** 18:6; 19:17; 22:21; 29:15; 41:25; 55:24; 61:23; 62:10, 13, 15; 65:6; 104:4, 7, 12  
**characterization** 20:7  
**chat** 53:17  
**chemical** 97:9; 98:20  
**chemotherapy** 28:25; 29:3, 4, 5, 6, 9, 10  
**Chesapeake** 120:18  
**CHESNEY** 4:3; 6:5, 5; 7:22; 9:19; 21:4; 45:1; 46:8, 20; 50:25; 54:18; 55:17; 56:24; 58:11; 59:20; 74:1; 76:2; 79:7; 81:6; 83:12; 86:13; 90:5; 96:8, 16; 99:6; 100:11, 20; 101:6; 102:15; 103:1, 22; 104:15; 105:14, 24; 106:5; 107:19; 108:1, 24; 109:10; 110:4, 25; 111:3, 18; 112:18; 114:8; 117:10; 118:14, 23; 120:6, 22  
**Chicago** 5:16; 6:23; 7:5; 20:4  
**chief** 7:6

**child** 16:12, 13; 47:3; 51:9; 52:14; 54:9, 12; 57:23, 25; 58:1; 75:12; 113:16, 17, 18, 19; 119:21, 22  
**childhood** 22:11; 23:14, 16; 24:21; 31:2, 4, 8; 35:3; 39:25; 40:9, 11, 14, 18, 21, 23; 47:15; 48:5, 9, 10; 109:3  
**children** 4:12; 11:1; 14:2; 18:10, 21; 20:23; 22:2, 4, 13, 15, 19, 20; 23:3, 5, 11, 17, 21, 25; 24:1, 3, 5, 6, 13, 18, 19; 25:9, 12, 14; 26:8; 30:12; 31:14; 32:4, 25; 33:22; 34:2, 4, 9, 15, 19; 35:2; 36:21; 37:2, 9, 19, 20; 38:2, 10, 13, 21; 39:19; 41:3, 5, 10, 13, 23; 42:8; 43:23, 25; 44:5, 19, 22; 45:10; 46:12; 47:14; 48:1, 17, 24; 49:4, 14, 22, 24; 50:6, 19; 54:5; 56:10; 62:17; 64:10, 11; 65:7, 8; 66:23; 75:1, 3, 7, 11, 21; 78:15, 18; 79:12; 82:8; 85:15; 90:4; 95:3; 96:6; 98:12; 103:18; 111:2; 112:24, 24; 117:15, 20, 22; 118:11  
**Children's** 5:13, 16, 20, 21; 6:12, 15; 7:5, 9, 11, 17; 11:3; 31:10; 34:21; 37:14; 38:7; 45:12; 46:2, 15; 47:11; 53:20; 81:9, 12, 21; 96:21; 99:14; 103:16  
**chose** 10:7  
**chromosomal** 42:19  
**chromosome** 42:13; 43:5  
**chronic** 43:13; 47:21; 108:2; 111:11, 11, 13, 17  
**circumstances** 30:23; 51:24; 59:24; 60:1; 84:12; 90:24  
**citizen** 60:25  
**City** 7:18  
**claim** 92:6  
**clarification** 107:8  
**clarify** 100:23; 120:15  
**class** 47:17, 18; 100:24  
**classical** 68:16  
**classification** 101:3  
**classifying** 35:6  
**clear** 10:23; 16:5; 61:3; 63:6; 74:2; 76:5; 87:11, 18; 93:3  
**clearly** 51:1; 59:9; 76:4; 77:14, 14, 18; 92:24; 95:13; 100:16; 110:19; 111:15  
**clever** 115:25  
**clinic** 76:14  
**clinical** 6:15; 7:17; 16:8; 21:16, 17; 22:1, 5; 23:14, 19; 24:12, 16; 26:3, 5, 18, 23; 27:11, 18; 28:5; 34:21, 22, 24; 35:10; 37:11; 38:9, 12, 19, 22; 39:6, 9, 15, 20; 43:25; 44:18; 45:25; 46:16, 18; 49:16, 23; 54:17; 60:12, 20; 62:21; 70:16, 25; 71:22; 82:11; 83:2, 22; 84:15; 87:19, 25; 88:11; 90:9; 97:1, 14, 14; 98:21; 106:4, 21; 107:7; 109:5; 110:8, 9, 13, 16, 24; 111:3; 112:9; 115:11  
**clinically** 29:19  
**clinicians** 115:2  
**close** 13:9  
**closed** 33:9; 45:21, 22  
**closely** 72:2; 92:12  
**closer** 56:17  
**closing** 43:22  
**co-chaired** 74:21; 75:16  
**COG** 84:1; 85:7; 87:2  
**cognate** 84:1  
**cognizant** 62:12  
**COHN** 5:15, 15; 100:22; 101:4  
**cohort** 40:10  
**collaboration** 44:10  
**collaborative** 109:16  
**collaboratively** 103:10  
**colleagues** 52:12; 60:16; 75:5; 80:10; 102:17  
**collect** 13:2  
**collecting** 39:6  
**collection** 36:16; 39:2, 10  
**College** 5:18  
**colleges** 6:22  
**colon** 61:20; 79:9, 11; 80:2  
**colorectal** 22:25; 60:14; 61:16; 80:24  
**column** 15:15  
**combination** 33:2; 56:2; 80:18; 110:8  
**combinations** 70:11  
**combined** 110:2  
**combining** 20:22  
**comfortably** 99:1  
**coming** 12:14; 13:21; 19:16; 54:25; 61:17; 77:5; 84:9, 11; 91:18  
**comment** 4:16; 9:18; 54:21; 66:16; 74:7, 8; 86:16; 91:22; 93:19; 96:17; 99:9; 103:3, 5; 106:20; 107:15; 117:11  
**comments** 8:21; 51:14; 91:4, 6, 15; 96:13; 100:12; 101:7, 8; 102:16; 103:23; 104:15; 112:18; 117:24; 118:2, 4; 120:8  
**commercial** 65:19; 92:5, 6, 10  
**commercially** 92:4  
**commitment** 32:21; 34:1, 5; 38:22; 39:23; 50:23; 70:25; 71:2, 16; 73:3; 81:13  
**Committee** 6:10; 7:21; 8:6; 11:11; 12:9, 18, 20; 13:16; 17:14; 17, 45:18; 24; 64:6; 91:19; 96:23; 103:24; 104:16; 119:2  
**committees** 39:16, 16, 17, 19; 45:12, 14  
**commodity** 55:8; 67:24  
**common** 48:5; 62:8; 64:16; 71:15; 107:22; 108:12; 109:2, 4; 120:4  
**communicating** 119:17  
**community** 46:25; 47:6, 7, 12; 48:6; 51:11; 53:18; 57:18; 62:11; 12; 67:22; 69:16; 22; 72:16; 73:4; 75:15; 77:20; 87:1  
**companies** 76:24; 77:7; 80:13; 81:19; 82:17; 83:8, 10; 84:20, 21, 23; 85:5; 97:3, 22; 99:11; 100:3, 6; 101:17  
**company** 76:5, 14; 77:12; 78:6; 79:10; 84:17; 86:18; 88:11; 89:4  
**compare** 32:8  
**compared** 29:20; 32:2; 93:8  
**comparing** 29:9; 32:11; 64:20; 111:5  
**comparison** 31:13; 64:22  
**competent** 14:12  
**competition** 77:3, 4  
**competitive** 106:24  
**complement** 116:19  
**complete** 33:8; 45:2; 59:2  
**completed** 10:17; 43:12; 15; 46:7  
**completely** 26:24; 75:22  
**completing** 66:5  
**completion** 72:5  
**complex** 14:24  
**components** 57:11; 81:17  
**compound** 85:21; 88:12; 89:4, 6, 8; 97:24, 25  
**compounds** 77:21; 85:6, 18, 20; 86:25; 89:2; 114:16; 117:1  
**concentrating** 69:18  
**concentration** 12:15  
**concept** 32:19; 35:5, 6; 66:14; 70:20; 91:24  
**concept-precise** 56:13  
**concern** 54:24; 58:21; 88:20; 90:12  
**concerned** 13:10  
**concerns** 59:8, 15; 86:9, 9; 87:4; 92:24; 103:12; 112:8

concerted 118:11  
concession 69:14  
concluded 74:11  
cluding 101:7  
.clusions 28:4  
concrete 56:8; 118:18  
condition 96:6  
conditions 72:9; 105:14;  
108:17  
conducted 21:17; 71:24  
conducting 26:5; 38:9;  
43:25  
confirming 36:20  
conflict 7:23; 25; 8:2  
conflicting 57:7  
conforming 50:11  
confusion 105:5; 120:17  
confusions 94:23  
**Congress** 11:17; 48:19;  
78:14; 90:2; 106:10;  
113:9; 117:13; 118:8  
congressional 11:11;  
112:14  
connections 89:9  
consensus 49:15  
consent 30:21; 51:12;  
52:5; 53:13; 57:3, 5, 22;  
58:3, 5; 75:8  
consequences 27:24  
consider 19:15; 30:23;  
36:15; 75:19  
sidered 29:22;  
30:11; 87:21; 97:15  
considering 99:19  
consistent 16:16  
Consortium 37:23, 25;  
81:11  
constant 25:2  
constituency 50:21  
constraints 89:13  
construct 88:16  
consultation 91:12, 13  
consulting 9:7  
**Consumer** 9:8  
contacts 85:9, 9; 102:7, 8  
context 30:17, 20; 55:16;  
86:12; 89:17, 18  
continue 17:9; 118:22  
continued 24:22; 25:20;  
81:3  
continuing 12:10; 44:22;  
50:23  
continuous 94:19  
contorted 58:5  
contrast 17:6; 63:20;  
65:5  
contribution 26:16;  
27:11; 70:12; 113:7  
tributions 26:2;  
120:8  
control 94:10  
convene 120:18  
convened 19:14

conventional 29:3, 10;  
43:18  
**conversation** 54:4;  
101:24  
**conviction** 76:15  
**convinced** 74:13; 75:1, 2  
**cooperation** 44:10;  
50:12; 86:22; 88:10, 13;  
107:1  
**cooperative** 16:25;  
18:10; 36:18; 37:13; 38:5;  
43:16; 49:13; 50:12; 55:2;  
13, 59:11; 63:8, 11; 65:18;  
69:21; 71:25; 73:10;  
74:23; 75:24; 77:3, 4;  
80:15; 82:24; 83:6; 88:17;  
22; 90:11; 95:7; 104:10;  
110:13, 20; 111:2  
**coordinating** 38:25  
**cope** 111:10  
**coping** 111:17  
**copy** 8:13; 104:4  
**core** 19:15  
**correlates** 68:16  
**cost** 39:9; 52:17; 78:14  
**costing** 78:12  
**costly** 78:10  
**costs** 44:18  
**counseling** 47:8  
**country** 44:12; 114:21  
**couple** 31:6; 85:6; 87:4  
**course** 17:1; 18:1, 4;  
30:20; 39:4; 42:7; 47:21;  
48:2, 11; 49:7; 50:1; 52:24;  
57:16, 22; 77:8; 85:6;  
110:17  
**covered** 96:15  
**craft** 50:16  
**crafted** 56:21  
**crafting** 56:12  
**create** 112:9  
**created** 48:20  
**creative** 85:23; 102:11  
**credibility** 80:22  
**Creighton** 7:3  
**crises** 53:5, 7  
**criteria** 79:16  
**critical** 42:24; 55:10;  
88:2; 114:23; 115:10;  
117:8, 24  
**critique** 90:17, 19  
**crosses** 93:2; 109:1  
**crowded** 15:10  
**crucial** 114:18  
**culture** 30:10  
**cup** 86:5  
**curative** 63:4  
**Cure** 25:10  
**cured** 24:18; 25:14; 41:5  
**current** 9:16; 35:16, 17;  
19, 20; 36:1, 4, 12; 41:6, 8;  
43:19; 55:25  
**currently** 24:19; 39:7;  
40:14; 43:19; 98:5

**curves** 32:3, 3  
**cut** 78:21  
**cut-off** 79:14  
**cystic** 105:17; 109:11;  
112:5  
**cystinosis** 108:8; 112:6  
**cytogenetics** 20:5, 6

## D

**DANFORD** 7:1, 1;  
108:10, 11  
**danger** 53:1  
**dastardly** 92:14  
**data** 12:14; 13:2; 14:15;  
18:24; 29:25; 39:2, 7; 45:7;  
8, 12, 13, 18, 24; 46:3;  
71:19, 20, 23; 81:14;  
110:18; 111:5  
**date** 42:1  
**Dave** 7:1  
**David** 20:7  
**day** 18:1; 21:3; 94:5  
**days** 4:7; 51:25; 52:6  
**DC** 7:9, 12  
**deaf** 52:4  
**deal** 51:23; 76:19; 93:22;  
94:3, 9; 103:7; 105:16;  
112:16; 114:22  
**dealing** 67:3; 84:11  
**dealt** 83:20  
**dearth** 107:10  
**death** 27:6; 43:2; 54:10  
**deaths** 41:13  
**debate** 112:16  
**debt** 116:1  
**decade** 25:11; 28:23;  
34:1  
**decades** 21:17; 41:2  
**December** 11:24; 12:4, 7;  
13:12  
**decide** 88:9  
**decided** 19:13  
**deciding** 88:12  
**decision** 38:7; 53:14;  
57:14; 62:3; 63:14; 69:20;  
82:23, 24, 25  
**decisions** 20:25; 21:1;  
47:9  
**declared** 9:20  
**decline** 24:22; 25:6, 19,  
20  
**declined** 25:4  
**decreased** 24:21  
**deductive** 27:2  
**deeds** 92:14  
**defer** 98:6  
**deferral** 12:3; 14:2; 15:1  
**deferrals** 13:13; 14:15  
**deferred** 14:6  
**deferring** 13:21; 15:5  
**deficiency** 108:2

disappointing 49:1	68:18; 79:24; 120:4	85:21; 91:16; 93:25; 95:3, 13; 96:20; 97:6; 98:14; 99:4, 11; 104:5	element 72:21; 88:6	112:10
disarray 52:17	<b>dose-finding</b> 87:23	<b>drugs</b> 4:11; 6:9; 7:21; 24:7, 8, 9; 27:8, 14; 33:3, 16, 16; 43:18; 48:21; 49:5, 16; 56:1, 4, 5; 60:24; 61:1, 13, 14, 14, 15; 62:14; 63:12, 15; 65:4; 68:5; 69:6, 15, 19; 70:23; 73:4, 15; 77:15; 80:19; 85:14; 86:24; 92:4; 97:2; 98:13, 23; 99:2; 100:24; 101:3; 104:19; 110:22; 111:8, 21; 112:4; 114:15; 19; 116:22, 23; 117:6, 20; 120:20	<b>elevated</b> 27:5	<b>essence</b> 62:19
discarded 32:16	<b>doses</b> 24:8; 29:4; 37:7; 92:5	<b>due</b> 11:12; 28:9, 14, 15, 18	<b>eligible</b> 29:6, 8; 64:25	<b>essential</b> 22:6; 23:16; 42:22
discarding 34:25	<b>dosing</b> 67:12; 119:21	<b>Duke</b> 5:24	<b>else</b> 106:15	<b>essentially</b> 18:9; 35:18; 82:10; 100:12
discipline 39:16, 17	<b>double-bind</b> 54:5; 57:1	<b>dumb</b> 52:4	<b>embarrassing</b> 11:20, 21	<b>establish</b> 55:13
disciplines 39:18	<b>down</b> 84:18; 93:22; 100:3	<b>duration</b> 93:23	<b>embryonic</b> 48:4	<b>established</b> 32:4; 33:22; 63:9; 104:10
disclose 8:22; 9:2, 4	<b>downstream</b> 98:22	<b>during</b> 18:4; 48:18; 52:5	<b>emerge</b> 45:23	<b>etc</b> 94:13
discovering 114:6	<b>dozen</b> 13:8	<b>dying</b> 16:14	<b>emerged</b> 46:5, 6	<b>ethical</b> 12:10
discuss 17:25; 20:5; 49:8; 62:2; 67:21	<b>dozens</b> 58:4	<b>dysfunction</b> 40:5	<b>emergency</b> 7:4	<b>etoposide</b> 32:21; 33:2, 7, 10, 17, 20, 24
discussed 8:8; 14:6, 10; 16:19; 17:2; 78:4, 5, 8, 13, 23	<b>DR</b> 4:3, 5, 11, 20, 22; 5:1, 3, 6, 9, 12, 15, 17, 19, 21, 23; 6:2, 5, 8, 11, 14, 17, 19, 21, 24; 7:1, 4, 6, 8, 10, 13, 16, 19, 22; 8:1, 18, 18, 18, 22; 9:2, 3, 19, 20, 23; 10:20, 20; 11:2, 21; 17:14, 20, 21, 22, 23; 19:24, 25; 20:4, 7, 9, 12, 21; 4:4, 4, 5, 11; 30:5, 9; 45:1, 1, 3, 5, 6, 11; 46:2, 8, 9, 11, 14, 20, 20, 20; 50:25; 51:1, 2, 5, 7, 17; 52:9, 10, 16; 53:3, 10; 54:18, 19, 20, 21; 55:4, 10, 17, 17, 18; 56:11, 24, 24, 25; 57:16; 58:11, 11, 12, 15; 59:6, 9, 20, 20; 60:2; 67:2; 74:1, 1, 3, 4, 5, 5, 7; 76:2, 2, 3, 20; 77:10; 78:1, 3; 79:7, 7, 8, 16; 80:1, 21; 81:6, 6, 8; 82:9, 15, 22; 83:3, 9, 12, 12, 13, 15, 20; 85:11, 24; 86:8, 13, 13, 13, 15; 87:17; 88:8, 15; 89:1, 11; 90:5, 5, 6, 18; 91:14, 22; 92:13; 93:13, 18; 94:18, 23; 96:2, 8, 11, 13, 14, 15, 16, 16, 17, 18; 97:4, 12, 19; 98:8; 99:1, 6, 6, 8, 8, 25; 100:8, 11, 11, 12, 13, 20, 20, 22; 101:4, 4, 6, 6, 6, 8, 9, 11; 102:6, 15, 19; 103:1, 5, 6, 22, 22, 24, 24, 25; 104:2, 15, 17, 23; 105:9, 14, 15, 16, 24, 24, 25; 106:5, 12, 18, 19, 19, 22; 107:13, 15, 19, 19, 20; 108:1, 10, 11, 24, 24, 25; 109:10, 10, 14; 110:4, 4, 6, 25, 25; 111:1, 3, 6, 7, 18, 21, 22; 112:18, 22; 113:3, 5, 8; 114:8, 8, 9; 117:10, 23; 118:3, 14, 14, 23, 23, 24; 120:6, 7, 7, 7, 15, 22	<b>E</b>	<b>employee</b> 8:12; 9:3	<b>ETTINGER</b> 5:25, 25; 118:14, 15
disorders 105:19	<b>drive</b> 118:19	<b>earlier</b> 14:12; 45:9; 77:8, 103:6	<b>empty</b> 86:6	<b>evaluate</b> 8:21; 35:12; 42:7
disrupt 59:14; 63:2	<b>driven</b> 58:20, 22; 87:2	<b>early</b> 24:17; 25:10, 24; 27:6; 42:5; 76:8; 79:5; 82:5; 84:4, 8, 18; 85:2, 7; 86:17; 95:10; 97:1, 14, 18, 24; 103:17	<b>encourage</b> 50:9; 67:19; 72:23; 76:25	<b>evaluated</b> 33:6; 44:21; 77:13; 93:23
distinction 57:8, 19	<b>drives</b> 59:12	<b>education</b> 47:13, 17	<b>end</b> 11:14; 22:6; 76:25; 94:5; 95:16, 17, 22; 103:8; 113:10, 15; 119:11	<b>evaluating</b> 28:19; 101:20; 102:11
distinctive 23:11; 24:1	<b>driving</b> 81:24; 90:3	<b>effect</b> 11:25; 13:11; 42:23; 49:12; 71:6; 112:13	<b>Evaluation</b> 5:7; 29:24; 34:2; 76:9; 85:7	<b>Evaluation</b> 5:7; 29:24; 34:2; 76:9; 85:7
distinguish 57:6	<b>Drs</b> 47:1	<b>effective</b> 15:19; 33:3; 50:19; 99:12	<b>even</b> 8:4; 10:6; 13:8; 14:8, 22; 16:16; 23:8; 61:18; 67:25; 71:9; 77:3; 78:23; 83:4, 20; 84:1; 87:20; 92:3; 97:15; 108:16; 109:17, 21; 114:24; 116:6	<b>event</b> 9:9
distinguished 19:22;	<b>drug</b> 7:14; 10:15, 24, 25; 17:2; 31:20, 22; 32:20; 34:3; 42:24, 25; 56:17, 20; 59:21; 60:13; 61:10, 20, 22; 63:18; 66:12, 17, 19, 21; 67:1, 6, 10, 15; 68:10, 10, 11; 69:9, 22; 71:5, 7, 9; 76:3, 5, 8, 12, 15; 77:8, 23, 24; 80:2; 82:23; 83:2;	<b>efficacy</b> 11:13; 18:23, 24; 30:15; 68:8, 9; 70:13, 19; 71:3	<b>enforcement</b> 49:6, 12; 50:8	<b>event-free</b> 31:12; 33:21; 42:20
58:9	<b>drugs</b> 7:14; 10:15, 24, 25; 17:2; 31:20, 22; 32:20; 34:3; 42:24, 25; 56:17, 20; 59:21; 60:13; 61:10, 20, 22; 63:18; 66:12, 17, 19, 21; 67:1, 6, 10, 15; 68:10, 10, 11; 69:9, 22; 71:5, 7, 9; 76:3, 5, 8, 12, 15; 77:8, 23, 24; 80:2; 82:23; 83:2;	<b>efficiency</b> 35:22; 38:8; 101:19	<b>enforcing</b> 49:5	<b>eventual</b> 34:2; 65:24; 67:6
distress 53:25; 60:19	<b>driven</b> 58:20, 22; 87:2	<b>effectively</b> 112:11	<b>engage</b> 118:10	<b>everybody</b> 4:14; 120:8
distribution 22:23; 23:4; 38:25; 41:12	<b>drives</b> 59:12	<b>effectiveness</b> 11:12	<b>engine</b> 90:3	<b>evidence</b> 21:7; 44:3; 57:24
diversity 48:3	<b>driving</b> 81:24; 90:3	<b>effects</b> 40:4, 8; 41:7; 25; 109:10, 10, 14; 110:4, 4, 6, 25, 25; 111:1, 3, 6, 7, 18, 21, 22; 112:18, 22; 113:3, 5, 8; 114:8, 8, 9; 117:10, 23; 118:3, 14, 14, 23, 23, 24; 120:6, 7, 7, 7, 15, 22	<b>ensuring</b> 103:21	<b>evidence-based</b> 18:9; 21:9, 12
divided 23:5	<b>Drs</b> 47:1	<b>enter</b> 28:9; 39:9	<b>Ewing's</b> 32:22; 33:1, 3, 5, 7, 10, 23; 34:4; 45:7	<b>Ewing's</b> 32:22; 33:1, 3, 5, 7, 10, 23; 34:4; 45:7
Division 5:1, 4; 59:21; 61:13; 66:12	<b>drive</b> 118:19	<b>entered</b> 37:3, 11; 39:8; 68:21, 24; 77:6	<b>exact</b> 56:22; 65:22	<b>exact</b> 56:22; 65:22
divisions 15:14	<b>driven</b> 58:20, 22; 87:2	<b>entering</b> 37:5; 38:21	<b>exactly</b> 68:23; 85:24	<b>exactly</b> 68:23; 85:24
DNA 20:2	<b>drives</b> 59:12	<b>entire</b> 15:3; 63:23, 23; 64:24; 65:10; 67:10; 73:21, 23; 92:19; 109:20	<b>examination</b> 20:18	<b>examination</b> 20:18
documents 12:19	<b>driving</b> 81:24; 90:3	<b>entirely</b> 52:19	<b>examine</b> 18:13; 19:13; 70:15	<b>example</b> 25:1, 16; 27:3; 28:22; 31:9; 32:18, 21; 38:2; 42:12, 17; 58:7; 67:1; 82:18; 85:13; 91:23; 97:25; 100:25; 107:1; 109:13; 115:17
Dodd's 116:1	<b>Drs</b> 47:1	<b>entities</b> 68:5	<b>examples</b> 24:25; 31:6; 34:8; 54:23; 108:14	<b>example</b> 25:1, 16; 27:3; 28:22; 31:9; 32:18, 21; 38:2; 42:12, 17; 58:7; 67:1; 82:18; 85:13; 91:23; 97:25; 100:25; 107:1; 109:13; 115:17
dollar 117:12	<b>drug</b> 7:14; 10:15, 24, 25; 17:2; 31:20, 22; 32:20; 34:3; 42:24, 25; 56:17, 20; 59:21; 60:13; 61:10, 20, 22; 63:18; 66:12, 17, 19, 21; 67:1, 6, 10, 15; 68:10, 10, 11; 69:9, 22; 71:5, 7, 9; 76:3, 5, 8, 12, 15; 77:8, 23, 24; 80:2; 82:23; 83:2;	<b>efforts</b> 4:13; 17:21; 18:2; 23:15; 36:6; 76:23	<b>entries</b> 37:5	<b>excellence</b> 51:20
done 4:6; 14:14; 15:17; 52:7; 67:13; 68:18; 70:6; 73:7, 19; 76:1; 86:11, 12; 87:20; 88:2; 92:10; 94:10; 103:19; 113:3; 115:5, 13; 118:12	<b>drugs</b> 7:14; 10:15, 24, 25; 17:2; 31:20, 22; 32:20; 34:3; 42:24, 25; 56:17, 20; 59:21; 60:13; 61:10, 20, 22; 63:18; 66:12, 17, 19, 21; 67:1, 6, 10, 15; 68:10, 10, 11; 69:9, 22; 71:5, 7, 9; 76:3, 5, 8, 12, 15; 77:8, 23, 24; 80:2; 82:23; 83:2;	<b>eight</b> 109:18	<b>entity</b> 38:6	<b>except</b> 4:7
Donna 5:17	<b>driven</b> 58:20, 22; 87:2	<b>either</b> 12:2; 30:1, 14; 40:8; 45:21; 54:14; 68:3; 79:18; 85:11; 105:13; 114:5	<b>entries</b> 37:5	<b>exception</b> 69:18
dose 29:5; 66:22; 67:15;	<b>drives</b> 59:12	<b>elaborate</b> 53:4; 55:3; 106:14, 16; 108:6; 109:11	<b>enumerated</b> 19:2	<b>exceptional</b> 62:20; 69:5

**exclusivity** 12:22; 13:6, 25; 14:3; 15:18, 19; 48:25; 50:9; 56:3, 16; 63:22; 65:1, 17; 75:6; 93:3, 13; 71:12, 18, 21; 77:18; 78:6; 79:5; 81:25; 84:9; 87:10; 89:25; 90:2; 91:16; 92:11, 11; 94:6; 96:1; 99:4, 4; 104:7, 19; 105:1, 3, 10; 112:3  
**execute** 73:14  
**executed** 72:12, 14  
**executive** 6:9; 7:23; 120:14  
**exempt** 92:3  
**exert** 61:21; 63:6; 81:2  
**exist** 11:6; 14:2; 87:11  
**existence** 76:22; 104:10; 106:25  
**existing** 63:22; 70:5; 73:8  
**exists** 63:7  
**expand** 76:17  
**expanding** 119:25  
**expect** 10:15; 50:11; 120:3  
**expectation** 119:22  
**expeditiously** 44:21  
**expense** 87:7  
**expensive** 29:16; 78:20, 24  
**experience** 41:6; 47:14, 3; 52:11, 11; 53:19; 60:4, 16; 82:16; 91:17; 116:22  
**experienced** 56:12  
**experiences** 12:14  
**expert** 20:8; 95:7  
**expertise** 24:10; 111:14  
**experts** 16:20; 17:18; 19:14, 15, 15; 26:11; 63:16  
**explain** 12:24; 17:15  
**explained** 17:12  
**explore** 109:9  
**explored** 57:4  
**expression** 49:11  
**extend** 19:20; 22:14, 17; 65:2  
**extension** 63:21; 69:3; 71:18; 72:11; 104:18  
**external** 16:19  
**extraordinary** 48:9  
**extrapolate** 20:19; 64:17; 79:3; 80:23  
**extrapolating** 61:18, 25  
**extrapolation** 12:12; 87:23; 120:19  
**extremely** 19:8; 74:2; 82:2; 94:16; 102:17

## F

**face** 53:6; 80:4  
**faced** 53:6

**facilitate** 82:5; 99:17  
**facilitating** 89:2  
**facilitation** 82:4; 83:6  
**facilitator** 63:12; 73:12  
**facilities** 96:5  
**fact** 10:15; 11:7, 11; 23:18; 60:9; 74:15; 84:10; 85:25; 92:9; 94:9; 105:5; 117:6; 118:25  
**factor** 28:15; 42:15  
**factors** 26:18; 35:15; 36:10, 16, 20; 37:1  
**faculty** 114:25  
**fail** 54:11  
**failed** 54:9  
**fairly** 13:4; 107:18  
**fairness** 9:16  
**faith** 69:8, 11; 71:8; 81:3  
**fall** 91:3; 106:12  
**falls** 100:16; 108:8; 111:19  
**familiar** 12:20  
**families** 21:20; 30:23, 24; 44:12; 46:12; 47:9, 14; 48:1, 4; 51:15, 20; 52:12, 15, 17; 75:11; 118:19; 119:19  
**family** 46:16, 19; 47:20; 51:4; 54:1; 57:21; 111:10, 16  
**family's** 75:13  
**far** 10:13; 11:25; 13:10, 13, 25; 16:7, 8; 60:11; 64:5, 13; 72:25; 98:11, 22; 104:18; 119:7  
**farnesyl** 82:19  
**fashion** 81:5  
**fatal** 27:4; 108:4  
**father** 119:21  
**faux** 60:6  
**favorable** 5:5  
**FDA** 4:6, 13; 6:10; 9:11; 17:1; 44:17; 46:25; 48:18; 50:13; 52:2; 54:25; 59:9, 22, 22, 25; 60:11; 64:1; 65:16, 21; 66:2, 5, 9; 68:6; 70:22; 72:7, 21; 73:5; 74:10, 13, 22; 75:1, 2, 22; 79:10; 82:4, 5, 24; 83:7; 90:14, 16; 91:18, 25; 92:3; 94:14; 95:4; 96:23; 102:9, 17, 22; 103:12, 19; 112:2, 19; 113:3; 114:4; 116:12; 120:13  
**FDA's** 8:17; 49:4; 119:12  
**FDAMA** 14:23; 16:5; 48:25; 49:2; 50:4, 15; 55:20, 22; 56:3, 4, 6, 8, 19; 58:22; 59:12; 61:5; 63:13; 64:20, 22, 23; 65:5; 75:19; 78:11, 13; 85:12, 17; 86:16, 18; 87:5, 16, 18, 22; 24; 93:20; 94:10; 95:3, 11; 96:21; 98:13; 99:2; 100:17; 101:13; 102:2, 4; 103:20; 104:6, 24; 108:22;

111:25; 113:2, 4; 114:3; 115:14; 116:6, 7, 11, 18; 117:8, 21; 118:5, 7  
**featured** 20:1  
**features** 94:18  
**February** 16:23; 55:21; 58:12; 74:8, 11, 17; 101:11; 102:10; 103:7; 112:1, 20  
**federal** 110:2  
**feel** 14:12; 15:9; 21:2; 27:20; 60:2; 69:5; 86:6; 112:13; 118:15  
**feeling** 60:22  
**feels** 93:10  
**fees** 9:7  
**felt** 17:3; 30:22  
**fence** 74:16  
**fertility** 40:4  
**few** 15:21; 22:12; 26:7; 36:23; 40:25; 41:21; 45:20; 61:16, 17; 69:6; 97:17  
**fibrosis** 105:17; 109:12; 112:6  
**field** 16:16; 59:10; 61:24; 114:12; 116:3  
**fields** 113:21, 24  
**fifty** 18:9  
**figure** 46:22  
**finalized** 72:8  
**Finally** 22:19; 49:19; 53:22  
**financed** 48:22  
**financial** 8:6; 9:11, 17; 76:7, 10, 15; 77:22, 24; 100:6  
**finding** 75:19  
**findings** 34:9  
**fine** 96:20  
**finished** 15:18  
**FINK** 7:8, 8; 45:5, 6; 100:11, 12; 105:15, 16; 106:13; 109:10, 14; 111:6, 7  
**FINKLESTEIN** 6:2, 2; 74:5, 7; 80:8; 83:15, 21; 85:24; 101:11; 102:19; 103:6  
**firm** 8:9; 9:17  
**firms** 9:10  
**first** 4:21; 11:7; 13:4; 15:20; 21:5, 24; 22:11; 25:1; 26:4, 22; 29:15; 32:24; 35:18; 43:22; 49:9, 21; 53:14; 54:8; 68:15; 74:4, 5; 78:4, 22; 87:17; 95:20; 113:14  
**fish** 60:3  
**five** 10:8  
**fix** 114:2; 115:17  
**flecainide** 27:7  
**flexibility** 50:22; 58:24; 25; 59:16; 62:5; 67:19; 68:22, 25; 101:24; 102:1; 103:13; 104:6; 24; 108:22;

103:13  
**flexible** 50:14  
**Florida** 7:7  
**focus** 36:5; 41:21; 42:13; 86:25; 88:12; 117:16  
**focused** 37:23  
**focusing** 31:13; 37:25; 115:22  
**fold** 116:25  
**folks** 113:10  
**follow** 55:18; 77:10; 91:14; 98:8  
**followed** 65:23, 24  
**following** 8:1; 40:20; 65:6; 67:11; 70:12  
**Food** 10:14; 63:18; 96:19; 97:6  
**force** 47:25  
**foreseeable** 50:20  
**forever** 75:13  
**forget** 117:14  
**forgive** 60:7  
**forgiveness** 116:2  
**form** 58:4, 5; 86:16  
**formal** 93:17; 102:3  
**format** 114:4  
**formation** 86:22; 87:3  
**formed** 53:11; 81:12  
**former** 60:11  
**forms** 51:12; 75:9  
**forth** 77:5; 78:18; 83:15; 88:19; 103:12; 118:21  
**fortunate** 46:14  
**forward** 10:25; 18:1; 21:2; 32:15; 75:19; 100:5; 113:21, 24; 114:1; 118:25; 119:6  
**found** 16:17; 64:10, 10; 65:7  
**Foundation** 109:15, 17  
**founded** 47:11  
**founder** 5:20  
**four** 4:7; 21:17; 38:6; 41:2; 110:11  
**fourteenth** 47:5  
**fourth** 41:14; 114:9  
**Frank** 5:9; 20:12  
**frankly** 107:3  
**free** 51:25; 59:2  
**Freedom** 8:15  
**fresh** 61:8  
**FRIEDMAN** 5:23, 23; 76:2, 3; 77:10; 96:14, 15  
**Friedman's** 80:8  
**friendly** 15:12  
**frustrating** 81:17  
**frustrations** 84:15  
**FUCHS** 7:4, 4  
**full** 71:4; 86:7  
**full-page** 4:10  
**funded** 109:15; 110:7  
**funding** 110:3

**funds** 100:9; 117:4  
**funnel** 63:13; 69:15; 73:14, 15  
**further** 54:24; 67:14; 70:15; 71:12, 13, 17; 82:7; 96:22; 109:18  
**fusion** 42:18, 21; 43:6, 8  
**future** 22:7; 27:24, 25; 41:1; 44:9; 50:20; 119:8

## G

**gain** 76:7, 10, 15; 77:22; 89:25  
**gains** 48:9  
**gaps** 11:1; 117:3, 3  
**gastroenterology** 16:2  
**gave** 102:23  
**gee** 76:11  
**GELLER** 6:24, 24  
**gene** 49:11  
**general** 6:17; 8:11; 59:24; 60:1; 62:11; 70:1, 2; 91:8; 92:22; 93:5; 95:1, 11; 98:6, 10; 104:5; 115:12  
**generally** 31:1  
**generate** 48:20; 65:17; 66:11  
**generated** 65:17, 21; 66:2, 9  
**generation** 46:23; 63:4; 115:23  
**generic** 97:20  
**generically** 96:6  
**generous** 69:3, 13  
**genetic** 43:4; 67:1; 108:6, 8  
**gets** 87:6; 107:5, 6; 118:12  
**ghost** 85:4  
**ghost-buster** 85:3  
**given** 30:22; 31:3; 82:17; 97:24; 104:18; 114:3  
**gives** 61:7; 65:14  
**giving** 46:21; 54:23  
**glad** 44:24; 45:25  
**goal** 48:5, 13; 74:25; 88:21, 21, 22; 119:19, 19  
**goals** 50:5; 58:25  
**goes** 94:14; 116:20  
**Gollup** 19:24, 25  
**Good** 4:3; 17:20; 36:4; 52:8; 60:2, 24; 69:8, 11; 71:8, 19; 81:3, 14; 82:2, 9; 85:25; 89:11; 103:4; 107:1; 119:10  
**GORMAN** 6:17, 17; 96:16, 17; 98:8, 99:25  
**Gorman's** 100:13  
**government** 8:12; 98:12; 116:12  
**gradation** 109:21  
**granted** 8:12; 63:23;

64:11; 69:3; 71:12; 72:11

**granting** 65:24; 69:13; 71:18, 20

**grants** 8:23; 9:5

**granulomatous** 108:3

**grateful** 46:22, 24

**great** 51:18, 23; 91:9, 9; 93:22; 94:3, 9; 103:7; 112:16; 114:22

**greater** 36:3; 50:22; 60:17; 62:5; 93:24; 102:1

**green** 4:19

**Greg** 74:18; 75:16

**grip** 82:10

**group** 6:19, 20; 7:11;

12:24; 18:11; 19:15;

34:21; 35:18, 19; 36:19;

37:15, 15, 15; 38:5, 7;

45:13, 13; 46:3; 55:2;

58:16, 18; 59:3; 61:5;

62:24; 63:8; 65:19; 67:22;

69:21; 74:22; 81:9, 9, 12;

22; 82:24; 83:6, 20; 96:19;

97:7; 99:14; 103:16;

105:25; 106:5, 23; 108:4,

25; 111:2; 113:3

**group's** 82:25

**Group-1922** 31:10

**groups** 16:25; 35:18, 20;

37:14, 22; 38:6; 39:14;

43:16; 44:19; 49:13;

50:12; 53:10, 11; 55:13;

59:11; 63:11; 71:25;

73:10; 74:23; 75:24; 77:3,

4, 8; 80:15, 16; 83:24;

88:17; 90:11; 104:10;

105:16; 106:14, 17; 108:7;

109:1, 7; 110:20; 111:9,

13; 118:20

**growing** 106:4; 111:17

**growth** 42:5, 15

**guarantee** 71:25

**guess** 54:3; 59:14; 86:15;

88:8; 89:1; 92:20; 94:23;

112:20; 113:8

**guest** 8:17

**guests** 8:17

**guidance** 11:22; 12:7;

17:6, 7, 8; 58:16; 72:22;

24; 92:18; 103:11, 17

**guidelines** 45:16

**guides** 119:18

## H

**h** 70:21

**hairdo** 74:19; 115:20

**half** 23:4; 31:15, 17; 86:5, 7; 116:22; 119:5

**halted** 71:7

**hammer** 63:7

**hand** 52:23, 23; 73:13; 81:2; 83:14

**handout** 34:7, 11; 41:19

**handouts** 22:8

**hands** 54:13; 100:14

**happen** 19:25; 85:8

**happened** 13:12; 74:13; 102:10

**happening** 13:18, 22; 15:1, 7

**happens** 43:8; 107:6

**hard** 61:19; 66:18; 96:20

**harm** 42:2

**harmful** 54:12; 58:1

**head** 5:6; 7:13

**headed** 106:24

**health** 40:12; 43:23; 47:25; 63:25; 86:21; 88:21, 22; 105:7, 19

**health-associated** 40:22

**healthcare** 44:11

**healthy** 48:8

**hear** 18:3, 12; 58:19; 59:6

**heard** 10:13; 12:10; 83:9;

88:19; 89:4, 18; 108:22;

111:18, 23; 112:4

**Hearing** 9:20; 57:10;

96:9; 102:25; 103:2

**heart** 16:14; 27:12; 40:3;

57:16, 18; 108:12; 118:12

**Hearth** 110:6

**hearts** 57:18

**heavy** 81:2; 109:23

**help** 20:17; 54:23; 56:9;

59:16, 17; 75:21; 119:5

**helped** 51:24

**helpful** 35:21; 58:18; 74:2

**hematologic** 19:10

**hematologist** 7:10, 11;

38:16

**hematology** 6:4

**hematopoiesis** 43:10

**hemophilia** 109:6

**Henry** 5:23; 80:8

**heparins** 109:8

**high** 28:24; 29:4; 43:13;

90:13

**high-dose** 28:25; 29:6, 9

**higher** 27:14; 29:6, 7

**himself** 10:21

**HIRSCHFIELD** 5:3, 3;

17:15, 20; 21:5; 30:9; 45:3;

47:2; 51:2, 7; 52:9, 10;

53:3; 73:20; 80:1; 82:9;

90:18; 96:2; 101:4, 6;

103:24; 104:2

**histology** 22:21

**histopathology** 20:9

**historic** 113:6; 114:11

**historical** 21:24; 38:6

**historically** 30:24; 37:14

**history** 18:8, 16; 56:20;

104:8

**hits** 98:2

**Hodgkin's** 34:15; 40:21

**hold** 92:17, 19, 20, 23, 24;

93:2; 94:25

**home** 120:2

**honored** 21:2

**hope** 12:7, 16; 17:4;

20:16; 49:8; 71:14; 74:14;

77:2; 80:18; 84:8; 89:12;

91:15; 94:11

**hopefully** 98:15; 102:8

**hoping** 13:8; 90:2;

119:14

**Hopkins** 20:9

**Hospital** 5:14, 22; 6:12,

15; 7:5, 9, 12, 17; 11:3;

38:3; 52:20, 20, 21, 23;

120:2

**hospitals** 53:20

**house** 15:7

**Houston** 5:18; 60:17

**HUDAK** 7:6, 6; 106:19, 22

**huge** 93:11

**human** 87:21

**hunch** 30:5, 6

**hundred** 34:23; 80:5

**hundreds** 21:16; 29:17;

47:8; 52:11

**husband** 117:11

**hydroxyurea** 110:14

**illustrating** 33:14

**imagination** 108:15

**imagine** 93:12

**imagined** 114:13

**imaging** 26:15

**imminent** 53:1; 54:9

**immune** 108:2

**immunodeficiency** 108:6

**impact** 8:9; 52:13, 55:3;

56:7; 78:9; 87:11; 114:20

**impaired** 40:4

**impedes** 101:18

**implement** 24:12; 39:20;

64:14; 73:6

**implementation** 11:18;

16:5; 61:5; 63:5; 94:21;

102:2

**implemented** 107:6

**implementing** 101:13

**implications** 8:10

**importance** 21:25; 22:3;

26:19, 22; 72:15

**important** 16:15; 19:11;

26:4; 30:3, 9; 34:9, 12, 14;

35:5; 38:12; 40:15; 46:12;

49:25; 51:21; 53:4, 20, 23;

25; 54:16; 62:22; 65:22;

67:23; 68:2, 6; 69:1, 14;

17; 71:19; 72:20; 73:11;

17; 75:9; 82:2; 83:20; 84:1;

86:11; 89:17; 93:19;

94:20; 95:6; 107:3, 8, 11,

17; 109:9; 113:1; 118:17;

22; 119:12

**impressed** 113:2

**improve** 23:20; 26:3;

41:10

**improved** 25:23; 32:2;

33:10; 34:3; 43:23; 48:21

**improvement** 28:12;

36:14; 48:12

**improvements** 25:1, 8;

26:15; 28:7; 44:22

**improving** 29:25; 30:1;

38:8

**in-house** 14:25; 79:4

**inability** 19:11

**inadequate** 91:20

**inborn** 112:6

**incentive** 18:23; 61:6;

63:19; 64:20, 24; 76:16;

77:7; 100:6; 104:7

**incentives** 50:8

**incentivizing** 101:16

**incidence** 25:7; 47:24;

79:14

**include** 65:6; 104:25

**included** 65:4; 88:4; 98:6

**includes** 38:23; 104:23

**including** 30:18; 33:23;

38:15; 83:8; 94:3; 99:4;

105:3; 112:24; 115:25;

118:20

**incorporate** 43:17; 109:7

**incorrect** 89:22

**increase** 48:22; 76:17;

115:18; 116:25

**increased** 15:25; 46:25

**increasing** 35:1, 22;

53:16

**incredible** 4:6; 85:25;

117:18

**incremental** 2

**input** 94:13; 95:7; 96:3  
**inquiries** 80:14, 14, 16  
**inserts** 119:18  
  **ances** 57:20  
  **ead** 87:5; 114:1  
**Institute** 5:10; 16:25;  
19:24; 20:13; 21:6; 27:12;  
37:4; 44:14; 110:7  
**institution** 39:6  
**institutions** 37:17, 20;  
39:5, 8  
**integrity** 72:1; 80:22  
**intended** 93:4  
**intensity** 41:9  
**intensive** 36:5  
**intent** 13:16; 72:14; 92:6,  
6, 10  
**interact** 47:18; 84:7  
**interacting** 60:16  
**interactions** 84:8  
**interactive** 50:20  
**interest** 7:24, 25; 8:2, 6;  
9:11, 16; 89:5, 8; 93:15;  
100:1  
**interested** 57:2, 9; 65:20;  
71:19, 24; 72:23; 77:16  
**interesting** 82:9; 101:25  
**interests** 8:19; 86:20  
**interim** 45:14; 107:7  
**intermediary** 53:25  
  **nal** 11:7; 47:22  
  **ternationally** 20:10  
**Internet** 53:16  
**internists** 117:14; 118:1,  
1  
**interpersonal** 85:9  
**interpret** 73:13; 103:19,  
25  
**interpretation** 49:2;  
75:21; 103:13  
**interprets** 96:24  
**intervening** 97:5  
**intervention** 28:13, 14  
**interventional** 108:21  
**interventions** 115:11  
**into** 4:4; 10:23; 11:25;  
13:11; 16:4; 25:20; 35:18;  
38:6; 50:19; 56:8; 61:13;  
63:3, 14; 64:17; 65:15;  
67:4; 73:8; 82:5; 85:7;  
89:2; 100:14; 106:12;  
111:19; 116:2, 13; 117:2, 4  
**intravenously** 31:22  
**introduce** 4:15; 10:20;  
68:3; 72:15; 73:8  
**introduced** 10:21; 19:23  
**introducing** 85:22  
  **roduction** 9:22; 21:24;  
  2  
**intrusions** 58:1  
**invaluable** 36:19  
**invest** 50:10  
**invested** 24:15

**investigated** 68:13  
**investigation** 83:23;  
115:12; 116:2  
**investigations** 110:13  
**investigator** 5:10; 58:8;  
91:18; 93:7  
**investigator-initiated**  
38:1  
**investigators** 24:10;  
39:5; 63:8; 73:10; 80:15;  
82:6; 106:16  
**investment** 49:3  
**invitation** 80:17  
**invitational** 16:23  
**invited** 8:17; 16:24  
**involve** 9:9; 40:3, 3  
**involved** 8:23; 34:23;  
38:24; 39:18; 50:21;  
60:13; 63:11; 73:19, 20;  
101:19; 107:14; 111:5;  
116:12  
**involvement** 9:13, 17  
**involves** 39:1  
**involving** 40:10  
**IP** 32:3  
**irrational** 47:10  
**irrelevant** 56:4  
**issue** 8:2; 12:25; 55:22;  
58:24; 64:13; 76:19;  
84:18; 85:4; 87:18; 88:1,  
23; 92:22, 23; 93:5; 94:25;  
101:13; 111:15; 114:19;  
115:5; 120:19  
**issued** 10:14; 15:16, 20;  
87:8; 89:23  
**issues** 8:7; 9:22; 11:6;  
12:10, 12; 17:2; 51:1;  
54:25; 58:13, 15, 19; 59:7,  
16; 83:14; 84:4, 9, 13;  
85:2; 87:24; 88:18;  
111:10; 114:14, 14;  
115:16; 117:16; 119:1  
**issuing** 88:17  
**iterate** 15:22  
**IV** 14:9; 32:11, 16; 71:2

## J

**Jacksonville** 7:7  
**James** 5:12  
**Janssen** 8:24; 9:7  
**January** 11:12  
**Jerry** 6:2; 80:8  
**Jersey** 6:1  
**Jim** 55:4  
**Joan** 6:5  
**job** 4:6; 60:11; 75:25  
**jobs** 116:8, 10  
**Johns** 20:9  
**Johnson** 7:14, 14; 8:25;  
9:1, 1, 3, 3  
**join** 11:4; 19:24  
**Journal** 45:25

**Jude** 5:13; 6:7, 12; 111:4  
**Jude's** 38:2  
**judged** 49:21  
**Judith** 6:19  
**July** 13:4; 15:19  
**June** 11:23  
**junior** 114:24  
**justification** 70:15  
**justify** 92:25

## K

**Kansas** 7:17  
**Karen** 6:8; 7:22  
**KAUFFMAN** 7:16, 16;  
8:18, 22; 55:17, 18;  
107:15; 118:3  
**keep** 49:10; 59:15; 85:14;  
118:8  
**keeping** 117:9  
**Keith** 6:21  
**kids** 56:2; 79:10; 86:4;  
94:16; 101:15, 21; 102:13;  
116:23; 117:7  
**kind** 51:21; 54:15; 56:20;  
57:14, 24; 61:7; 64:7; 85:1;  
99:3; 108:20; 117:7  
**kinds** 84:4; 85:10  
**knowing** 85:2  
**knowledge** 19:20; 20:19;  
49:10; 98:10; 117:3, 3  
**known** 20:17; 70:20  
**knows** 52:3; 68:22  
**KRAILO** 46:2, 2

## L

**lab** 76:12, 13  
**label** 13:7; 68:10; 119:12,  
16, 16  
**labeled** 12:23; 105:8;  
113:23; 116:23, 24; 117:6  
**labeling** 48:22; 49:4, 9  
**labels** 117:2  
**laboratories** 82:6  
**laboratory** 38:17; 82:13,  
21  
**lag** 107:5  
**language** 58:5  
**large** 14:15; 29:16; 42:1;  
81:15; 84:22; 107:4;  
109:23; 114:15  
**larger** 36:13; 109:22  
**laser-like** 48:8  
**last** 11:22; 12:9; 13:5;  
15:24; 28:23; 40:25;  
41:21; 55:21; 60:10;  
110:11; 116:24; 118:23;  
119:5  
**late** 34:21; 40:16; 97:5  
**later** 26:19; 46:5; 49:8  
**latter** 71:14

**Laughter** 4:25; 10:1;  
74:20; 90:20, 22; 106:8  
**law** 55:25; 56:9  
**laws** 73:13; 78:1; 96:24;  
24; 100:5  
**layers** 49:15  
**lead** 16:4; 70:20; 73:17;  
117:2  
**leadership** 47:1; 105:20  
**leading** 22:18; 26:15;  
43:6  
**leads** 13:24  
**learn** 51:12; 62:4  
**learned** 96:22  
**least** 91:9, 10, 11  
**leave** 32:7; 54:3  
**leaves** 49:5  
**leaving** 10:11  
**LeBeau** 20:4  
**led** 21:18; 26:17; 45:9;  
107:7; 109:23  
**left** 31:16; 58:14; 63:15;  
98:22  
**left-hand** 4:20; 15:15  
**legal** 98:17  
**legally** 89:22  
**legislation** 11:13; 73:6;  
79:1; 114:18; 115:16, 18,  
25; 116:18; 118:9  
**less** 15:10; 24:7; 25:24;  
45:7; 48:7; 76:14  
**lesson** 115:15  
**lessons** 46:15, 18  
**letter** 67:18; 88:9  
**letters** 80:17; 83:5  
**leukemia** 23:6, 10; 25:2,  
4, 7, 9; 31:10; 34:20; 37:8;  
41:14; 42:21; 43:1, 9, 13  
**leukemias** 23:5  
**leukemogenic** 42:23;  
43:6  
**level** 14:13; 17:7; 66:12;  
86:22, 25; 88:10, 11, 12;  
92:1; 111:10; 112:3; 113:6  
**levels** 26:11, 12; 30:19;  
43:13; 92:3  
**lie** 82:12  
**lies** 47:24  
**life** 41:6; 46:22; 52:24;  
75:13; 95:16; 105:21;  
106:1; 112:24  
**life-altering** 52:16  
**life-threatening** 18:8;  
52:25; 54:7; 95:9, 15;  
104:8  
**light** 32:13  
**likely** 56:18, 18  
**limit** 22:14; 103:17  
**limited** 19:8; 23:17; 28:5,  
6; 77:5; 82:1; 93:8; 112:24  
**line** 32:8, 12, 13; 51:20;  
63:23, 24; 64:24; 65:10;  
86:11  
**lined** 85:4

**lines** 31:24; 32:8; 81:15  
**lining** 19:5, 6  
**linked** 77:23  
**list** 104:24; 105:6, 8  
**listed** 37:8; 72:6  
**listen** 90:21; 119:7  
**listening** 86:8, 8, 9, 15  
**listing** 64:22  
**literally** 79:13  
**literature** 27:3  
**little** 9:24; 25:17; 49:3;  
51:5; 52:13; 53:4; 54:22;  
64:2; 105:4; 107:9;  
109:11; 117:15  
**lived** 47:20; 106:7  
**lives** 52:15  
**living** 47:22  
**lobbying** 118:7  
**local** 51:9  
**logic** 27:5, 17  
**logical** 26:25; 27:10; 42:6  
**Long** 6:3; 18:8; 48:12;  
55:11; 72:13; 78:16, 17,  
18; 86:6; 92:21; 98:20;  
101:14; 114:12; 116:8  
**long-term** 32:20; 34:5;  
36:6; 40:1, 8, 12; 41:7;  
43:23; 44:1  
**longer** 34:14; 56:2;  
69:10; 114:14  
**look** 18:18; 21:2; 32:10;  
61:12; 66:22; 68:15; 69:4;  
70:8, 9; 75:14, 19; 78:25;  
81:4; 91:4; 8; 95:12;  
104:24; 105:1, 6; 116:17,  
18; 119:8  
**looked** 19:7; 24:17; 29:1;  
55:15; 79:1; 80:4; 92:11  
**looking** 17:10; 20:24;  
30:16; 40:15, 16, 17, 19,  
21; 41:1; 45:14; 57:5, 13;  
70:3; 72:1, 11; 78:8; 88:5;  
92:2; 94:21  
**loophole** 87:15  
**Los** 5:22  
**lot** 56:22; 58:22; 59:10;  
75:7; 80:25; 83:14;  
101:25; 107:21; 114:14  
**lots** 110:21  
**loud** 104:3  
**Louis** 6:25  
**love** 76:12  
**low** 35:25; 109:8  
**lower** 32:2; 110:19  
**LUBAN** 7:10, 10; 105:24,  
25; 106:18, 19; 108:24, 25;  
110:4, 6  
**Lumpkin** 17:22  
**lunch** 120:12  
**lung** 22:25; 27:12; 61:16;  
80:24; 110:6  
**lungs** 19:6; 40:3  
**lymphoblastic** 23:6, 10;  
25:9; 31:10; 34:20

**lymphoma** 23:10  
**lymphomas** 25:16

## M

**M.D** 29:1; 60:12, 17  
**Mack** 17:21  
**magazine** 20:1  
**main** 119:16  
**maintain** 54:14; 88:20, 21  
**major** 60:6; 62:6; 64:12; 117:22  
**majority** 37:4; 67:2; 69:23  
**makes** 42:6; 57:14; 58:6; 62:8; 69:8; 88:8  
**making** 22:18; 59:2; 76:23; 82:13  
**Malcolm** 5:6; 21:5; 75:8  
**malignancies** 62:8, 19; 64:16; 70:5  
**management** 53:22  
**mandate** 18:21; 64:7  
**mandated** 11:17  
**mandatory** 65:7  
**manipulation** 110:22  
**manner** 36:14  
**many** 16:12; 21:15; 38:14; 41:8; 48:4, 10, 17; 52:17; 53:10; 56:1; 57:20; 58:4, 13, 15; 64:13, 14, 19; 68:23; 73:19; 78:17; 80:11; 84:23; 90:4; 92:14; 95:12; 106:11; 107:22; 108:10; 110:9; 112:1, 5, 12, 23; 114:16, 16, 24; 115:21; 117:17, 17, 21  
**Mark** 7:6; 46:2  
**market** 56:6, 7; 89:23  
**marketable** 76:6  
**marketing** 63:22; 70:25; 71:5  
**markets** 61:15  
**marrow** 5:17  
**Maryland** 6:18  
**material** 66:7; 94:8  
**materials** 53:12  
**mates** 47:18  
**math** 78:19  
**matter** 11:6; 57:17; 97:22  
**matters** 8:11; 83:11  
**may** 8:9, 14; 9:18, 12:11; 14:7, 8, 9, 25; 15:3, 4, 7; 20:18; 24:3, 6, 7, 8, 9; 28:14, 15, 18; 30:4, 5; 36:1, 5; 42:6; 49:21; 50:15; 51:10; 58:3; 64:11; 66:25; 67:4; 70:20; 72:11; 76:18; 77:2, 11, 21, 21; 78:25; 79:1, 21; 85:17, 22; 93:9; 97:7, 25; 104:3, 13; 107:23; 112:7; 119:8  
**maybe** 55:22; 61:1; 90:21; 94:24; 106:5, 15

111:14; 117:18  
**McNeil** 9:8  
**mean** 11:20; 14:8; 30:1; 59:2; 68:4; 71:22; 89:3; 116:21, 24  
**meaning** 76:18; 101:15; 119:20  
**meaningful** 29:19; 79:17, 21, 22; 100:6; 107:2  
**means** 14:7; 52:23, 25; 91:9  
**meantime** 10:19  
**measure** 42:2  
**measures** 118:18  
**mechanism** 85:19; 90:16; 92:17, 17, 20; 102:5; 105:12; 110:24; 112:2  
**mechanisms** 18:18; 78:5; 119:14  
**med** 119:18  
**mediated** 87:14  
**medical** 5:3; 7:2; 49:25; 52:1, 8; 53:7, 21; 60:20; 61:13; 68:17; 73:1; 111:17; 115:7  
**medications** 111:12  
**Medicine** 5:18; 6:22; 7:5; 18:9; 21:8, 9, 12; 60:7; 111:24; 119:22  
**medicines** 108:17  
**meet** 76:24; 79:20, 21; 83:10; 94:18, 22; 95:9, 14  
**meeting** 8:3, 4, 5, 13; 16:23; 58:13, 14; 72:8; 74:8, 15, 17, 21, 21; 88:6; 95:19; 101:11; 103:7, 8; 112:2, 20; 117:13; 120:11, 16, 21  
**meetings** 76:24, 25, 25  
**meets** 66:8  
**member** 39:4; 45:18  
**members** 57:21  
**membership** 64:6  
**Memoria** 7:5  
**Memorial** 5:16  
**Memphis** 6:6, 12; 91:17  
**menace** 47:25  
**menacing** 52:21  
**mention** 80:21  
**mentioned** 14:11; 42:5; 75:16; 82:4; 106:13  
**Merck** 8:24  
**Mercy** 7:17  
**merged** 38:6  
**met** 46:4; 72:10  
**metabolic** 14:4, 6; 16:1; 108:8  
**metabolism** 112:6  
**metastatic** 28:25; 29:2  
**Michelle** 20:4  
**micro** 20:2  
**microphone** 4:19; 54:20; 103:4

**mid-1960s** 25:3, 3, 19  
**mid-1980s** 32:24  
**middle** 4:10; 120:2  
**might** 55:20; 56:9, 15, 19; 57:12; 66:23, 24; 81:1; 82:7; 86:17, 20; 98:2; 102:1; 105:14; 106:1; 108:19; 120:16  
**mind** 74:25  
**minimizing** 36:6  
**minority** 24:18  
**minutes** 10:8; 26:7; 36:23; 40:25; 41:21  
**misconception** 93:14  
**misleading** 28:23  
**mission** 9:21; 82:12  
**Missouri** 7:18  
**mistakes** 117:2  
**model** 55:1; 63:3; 98:2; 107:9; 115:6  
**modeling** 81:20; 86:23; 89:7  
**models** 82:2; 85:8; 97:23; 98:1, 5; 99:11; 110:21  
**Modernization** 10:15; 11:18; 63:18  
**modifications** 85:11  
**moieties** 97:9, 14; 98:20  
**moiety** 63:24  
**molecular** 41:25; 49:11; 68:5; 70:4; 100:9; 109:8; 115:1, 2, 3  
**molecularly** 41:24; 42:12; 107:23  
**moment** 10:20  
**money** 58:23  
**monitoring** 45:8, 12, 14, 16, 18, 24; 46:3  
**monitors** 110:18  
**monoclonal** 42:14  
**month** 11:14  
**months** 13:3; 56:15, 18; 99:5; 112:16  
**morbid** 106:2  
**morbidities** 107:12  
**more** 9:24; 11:5; 13:21; 14:24; 15:22; 24:7; 26:7; 27:22; 28:1, 2; 34:23; 36:2, 4; 42:4; 45:10; 47:11; 50:14; 22; 51:5; 53:5; 55:15; 56:16, 18; 62:4; 64:2, 13; 73:9; 77:10, 25; 78:12, 24; 83:20; 84:1; 85:20; 86:6; 92:13; 94:10; 100:4, 7, 20; 102:10; 104:5; 108:10; 109:11, 24; 110:4, 11, 16, 16; 117:10; 119:4  
**morning** 4:3; 9:21; 10:7; 13:16; 16:5; 17:5, 12, 20; 18:4; 19:23; 21:5; 46:14; 21; 59:9; 60:2; 83:18; 102:18; 120:8  
**mortality** 22:18; 24:20; 22; 25:2, 3, 17, 19, 22;

27:4, 9, 14; 40:16; 41:12  
**most** 14:5; 18:10; 22:19; 24:1; 25:13; 26:4; 30:15, 22, 24; 31:2; 37:9, 19; 44:20; 48:10; 49:17, 25; 50:1; 53:15; 56:6; 57:20; 62:22; 64:15, 19; 68:2; 69:17, 18; 71:15; 74:10; 81:14, 16; 82:11; 90:8; 93:20; 100:19; 105:10; 114:7, 11; 118:21  
**mostly** 7:11; 84:3  
**mother** 119:21  
**motivation** 87:4  
**mouse** 110:21  
**move** 10:23; 96:21; 113:24; 118:25; 119:6, 9  
**movement** 117:22  
**moves** 109:6  
**moving** 41:22; 59:15; 113:20  
**much** 14:24; 21:3; 45:1; 50:25; 52:7; 74:1; 76:2; 78:14; 93:24; 100:7; 110:19; 111:19; 113:13; 114:4; 120:5, 22  
**multi-center** 107:4  
**multi-disciplinary** 75:7  
**multi-modality** 38:13  
**multicenter** 107:14, 16; 108:15  
**multiple** 26:11, 12; 30:17; 18; 77:4; 85:5; 111:23  
**Murphy** 4:5, 11, 20, 22; 22:9; 20, 23; 17:22; 21:4; 58:11, 12; 78:3; 79:16; 85:11; 87:17; 88:15; 89:11; 92:13; 94:23; 101:9; 103:25; 104:23; 113:8; 118:23, 24  
**Murphy's** 7:4  
**must** 12:1, 2; 38:15; 62:12, 25; 63:9; 64:1, 8; 65:8, 23, 23; 67:13; 103:14  
**mutation** 67:1  
**myeloid** 37:8; 43:13  
**myself** 73:23; 112:25

## N

**name** 4:17; 23:9  
**namely** 48:3  
**Naomi** 7:10  
**National** 5:10; 16:24; 20:13; 21:6; 23:15; 26:11; 27:12; 37:4; 44:14; 67:23; 105:17; 109:15, 17; 110:6  
**nationwide** 23:19; 26:3, 15; 108:22  
**natural** 104:8  
**nature** 85:12; 94:5, 10  
**nausea** 85:16  
**NCI** 23:18; 26:2; 52:1; 62:24; 63:9; 73:11; 74:22; 75:23; 80:14; 83:7; 97:22; 99:13; 100:9, 13  
**NCI-sponsored** 37:11  
**NCIC** 93:15  
**NDA** 68:7  
**near-maximal** 41:9  
**near-perfect** 63:7  
**nearly** 24:21  
**neatest** 116:9  
**Nebraska** 7:2  
**necessarily** 68:8; 100:24  
**necessitated** 104:5  
**necessity** 51:19  
**need** 9:12; 12:11, 24; 16:15; 20:24; 23:23, 24; 24:9; 27:21; 28:2; 41:9, 15; 49:25; 50:15; 22; 51:23; 56:1; 57:24; 65:2; 67:5, 14; 68:8; 79:19, 23; 81:25; 85:10; 88:9, 22; 90:7; 91:11; 94:16; 97:9; 103:23; 111:15, 20, 24; 115:9, 22; 117:16; 118:4; 119:8  
**needed** 16:18; 50:20; 61:25; 116:5  
**needs** 16:6; 22:7; 41:1; 50:13; 52:7; 85:7; 86:11; 18:88:3; 98:21; 106:11; 110:1  
**neonatal** 106:20, 22  
**neonates** 107:11  
**neonatologist** 7:19  
**Neonatology** 7:7; 107:9  
**nephrologists** 106:6  
**nephrotic** 106:16  
**nervous** 40:5  
**network** 83:24, 25; 106:4, 16, 22; 107:1; 108:22; 109:19, 20; 110:1; 111:3; 116:4  
**networks** 83:24; 105:23; 106:20; 108:7, 13; 110:5  
**neuro-oncology** 51:21  
**neuroblastoma** 23:7; 34:16; 37:24, 25; 41:15; 81:9, 10, 22; 82:19  
**neurofibromatosis** 107:21  
**neurologic** 16:13  
**neuromuscular** 105:18  
**neuropharm** 14:3; 15:22; 119:3  
**Nevertheless** 60:15; 62:9; 69:8  
**New** 4:9; 6:1, 1; 17:13, 17; 18:16; 20:22; 22:12; 25:23; 26:6; 27:1; 28:8, 11, 13, 14, 18; 29:23, 23

31:21; 32:4, 11, 13, 14;  
33:22, 25; 35:12; 37:6, 7,  
23, 25; 41:9, 16, 22; 42:8;  
44:1, 3, 16; 48:6, 16, 21;  
9; 51:12; 54:25;  
6, 56:5, 5; 60:21; 61:7;  
63:13, 24; 68:5, 12, 69:15;  
70:4; 72:15; 73:8, 14;  
80:19; 81:10, 18; 87:13;  
90:25; 97:13; 99:17;  
100:9, 10, 101:20; 102:11,  
11; 103:17; 107:22; 109:8;  
111:20, 21; 113:16;  
117:22

**newcomer** 99:25

**newly** 43:17

**newness** 56:20

**News** 20:1

**next** 11:15; 34:13, 24;  
46:22; 59:20; 75:6;  
112:16; 115:23; 118:8

**NHLBI** 106:3

**night** 120:2

**NIH** 43:24; 44:1; 106:22,  
24; 107:2; 110:17; 116:12;  
117:4, 13

**NMDA** 105:23

**nobody** 68:22; 103:2

**non-Hodgkin's** 23:9

**non-randomized** 28:5

**non-serious** 95:16

**non-specific** 42:2

  e 9:20; 17:25; 29:4;  
  3; 80:3; 111:13

**nor** 50:4; 83:4

**normal** 42:2; 43:10;  
52:24

**normally** 15:11; 97:21;  
105:2

**North** 6:20

**notably** 48:10

**note** 34:7; 68:7

**noted** 9:13

**notes** 111:5

**notion** 86:20; 102:7

**Novartis** 9:5

**novel** 36:2; 84:10

**number** 10:24; 11:9;  
15:16; 16:19, 24; 28:23;  
33:8; 38:1; 42:11; 45:20;  
62:16; 77:5; 84:22; 91:3;  
93:8, 22; 94:4; 111:14;  
115:18

**numbers** 15:14; 23:17;  
36:13; 81:15; 82:1;

100:19; 108:3; 114:15

**nurse** 6:1; 52:3; 54:2, 2;  
110:18; 118:16

**nurses** 38:18

## O

**o'clock** 120:11, 18, 21

**O'FALLON** 6:19, 19;

110:25; 111:1

**objective** 44:21; 81:4;

90:11, 13

**objectively** 8:20

**observed** 43:14; 71:6

**obstacles** 103:20

**obtained** 8:14

**obtaining** 82:15; 92:11

**Obviously** 65:2; 67:14;

68:9, 22; 76:21; 77:5;

82:25; 92:2; 100:14;

109:25; 116:15, 25

**occur** 22:24; 23:11, 12;

24:2; 25:10

**occurred** 108:2; 111:7

**occurrence** 53:15

**occurring** 79:10

**occurs** 15:3; 69:2; 79:12

**OD** 31:25

**off** 17:16; 56:17; 58:23

**off-label** 49:16

**offer** 20:13; 50:4; 53:21

**Office** 8:15; 38:24; 116:1

**officer** 5:4

**offspring** 40:4, 19

**often** 23:11; 28:5, 8; 48:4;

53:14; 79:2; 84:5; 92:14

**oftentimes** 52:18

**old** 32:9, 12; 68:3, 4; 85:3;

115:6, 21

**older** 56:17

**Omaha** 7:3

**once** 73:9

**Oncologic** 6:9; 97:13

**oncologist** 5:8, 16; 6:3,

11; 7:2, 11; 38:16, 17;

54:1; 60:19, 21; 62:24;

74:10

**oncologists** 28:17;

60:23; 73:1, 2, 2; 74:24;

76:11; 80:11; 84:2, 22;

86:2, 2, 3, 10; 96:4; 98:7;

120:10

**Oncology** 5:4, 11; 6:4;

16:2, 8, 18; 17:3, 25; 18:5,

6, 12, 17; 19:1; 20:17;

21:8, 10, 13; 24:11; 28:18;

30:10; 32:20; 35:5, 37:15;

38:7; 39:18; 45:13, 25;

46:3, 13; 48:6, 15, 21;

49:5, 17, 20; 50:8, 10, 21;

55:8; 56:9; 57:17; 59:1, 21;

23, 25; 61:5, 6, 11, 15, 24;

62:1, 11, 14, 24; 66:12;

67:10; 68:5, 13, 17, 17;

69:16; 72:16, 20; 73:4;

75:5; 77:16, 20; 81:12, 21;

85:14, 19; 87:1; 89:18;

92:9; 96:19; 99:14;

103:16; 104:5; 105:15;

106:17; 108:13; 109:13;

112:4; 113:3; 120:17

**One** 12:9; 13:7; 14:2, 25;

15:22; 17:4; 18:18; 19:20;

20:18; 22:19; 23:15;

26:24; 27:3; 28:7, 22;

34:11, 24; 37:11; 45:21;

51:11, 18; 52:22, 22;

53:19; 56:1, 14; 57:4, 25;

25; 58:25; 59:8, 14; 60:15;

61:12; 62:1, 16; 64:11;

66:22; 67:13; 70:7, 8;

71:15; 73:11; 74:6, 25;

75:17; 76:3, 77:10, 18, 25;

78:2, 12; 79:17; 81:17;

84:17; 86:25; 89:1, 12;

92:13, 15; 93:5, 18; 94:11;

18, 23; 96:22; 97:17;

98:13, 16; 100:15, 20;

106:5, 7; 108:19; 111:4, 7;

112:11; 115:17, 20; 116:5;

8; 117:10; 119:4; 120:11,

18, 21

**one's** 57:25; 58:1

**one-year** 60:10

**ones** 24:11; 36:1; 118:21

**ongoing** 10:18; 34:11;

39:23; 43:15, 24; 46:5

**only** 16:6; 20:17, 20, 25;

24:18; 52:10; 64:25; 65:8;

10; 72:21; 73:5, 23; 96:24;

100:1; 114:19; 115:10, 20

**onto** 37:3, 5, 11

**OP** 32:3

**open** 31:3; 51:25; 73:22;

90:1; 96:9; 102:25; 103:1

**operating** 51:18

**operations** 38:23

**opportunities** 42:11, 14,

15; 50:2; 102:9

**opportunity** 46:21;

53:21; 55:15; 83:17;

84:21; 85:1; 113:25; 114:7

**opposed** 93:20

**opposite** 62:20; 74:18

**opposition** 118:5

**optimal** 45:10

**oral** 31:21; 32:9

**Order** 4:2; 36:9; 54:10

**organization** 76:10;

77:15, 22, 25; 83:22;

105:18

**organized** 109:5

**organizing** 4:6

**origin** 48:4

**originally** 54:14

**origins** 19:7

**orphan** 48:4; 65:3, 12;

85:21; 98:14; 99:2, 3, 4;

100:18; 105:22; 107:10;

114:16

**others** 38:19; 102:6;

117:17

**otherwise** 19:10; 116:7,

10

**ought** 86:24

**out** 11:14, 23, 24; 12:7;

14:23; 15:13; 17:8; 46:11;

52:23; 53:17; 59:6; 60:3;

75:19, 20; 77:13; 78:24;

80:11; 82:17; 84:19;

85:19; 86:3; 91:4, 5; 92:15;

95:22; 99:1, 104:3;

112:12; 113:10, 23;

116:16; 118:6

**outcome** 23:20; 25:1;

26:4; 29:2, 25; 30:1; 32:2;

33:10; 34:3, 19; 36:4, 12;

41:10; 42:19; 44:22;

72:10; 117:5

**outcomes** 40:18; 58:16;

70:18; 117:17

**outline** 21:23

**outlined** 76:7; 89:19

**outside** 100:16; 102:16;

115:16

**outsider** 96:18

**over** 4:20; 10:13; 15:18;

18:1; 21:16; 22:14, 17, 19;

25:12, 21; 28:23; 29:18;

34:1, 13; 37:17; 41:2, 3;

43:7; 52:6; 55:6; 64:2;

94:10, 19, 22; 112:15

**overall** 114:20

**overdue** 78:16, 17, 18

**oversees** 73:21

**overview** 13:15, 18; 45:3;

67:12; 111:23

**own** 16:6; 52:11; 66:11

**owns** 9:4

## P

**p** 45:7

**pace** 49:10

**pack** 13:25

**package** 119:18

**packed** 10:6

**paid** 110:17

**pain** 85:16

**palliative** 71:1

**panel** 17:1

24, 25; 49:4, 5, 7, 17, 20;  
50:4, 8, 10, 14, 16, 21;  
51:21; 52:3; 53:10; 54:1;  
55:7, 57:17; 59:1, 23, 25;  
60:4, 6, 19, 23; 61:10, 19,  
21, 24; 62:1, 9, 11, 14, 18,  
23, 24; 63:14, 24; 64:4, 9,  
13, 17, 20, 22, 25; 65:5,  
11, 14, 16, 17; 66:1, 10,  
15, 24; 67:4, 22, 22; 68:11,  
13, 17; 69:16, 21; 70:5;  
72:5, 16, 20, 25; 73:2, 3,  
21; 74:9, 23; 75:5; 76:11;  
77:8, 16, 20; 80:10, 25;  
82:6; 83:16, 22; 84:2, 15,  
22; 86:1, 2, 3, 20; 87:23;  
91:7, 8; 92:9; 95:12, 13,  
15; 96:4; 21; 97:10, 12, 16,  
24; 98:2, 4, 13; 99:12;  
100:17, 18, 23; 101:2, 2;  
102:3; 103:20; 104:5, 6, 9,  
21; 105:15; 106:6, 10, 17;  
107:14, 17; 108:11, 23;  
111:24, 24; 112:21; 113:7;  
114:10, 21; 115:18, 22;  
116:2, 14; 117:16; 120:17,  
19

**pediatrician** 6:17; 7:16;  
51:9; 60:3; 72:18; 78:16

**pediatricians** 61:4; 84:6;  
116:11

**pediatrics** 4:8, 23; 5:6;  
6:4; 7:20, 21; 9:4, 6, 11:2;  
15:3, 16:22; 17:23; 18:7;  
48:20; 55:1; 60:7, 15, 17;  
61:2, 22; 62:10; 63:3, 16;  
66:19, 22; 67:11; 69:7;  
76:17; 77:23; 98:3;  
101:17; 104:12; 111:16;  
112:11; 114:23; 115:12

**people** 12:23; 18:17;  
53:6; 58:9; 63:20, 64:19,  
19, 67:20; 73:16, 19;  
75:10; 78:17, 20, 24; 86:7,  
8, 12; 90:21; 93:19; 96:9,  
12; 97:2; 108:9; 116:8, 9;  
119:11

**per** 24:23

**perceive** 19:11

**perceived** 83:2

**percent** 10:16, 16; 24:20,  
21, 23; 25:12, 24, 25;  
31:12; 33:20, 20; 35:9, 11;  
42:20

**perfect** 30:14; 42:6

**perfectly** 27:10, 11; 42:6

**perform** 18:21

**performed** 67:17; 72:2

**perhaps** 19:9, 9; 20:22;  
26:4; 34:13; 55:5, 15; 62:3;  
70:9, 10, 13; 77:6; 100:5;  
102:4; 106:19

**perils** 107:4

**period** 52:5, 6; 114:12

**person** 89:8; 97:19

**personal** 85:9; 102:8

**perspective** 21:25;  
46:17, 19; 61:8; 83:15;

101:10, 12, 18; 103:14;  
110:21; 114:10; 118:16

**perspectives** 20:14;  
51:3

**persuasive** 80:19

**Peter** 20:9

**Ph** 43:7

**PhARMA** 7:15; 9:1;  
16:25; 74:22

**Pharmaceutical** 9:6, 7;  
44:16; 49:3; 81:19; 97:3;  
98:16; 100:3, 15; 101:16

**pharmacokinetic** 87:20

**pharmacokinetics**  
67:13; 68:19

**pharmacologic** 110:22;  
115:11

**pharmacologist** 7:17

**pharmacology** 84:15;  
107:15; 114:10; 115:19

**pharmacy** 6:21, 22

**Phase** 14:9; 22:1; 26:5,  
23; 29:14, 15, 21; 30:11,  
24; 31:1, 2, 6; 32:18; 33:6;  
34:8, 18; 36:24; 37:5, 6, 6;  
39:24; 43:12, 14; 45:15;  
52:4, 22; 55:11; 58:7;  
60:13, 13, 13; 67:5, 13, 16,  
21; 68:17, 18, 24; 69:6;  
70:3, 6, 18; 71:2, 9, 21;  
76:4, 9, 25; 82:3; 89:10;  
95:16, 17; 101:15, 15;  
107:5; 108:16; 109:16, 16,  
16, 19, 19, 20, 22, 22, 24,  
24, 25; 112:2, 3

**phenomenal** 13:4

**phenomenon** 109:1

**Philadelphia** 6:16;  
42:13, 17; 43:5

**phone** 83:4

**phrase** 56:22

**physician** 6:15; 7:5; 58:8;  
89:6

**physicians** 63:10; 91:10

**picking** 102:6

**picture** 4:11

**piece** 116:17

**pilot** 43:16; 67:16; 70:10;  
99:16

**pipeline** 86:24

**pivotal** 91:2

**PK/PD** 12:15

**place** 13:2; 17:14; 45:9;  
51:22; 88:14; 108:19

**placebo** 27:15

**places** 49:21

**plan** 61:10; 62:13; 63:1, 5;  
66:15, 20; 67:9; 68:3, 7;  
69:10; 70:2; 72:6, 14, 21;  
76:7; 95:15; 96:13; 104:6

**planned** 68:21

**plants** 84:20

**play** 56:8

**playing** 16:16

**Plaza** 120:12

**please** 4:16; 10:21;  
17:15; 60:7; 102:21

**plus** 91:10; 113:23

**point** 12:8, 18, 20; 13:16;  
14:11, 16; 25:12; 30:9;  
44:8; 46:23; 50:7; 53:15;  
56:15; 59:6; 69:1, 10;  
80:21; 82:9; 83:10; 99:1;  
101:23; 107:24; 109:5;  
113:22; 119:11

**pointed** 46:11; 75:20;  
92:15

**points** 22:12

**political** 87:7

**poor** 42:19; 43:19; 71:23

**poorly** 35:17, 19; 71:23;  
92:22; 109:4

**population** 18:22, 25;  
31:11; 35:8, 10, 10; 62:1;  
79:19, 24; 109:23

**populations** 79:20;  
98:16

**portfolio** 77:12

**portion** 70:18; 71:14

**posit** 97:3

**position** 98:23; 114:13

**positive** 42:13, 17; 43:5,  
7; 114:7; 117:17

**possibility** 71:4

**possible** 18:23; 48:18;  
55:21; 70:18; 113:2; 118:6

**possibly** 49:10

**posture** 72:25

**potential** 29:25; 67:17;  
83:2; 109:2; 112:13;  
113:20

**potentially** 55:2; 76:9,  
20; 80:4; 87:5; 101:1;  
105:7; 109:9

**Power** 46:23; 57:24; 93:1

**powerful** 118:5

**PPRU** 116:4

**PPRUs** 117:18

**practice** 6:18, 22

**practicing** 62:23

**practitioner** 6:1; 54:2

**practitioners** 110:18

**pre** 89:9

**precedent** 20:18

**precise** 94:4, 4

**precision** 48:8

**preclinical** 81:14, 20;  
82:2, 18; 83:1, 7, 11; 85:7;  
86:23; 87:18; 88:3; 89:3, 7,  
16; 98:1; 99:10, 20, 23;  
100:14; 120:1

**preclinically** 97:23

**preclude** 8:3

**predict** 100:2

**predictive** 98:5; 99:22

**prednisone** 31:15, 16;  
32:3, 12

**predominate** 23:1

**predominating** 23:6

**preferably** 36:13

**preferred** 32:5

**pregnancy** 40:18

**preliminary** 29:24

**premature** 27:6, 7, 9;  
107:12

**prematures** 106:21

**prepared** 56:21

**present** 15:10; 17:5;  
32:19

**presentation** 61:4; 90:7;  
113:4

**presentations** 83:18

**presented** 102:18

**presenting** 58:16; 73:18

**presently** 96:25

**presents** 58:17

**president** 5:19

**pressure** 63:12

**pretty** 68:19; 69:3; 85:17

**prevent** 27:4; 40:8

**previous** 9:17; 33:21;  
34:25; 116:23

**primarily** 32:22; 48:19

**principle** 23:15, 23; 42:3;  
54:8

**principles** 19:19

**prior** 89:24

**priori** 68:22

**priorities** 49:19; 87:2

**prioritization** 55:9; 82:3;  
99:19

**prioritize** 24:12

**prioritized** 29:24

**priority** 17:7; 44:15;  
81:21; 88:18; 99:13, 15;  
119:6

**private** 6:18; 110:2

**privilege** 21:11

**probably** 74:9; 79:8, 13;  
81:11; 83:16; 92:21;  
94:22; 108:9; 111:13;  
112:5

**problem** 76:20; 105:10;  
114:2

**problems** 28:20; 64:12;  
78:2; 81:1; 82:15; 111:24;  
113:12; 114:5

**procedure** 95:1

**proceed** 14:13; 67:14;  
69:11, 22; 91:24; 92:1, 16;  
93:7, 16; 107:17, 18;  
112:15

**proceeding** 69:9

**PROCEEDINGS** 4:1;  
120:23

**process** 14:23; 17:4, 13;  
27:2; 51:4, 11; 57:3, 4, 7,  
11, 15; 58:18; 59:13, 16,  
17; 65:15; 67:8; 72:3; 77:9;  
84:24; 87:7; 88:5, 10;  
89:18; 92:15; 93:17, 20;  
94:16; 95:2, 4, 25; 96:1;  
97:5; 100:7; 112:7, 10;

**protocol-treated** 36:18  
**protocols** 18:10; 26:10;  
 37:18; 39:2; 45:15; 51:12;  
 8; 77:6; 91:3, 23; 92:2;  
 , 15, 16, 21; 94:20, 20;  
 104:11; 108:13  
**provide** 10:3; 13:17, 21;  
 39:7; 45:25; 58:25; 64:8;  
 21; 70:15; 71:13; 77:7;  
 79:22; 90:14; 91:15;  
 93:21; 111:22; 116:15  
**provided** 17:24  
**provides** 64:4, 8; 116:11,  
 13  
**providing** 26:8, 14;  
 63:24; 119:13  
**provision** 48:25; 63:21;  
 70:22; 94:6  
**provisions** 50:16; 67:12;  
 102:4  
**PRZEPIORKA** 5:17, 17;  
 104:17  
**psychiatric** 111:14  
**psychiatrists** 75:10  
**psychiatry** 6:25  
**psychoactive** 111:8  
**psychologists** 75:9  
**psychosocial** 40:12  
**public** 8:20; 11:16; 12:19;  
 44:22; 47:24; 63:25;  
 , 75:25; 86:21;  
 88:21, 22; 96:9; 102:25;  
 103:2; 105:7; 119:15  
**publish** 104:24  
**pulmanologist** 7:8  
**Purdue** 9:1  
**purpose** 20:20; 58:1  
**purposes** 20:21; 48:21  
**pursue** 100:10  
**push** 4:19  
**pushing** 11:24  
**put** 13:1; 16:22; 17:8, 14;  
 26:23; 78:18; 83:15;  
 92:18, 20, 23, 24; 93:1;  
 103:12; 118:18  
**putting** 89:15

## R

**R.W** 8:25  
**radar** 72:20  
**radiation** 28:17, 17;  
 38:17; 39:18  
**radiographs** 39:12  
**radiologist** 38:18  
**raise** 107:20  
**raised** 29:10  
**Ralph** 7:16; 8:18; 115:19;  
 117:18; 118:2  
**ran** 118:1  
**randomization** 28:19;  
 31:19  
**randomized** 22:1; 26:5;  
 23; 27:11, 13, 15; 29:8, 21;  
 22; 32:18; 33:14  
**range** 67:16; 68:24  
**rapid** 48:16; 49:10; 50:18;  
 99:18  
**rapidly** 100:7  
**rare** 18:7; 106:10, 13;  
 111:20  
**rarity** 104:7  
**rate** 24:20, 22, 23; 25:4, 8,  
 19, 21, 22, 24; 35:8, 11;  
 70:24; 116:25  
**rates** 24:19; 27:14; 28:24,  
 25; 29:7; 35:1, 25; 70:14  
**rather** 8:9; 10:19; 25:7;  
 27:16; 47:25; 55:14; 65:9;  
 69:17; 70:1; 82:8; 93:17;  
 97:10; 108:18; 109:1  
**rational** 47:9  
**rationale** 30:13  
**re-authorization** 78:25  
**re-authorize** 50:15  
**reach** 98:18  
**reached** 28:4  
**reaching** 98:22  
**read** 4:23; 5:4; 7:23;  
 19:25; 103:25; 104:3  
**ready** 4:3  
**real** 4:12; 76:11; 84:10;  
 88:19; 90:12; 98:4; 99:9  
**realize** 69:14; 98:9, 19  
**realized** 47:19; 117:3  
**really** 13:15; 14:7, 8;  
 15:14; 16:19; 24:11;  
 27:23; 34:12; 35:2; 54:6, 7,  
 16; 55:14; 56:12; 57:18;  
 61:9; 63:3; 66:20; 72:15;  
 73:3, 22; 74:16; 80:3; 81:3;  
 83:24, 25; 84:4, 25; 88:20;  
 92:7; 93:21; 94:9, 97:19;  
 99:22; 100:17; 101:13, 14,  
 18, 23; 102:20; 103:18;  
 107:24; 110:2; 114:5, 11,  
 18, 20; 115:7, 9, 11; 116:5,  
 19; 117:8, 24; 118:17  
**Reaman** 74:18; 103:5, 22  
**reason** 25:6; 26:24;  
 27:20; 28:12; 69:4; 79:6

**reasonable** 76:8; 77:12  
**reasons** 28:7  
**reawakened** 115:9  
**recall** 55:22  
**receive** 27:14, 15; 29:22,  
 23; 45:10; 48:17; 62:7  
**received** 29:3, 4; 31:25;  
 32:3, 9, 12, 12, 13; 67:20  
**receives** 9:6; 119:22  
**receiving** 28:1; 31:16,  
 17; 33:19; 80:17  
**recent** 29:8; 34:8  
**recently** 34:23; 54:4;  
 66:12; 109:17  
**receptor** 42:15  
**receptors** 87:14  
**recess** 102:24  
**recessed** 120:24  
**recognition** 18:11;  
 23:18; 87:22; 89:16  
**recognize** 22:24; 39:24;  
 74:19; 75:12; 84:13;  
 99:13, 15; 105:4; 115:21  
**recognized** 18:3; 20:8,  
 10; 92:5  
**recognizing** 44:14;  
 99:20  
**reconvene** 120:11  
**record** 8:3; 9:14; 17:8  
**recruit** 13:1  
**recruiting** 72:25  
**recruitment** 72:24  
**red** 32:8, 10  
**redesign** 102:3  
**redirect** 49:13; 55:1  
**reduce** 27:9  
**refer** 64:7  
**reference** 46:1  
**referral** 51:19  
**referred** 67:2; 101:12;  
 103:6  
**referring** 53:23  
**reflect** 77:11  
**reflections** 57:10  
**refractory** 70:8  
**regard** 4:13; 8:2; 19:22;  
 51:3, 14  
**regardless** 72:10  
**regards** 54:25  
**registration** 91:2  
**regular** 20:12  
**regulation** 50:14  
**regulations** 44:17;  
 63:13; 73:12; 77:11;  
 92:18; 95:18  
**regulatory** 18:16; 20:20,  
 25; 49:20; 50:2; 54:25;  
 59:4; 63:7, 12; 82:14  
**reimburses** 39:8  
**reiterate** 73:9; 80:7;  
 82:23; 102:19; 113:5;  
 118:17  
**relapsed** 33:4

**relate** 4:8  
**related** 45:23; 103:13  
**relates** 58:20  
**relationship** 62:23; 63:2,  
 7; 73:9  
**relatively** 18:7; 25:2;  
 49:3; 99:25; 106:13;  
 111:20  
**relegated** 60:25  
**relevant** 87:24  
**reliability** 28:6, 6  
**reliable** 26:18; 27:21;  
 28:2; 36:10; 44:3; 52:1  
**reliably** 26:6; 29:18  
**reliance** 51:18  
**relied** 81:14  
**relieve** 85:15, 16  
**relinquish** 54:11  
**relinquishes** 52:22  
**remain** 56:23  
**remained** 25:2  
**remark** 101:10  
**remarkable** 115:10  
**remarks** 57:1  
**remember** 45:20; 66:3  
**remind** 4:15  
**remove** 103:20  
**renal** 106:12  
**renewal** 112:8, 14;  
 114:18; 115:10; 116:7;  
 118:4  
**renewed** 55:20; 114:3;  
 117:8; 118:7, 9  
**repeatedly** 62:16; 84:18  
**report** 11:12, 14, 15;  
 57:20; 72:7  
**reported** 8:6, 19  
**reporting** 92:19  
**reports** 33:1; 66:4; 79:9  
**represent** 31:25; 37:17;  
 53:18  
**representatives** 17:1;  
 83:21  
**representing** 7:15  
**represents** 37:19; 48:3;  
 117:22  
**request** 8:14; 12:25;  
 13:4; 15:20; 64:1; 65:16,  
 18, 21, 22; 66:2, 2, 8;  
 67:20; 72:10; 74:4; 86:19,  
 19, 23, 25; 87:3, 8; 88:1, 4,  
 7, 13; 89:5, 10, 23; 94:3, 7;  
 95:2; 96:2, 7  
**requested** 91:5  
**requesting** 83:5  
**requests** 10:14; 13:25;  
 15:16; 66:10, 11; 88:17,  
 24; 89:15; 91:7; 93:21;  
 95:6; 119:9  
**require** 12:4, 5, 6; 13:19;  
 29:17; 79:25; 95:23  
**required** 14:11, 22;  
 33:25; 42:4; 66:5  
**requirement** 14:9; 49:20;  
 104:25  
**requirements** 49:9; 50:3  
**requires** 36:10, 12, 16;  
 38:14, 22; 39:4, 10; 66:19;  
 87:24; 109:2  
**requiring** 13:11; 14:18  
**Research** 5:13; 6:12;  
 8:23; 18:11; 22:5; 23:15,  
 24; 24:16; 26:17; 30:10;  
 36:6, 24; 37:18; 38:3, 15,  
 19; 39:6, 9; 40:7; 43:25;  
 48:6, 23; 49:7, 19, 24, 25;  
 50:8, 10, 17, 22; 51:7;  
 54:2; 55:2, 8; 57:6, 9, 11,  
 23; 75:4; 104:11; 107:15;  
 108:13; 109:23; 110:9, 9;  
 111:25; 114:22; 115:6, 23  
**researcher** 93:10  
**researchers** 13:1; 16:24;  
 17:10; 21:16; 29:1; 38:18;  
 44:11; 48:16; 49:17;  
 99:14; 100:9; 115:24  
**reserved** 120:13  
**resolve** 58:15  
**resonating** 54:22  
**resources** 34:1; 50:23;  
 53:8, 17; 55:12; 67:23  
**respect** 8:17; 9:15;  
 102:1, 2  
**respond** 74:3; 86:19;  
 99:7  
**response** 12:15; 24:2, 4;  
 28:24; 29:7; 46:10; 64:1;  
 66:1; 70:13, 24; 87:9;  
 101:8  
**responses** 53:8  
**responsibilities** 82:12  
**responsibility** 10:2;  
 12:1; 39:24; 103:15  
**responsible** 49:14;  
 103:14  
**rest** 74:15  
**restored** 43:10  
**restraint** 114:1  
**restricted** 96:23  
**restriction** 64:25  
**restructuring** 100:5  
**result** 41:14; 44:1; 47:18;  
 103:8  
**resulted** 49:2  
**resulting** 42:18; 43:9  
**results** 24:16; 31:24;  
 33:9; 45:15; 49:1; 59:12;  
 71:15, 22; 72:5, 6, 12;  
 94:14; 107:3  
**retinoblastoma** 23:8  
**retrospective** 40:10  
**return** 77:19  
**returning** 83:4  
**review** 13:2, 3; 26:11, 12,  
 14; 30:19, 19; 49:15; 64:8,  
 9; 65:9; 66:6; 72:2, 9; 73:1;  
 90:24; 91:2, 19; 92:3, 4;  
 94:2; 103:11  
**reviewed** 58:4; 90:25

reviewing 91:10, 11; 94:7	12, 14; 46:3; 79:24; 92:22, 23; 93:5	56:19; 57:19; 69:14; 76:22; 88:7, 8; 89:11; 91:24; 92:6	site 72:22	80:5; 81:20; 87:14, 14
revision 61:25	<b>sake</b> 81:3	<b>sensitive</b> 24:7, 7	<b>sites</b> 106:24; 115:14	<b>sorts</b> 84:16
<b>revitalize</b> 115:5	<b>same</b> 15:2; 16:12; 23:9; 48:12; 49:21; 50:11; 52:20; 74:16, 19, 24; 76:16; 79:3, 4; 84:3, 11, 12, 12, 15, 25; 95:23; 100:2, 12; 106:12	<b>sent</b> 15:15	<b>sits</b> 97:7	<b>sound</b> 90:9
<b>revolutionary</b> 117:21	<b>SANTANA</b> 6:11, 11; 46:21; 54:20, 21	<b>separate</b> 23:24, 24	<b>sitting</b> 74:18; 96:22	<b>sources</b> 52:1
<b>rewarded</b> 69:12	<b>sarcoma</b> 32:22; 33:1, 4, 5, 7, 11, 23; 34:4; 45:7	<b>September</b> 60:10	<b>situation</b> 18:17; 47:10; 52:16; 54:6, 13; 69:5; 70:8; 80:2; 87:9; 92:8; 97:25; 102:20; 103:9; 108:20; 109:12	<b>span</b> 112:24
<b>REYNOLDS</b> 5:21, 21; 81:6, 8; 82:15; 83:3	<b>saw</b> 119:3	<b>sequelae</b> 40:1	<b>situations</b> 70:2; 81:2; 108:23	<b>spare</b> 48:8
<b>rhabdomyosarcoma</b> 37:16	<b>saying</b> 57:8; 61:21; 85:18; 89:21	<b>sequential</b> 34:20	<b>spared</b> 36:4	<b>speak</b> 21:11, 25; 46:15, 21; 59:22
<b>Rich</b> 75:20	<b>scale</b> 56:22	<b>series</b> 34:20, 22, 24; 49:6	<b>speaker</b> 21:5; 59:20	<b>speakers</b> 8:18; 120:6
<b>Richard</b> 5:1; 6:17; 17:24; 59:20; 76:3; 90:6	<b>scaled</b> 110:19	<b>serious</b> 16:14; 27:24; 95:8, 15	<b>speaking</b> 21:15, 19, 23	<b>speaks</b> 58:17
<b>right</b> 14:4; 31:17; 43:7; 50:7; 56:21; 60:24; 61:8; 69:4; 74:18; 78:11; 80:3; 83:17; 85:17; 86:1; 88:15; 89:1; 116:21; 119:16, 20; 120:4	<b>scattered</b> 108:14	<b>serve</b> 47:8; 112:10	<b>special</b> 8:12; 16:2, 6; 24:10; 47:17; 50:16; 59:24; 60:1; 62:10, 12, 14; 104:4	<b>specialists</b> 38:14
<b>rigorously</b> 42:7	<b>schedule</b> 10:7	<b>served</b> 81:16	<b>specialized</b> 96:5	<b>specifically</b> 46:24
<b>risk</b> 31:11, 15; 32:5; 35:11; 36:3; 39:25; 40:16; 67:10; 92:1	<b>scheduled</b> 96:8	<b>session</b> 11:8; 18:5; 118:8; 120:9	<b>specific</b> 23:16; 24:7, 25; 31:7; 41:25; 42:4; 51:6; 55:19; 66:25; 70:2; 82:24; 91:23; 98:9; 100:24, 25; 102:4; 112:9; 115:16	<b>specifically</b> 23:20; 37:23; 57:3; 90:10; 97:15; 98:25; 102:22
<b>risk-adjusted</b> 22:3; 35:6; 36:9; 37:1	<b>schedules</b> 24:9; 80:12	<b>setting</b> 51:21; 94:2; 119:6	<b>specificity</b> 93:22, 24; 94:3	<b>specifics</b> 45:19; 65:23, 23; 66:8
<b>risks</b> 40:17	<b>schematic</b> 33:13	<b>settings</b> 53:21	<b>specimens</b> 39:12	<b>spectrum</b> 14:7; 15:3; 93:11; 119:25
<b>risky</b> 67:8	<b>schematically</b> 43:4	<b>several</b> 18:3; 28:6; 80:5; 108:1	<b>spend</b> 40:25; 75:6, 10; 116:10	<b>spend</b> 40:25; 75:6, 10; 116:10
<b>Robert</b> 8:19	<b>schemes</b> 81:21	<b>severe</b> 16:13	<b>spending</b> 19:17	<b>spending</b> 19:17
<b>Roberts</b> 11:21	<b>school</b> 115:7	<b>shaped</b> 49:20; 86:19	<b>spent</b> 84:14	<b>spent</b> 84:14
<b>Rodriguez</b> 10:20, 20; 11:2	<b>SCID</b> 110:21	<b>share</b> 48:5; 50:5; 51:3; 111:10; 115:20	<b>SPIELBERG</b> 7:13, 13; 8:18; 9:2; 83:12, 13; 86:13; 93:18; 96:18; 97:4, 12, 19; 99:1; 102:6; 114:8, 9	<b>SPIELBERG</b> 7:13, 13; 8:18; 9:2; 83:12, 13; 86:13; 93:18; 96:18; 97:4, 12, 19; 99:1; 102:6; 114:8, 9
<b>RODVOLD</b> 6:21, 21	<b>SCIDs</b> 108:5	<b>shared</b> 53:3	<b>spite</b> 41:1	<b>spite</b> 41:1
<b>role</b> 46:12; 54:11, 14	<b>Science</b> 4:9; 10:22; 11:1; 16:7; 18:16; 20:1; 39:15; 50:18; 58:21, 22; 59:17; 60:7; 62:3; 82:1; 83:19; 87:2; 89:14; 90:4, 9; 110:8; 113:25; 115:8; 119:9, 13	<b>shares</b> 107:21	<b>split</b> 35:18	<b>split</b> 35:18
<b>roles</b> 58:9	<b>scientific</b> 8:25; 9:8; 20:21; 21:1; 26:12; 30:19; 50:1; 55:15; 63:9; 66:6; 68:1; 71:25; 83:1; 91:19	<b>sharing</b> 54:23; 67:10; 103:15; 111:5	<b>sponsor</b> 12:25; 65:20; 66:3; 67:11; 92:10; 93:25; 95:24	<b>sponsor</b> 12:25; 65:20; 66:3; 67:11; 92:10; 93:25; 95:24
<b>Room</b> 8:15; 74:10, 25; 106:6, 15; 112:23; 118:2; 120:12, 20	<b>scope</b> 37:2	<b>short</b> 47:5	<b>sponsored</b> 106:3, 23	<b>sponsors</b> 12:1; 15:15, 23; 17:10; 61:13; 67:20; 81:1; 94:19, 22; 95:9, 14, 19
<b>rooms</b> 53:17	<b>screen</b> 72:20; 99:23	<b>shortly</b> 43:15	<b>social</b> 75:10	<b>social</b> 75:10
<b>Rosemary</b> 11:19	<b>screening</b> 67:5; 97:21; 99:17	<b>show</b> 50:22; 98:23; 100:4; 118:1	<b>society</b> 78:15	<b>society</b> 78:15
<b>Roughly</b> 68:20	<b>screens</b> 98:6; 99:10, 21; 100:2, 14	<b>showed</b> 45:7	<b>sold</b> 77:14	<b>sold</b> 77:14
<b>route</b> 31:21	<b>second</b> 23:23; 24:5; 26:8; 31:19; 40:3, 16, 17; 44:8; 58:24; 69:23, 24; 98:13; 101:23; 113:8	<b>shown</b> 31:24; 71:11	<b>solid</b> 48:10	<b>solid</b> 48:10
<b>routes</b> 92:5; 116:11, 13, 15	<b>second-class</b> 60:25	<b>shows</b> 22:23; 23:3; 33:13; 34:19; 35:7; 41:12; 99:22	<b>solution</b> 58:10	<b>solution</b> 58:10
<b>routine</b> 95:4	<b>Secondarily</b> 56:5	<b>siblings</b> 52:18; 75:11	<b>solutions</b> 50:5; 102:11	<b>solutions</b> 50:5; 102:11
<b>routinely</b> 87:19	<b>Secondly</b> 30:17; 62:22; 113:14	<b>sickle</b> 106:1, 3; 110:5, 7	<b>solve</b> 85:10	<b>solve</b> 85:10
<b>Rule</b> 10:3; 11:23, 25; 13:10; 15:18; 17:6; 18:19, 19; 19:8; 48:24; 49:5, 12; 50:4; 61:21; 62:5, 9; 63:21; 64:4, 6, 13, 14, 20, 23; 65:5; 75:20; 78:24; 79:5, 15, 16, 25; 87:5; 95:12, 23; 96:21; 98:13; 100:17, 18, 23; 101:2, 13; 102:3; 103:20; 104:23; 108:23; 109:3	<b>sign</b> 51:11	<b>signed</b> 52:6; 53:13; 57:22; 103:2	<b>solving</b> 84:9	<b>somebody</b> 66:16; 69:8; 98:10; 106:15
<b>rules</b> 80:23	<b>secretary</b> 6:9; 7:23; 120:14	<b>significant</b> 10:19; 11:1; 25:8; 107:5	<b>somehow</b> 46:23; 56:16; 60:2; 85:19; 88:8	<b>somehow</b> 46:23; 56:16; 60:2; 85:19; 88:8
<b>rumors</b> 89:4	<b>Section</b> 4:9; 5:7	<b>similar</b> 8:10; 18:20; 49:22; 65:11; 104:12, 13; 105:21; 106:17; 110:18	<b>someone</b> 56:12; 91:1; 100:23	<b>someone</b> 56:12; 91:1; 100:23
<b>run</b> 116:8	<b>seeing</b> 11:5; 14:21	<b>similarity</b> 55:14	<b>Somers</b> 6:8	<b>Somers</b> 6:8
	<b>seem</b> 50:6; 55:5	<b>Similarly</b> 116:20	<b>sometime</b> 112:21; 117:19	<b>sometime</b> 112:21; 117:19
	<b>seems</b> 76:7; 96:19	<b>simple</b> 86:10; 120:3	<b>sometimes</b> 52:18; 54:12; 58:7; 95:22	<b>sometimes</b> 52:18; 54:12; 58:7; 95:22
	<b>selection</b> 28:9, 22; 58:20	<b>simply</b> 60:23; 61:6; 64:14; 71:7; 73:6	<b>somewhat</b> 60:22, 25; 105:20	<b>somewhat</b> 60:22, 25; 105:20
	<b>Sen</b> 116:1	<b>single</b> 32:25; 38:6; 70:9; 77:23; 114:2	<b>son</b> 47:17	<b>son</b> 47:17
	<b>send</b> 13:3; 15:11; 89:8; 91:4, 5	<b>single-arm</b> 28:4, 8, 24	<b>soon</b> 34:12	<b>soon</b> 34:12
	<b>senior</b> 5:9; 74:9	<b>single-institution</b> 110:10	<b>sophisticated</b> 57:21	<b>sophisticated</b> 57:21
	<b>sense</b> 18:23; 42:6; 52:19;		<b>sort</b> 52:4; 53:23; 55:4;	<b>staging</b> 26:16

**S**

**stand** 10:21; 117:14  
**standard** 18:12; 27:23;  
29:4; 30:12, 13; 31:7, 11,  
12; 21; 32:4, 5; 33:15, 22,  
1:8, 10; 55:11; 57:6,  
2, 9:11; 62:17; 75:4;  
90:13; 104:11; 107:25;  
108:16  
**standards** 50:11  
**standpoint** 86:23  
**start** 4:4, 14, 20; 55:6  
**started** 57:1  
**starting** 60:11; 68:23  
**state-of-the-art** 26:10  
**stated** 11:22; 51:7; 62:6,  
16; 66:16; 71:17; 72:18;  
81:13  
**statement** 7:24, 25; 8:14;  
75:6  
**states** 18:19; 26:9; 41:4;  
44:5  
**statistical** 39:1, 1; 90:9  
**statistician** 6:19  
**statisticians** 91:11  
**statistics** 10:12; 15:11  
**status** 40:12  
**stay** 54:19; 101:4; 115:4;  
116:3  
**stays** 120:20  
**step** 66:20; 94:7, 7;  
109:18  
    **ped** 61:7  
    **oid** 31:14, 14; 32:6  
**Steve** 73:20, 24; 95:1  
**Steve's** 72:19; 118:3  
**Steven** 5:3; 7:13; 8:18  
**STI** 67:1  
**STI571** 42:24; 43:8, 17  
**stick** 63:20; 64:7  
**still** 24:15; 41:3; 48:12;  
58:19; 86:5; 87:10; 89:25;  
93:10; 97:5  
**stimulated** 114:22  
**stipulated** 67:18  
**stock** 9:4  
**stood** 102:17  
**stop** 69:2  
**stopped** 69:7; 71:7  
**story** 85:3  
**straightforward** 68:20  
**strangers** 52:22; 54:13  
**strategies** 22:4; 41:9;  
58:10  
**strategy** 49:13; 81:9;  
82:10  
**strayed** 50:6  
**strengthening** 47:12  
**strenuously** 11:24  
    **ch** 20:16; 108:15  
**strict** 49:9; 50:12; 92:17  
**strictly** 97:11  
**strikes** 86:21; 97:9; 100:2  
**strive** 34:18; 35:2; 97:8

**strong** 76:14; 105:17, 19;  
106:14  
**strongly** 27:20; 67:19  
**struck** 50:7  
**structure** 23:25; 38:5;  
62:25; 63:8, 14; 73:8;  
88:24  
**struggle** 47:15  
**struggled** 16:11  
**struggling** 83:13  
**studied** 32:24; 56:2;  
63:15; 65:8; 71:2; 73:15;  
79:12; 82:23; 97:10, 10;  
113:23; 114:15, 17, 19;  
117:1; 119:23  
**studies** 10:16; 12:2, 4, 6,  
15, 21; 13:12, 19; 14:5, 10,  
14, 17, 20, 21; 15:1, 6, 17,  
21; 18:11, 22; 20:23;  
23:24; 26:17; 29:8; 39:11;  
46:13, 13; 49:24; 55:6;  
66:5; 67:16, 21; 68:2, 4,  
18; 70:3, 6, 10, 10;  
71:10, 23; 72:1, 2, 12, 13;  
79:25; 82:3, 13, 18; 86:4;  
87:19, 20, 25; 88:12;  
89:24; 90:8, 15; 91:8, 8;  
94:10, 11, 12; 95:24, 25;  
96:4, 21; 116:16  
**study** 13:1; 33:13, 22;  
39:19; 40:10, 14; 43:16;  
45:10; 46:4, 6; 57:23;  
65:16, 17; 66:1, 4, 10, 24;  
67:5, 13; 68:17, 23, 24;  
71:21; 72:7; 73:16; 82:19;  
90:10, 11, 13, 17, 19, 23,  
24, 25; 91:1, 2, 19, 20;  
93:25, 25; 94:5; 100:9;  
106:23; 117:4, 6  
**studying** 23:16, 25;  
60:22; 70:7; 82:6; 117:20  
**subcommittee** 8:8, 10:4,  
9; 112:21; 120:17, 20  
**subject** 27:15, 17; 32:14  
**subjecting** 57:25  
**subjects** 4:7; 55:7  
**submissions** 64:1  
**submit** 12:5; 13:2  
**submits** 66:4; 91:1  
**submitted** 8:5; 13:6;  
66:7; 72:7; 94:2  
**submitting** 8:14; 39:12  
**subpart** 70:21  
**Subsequent** 103:11  
**subsequently** 71:2; 74:9  
**subspecialist** 38:17  
**subspecialists** 94:13;  
119:3  
**substantial** 79:18, 19, 21  
**substitute** 53:19  
**subtypes** 23:10  
**suburban** 6:18  
**succeeding** 25:11  
**success** 59:10  
**successes** 49:14

**successful** 49:1; 50:5;  
63:3  
**sufficient** 30:14; 71:16;  
102:14  
**sufficiently** 80:19  
**suggest** 97:23  
**suggested** 28:24; 102:6  
**suggesting** 29:25  
**suggestion** 56:14  
**suggestions** 55:19;  
57:12; 101:25; 112:19  
**Suites** 120:18  
**summarized** 72:7  
**summary** 101:9  
**sun** 87:8  
**sunset** 89:20, 24, 25;  
90:2  
**sunsets** 87:5  
**superior** 26:6; 27:1, 23;  
31:22; 44:1, 4; 45:21  
**supplemental** 68:7  
**supplemented** 19:14;  
119:2  
**support** 17:21, 24; 29:14;  
16:37:1, 22; 39:4, 10, 11,  
23; 40:7, 9; 43:24; 44:1;  
53:11; 71:16; 106:14;  
108:4, 7; 109:23; 112:14;  
113:2, 6, 18; 116:3, 4;  
117:4, 22; 118:20  
**supported** 23:19; 26:3;  
27:12; 36:24, 25; 37:3, 13  
**supporting** 26:16; 39:5;  
44:18  
**supportive** 28:16  
**supports** 53:5  
**supposed** 88:25  
**suppress** 27:7  
**sure** 4:16; 14:24; 76:10;  
94:13; 106:22; 118:12, 13;  
120:13  
**surface** 19:7  
**surgeons** 28:16  
**surgeries** 108:18  
**surgery** 39:17; 107:25  
**surgical** 38:16  
**surrogate** 70:13, 20, 23  
**surrounding** 17:2; 92:19  
**surveyed** 40:11  
**survival** 24:19; 25:8, 24;  
28:24; 29:7; 30:1; 31:12;  
33:21; 35:1, 8, 11, 25;  
42:5, 20; 71:1  
**surviving** 25:13  
**Survivor** 40:9, 14  
**survivors** 39:25, 25;  
40:11, 15, 16, 21, 23  
**Susan** 5:15, 19; 7:4;  
46:14; 54:21; 58:12;  
81:23; 118:17  
**sustained** 43:24; 44:1  
**symptoms** 71:2  
**syndrome** 106:16  
**system** 24:15, 17; 26:3;

34:18; 38:15; 40:5; 45:24;  
53:7; 117:25  
**systems** 34:4; 99:20;  
101:19; 104:21

---

**T**

---

**T-cell** 34:15  
**table** 97:7; 102:9  
**talk** 21:7; 22:2, 5; 26:6,  
19; 42:5; 83:24; 84:17;  
94:25, 25; 95:14; 120:18  
**talked** 60:19  
**talking** 22:7; 40:25;  
52:11; 55:5; 57:1; 85:11;  
92:8; 94:24; 111:8, 9  
**target** 107:11  
**targeted** 41:24; 42:12;  
107:23  
**targeting** 49:11; 88:18  
**targets** 100:9  
**taught** 115:6  
**taxpayer** 78:14  
**team** 52:9; 73:21  
**technically** 91:25  
**tells** 95:13  
**template** 96:3  
**TEMPLETON-SOMERS**  
6:8; 7:22; 8:1; 120:15  
**ten** 116:22, 23, 24  
**tend** 19:6  
**tends** 19:3  
**Tennessee** 6:6, 13  
**termination** 45:9  
**terms** 20:22; 22:11;  
23:14; 25:22; 35:22; 37:2;  
38:5; 53:22; 71:1; 79:14;  
82:12; 87:10, 12; 88:6;  
91:7; 92:10; 97:20;  
100:19, 22; 101:16; 102:3;  
107:5  
**test** 32:15; 81:25; 98:15  
**testing** 27:17; 81:24;  
82:8; 83:7; 89:3; 97:14;  
98:20  
**tests** 97:18  
**Thanks** 44:25  
**themes** 18:13, 13  
**theoretically** 79:11  
**therapeutic** 20:25; 36:2;  
44:20; 79:17, 21, 23;  
83:19, 23; 84:5, 16, 25;  
109:18; 111:25  
**therapeutics** 20:15;  
83:16; 103:15; 107:18;  
112:5  
**therapies** 41:8; 42:12;  
46:5; 63:4; 107:8  
**Therapy** 5:7; 22:3; 27:4;  
22, 22, 23, 25; 28:2, 3;  
29:23; 30:4; 31:7; 32:4;  
33:15, 22, 25; 34:13, 15;  
35:6, 17, 17; 36:4, 9, 12;  
37:1; 40:1; 43:19; 45:10;

**trained** 84:3, 12  
**trainees** 115:19; 116:18  
**training** 116:4, 8  
**transcriber** 4:17  
**transdermal** 104:21  
**transferase** 82:19  
**translate** 50:19  
**translational** 110:24;  
114:22; 115:6, 23, 24  
**translocation** 42:19, 23;  
43:6  
**transmit** 119:15  
**transplanter** 5:18  
**treat** 16:11; 37:20; 38:13;  
43:18  
**treated** 18:10; 28:14;  
34:20; 36:13; 51:15, 20;  
60:5; 79:9; 108:17; 109:4  
**treating** 22:2; 27:25;  
31:14; 35:9; 39:18; 41:22;  
44:18; 57:25; 86:4  
**Treatment** 6:20; 22:4;  
26:10; 28:8, 10, 11, 19;  
29:11, 23, 24; 30:7; 34:9;  
37:3; 40:18; 41:8, 9, 16;  
47:10; 48:9, 18; 49:15;  
53:14; 54:15; 57:22; 96:5;  
101:21; 102:13; 109:6;  
111:4  
**treatments** 24:3, 6;  
25:23; 26:6; 27:1; 29:19;  
30:13, 16; 35:12, 19, 21;  
23; 36:1, 5, 21; 37:24, 25;  
38:9; 41:6, 16, 23, 24;  
42:1, 2, 3, 8, 20; 44:2, 3, 4,  
16; 48:7; 50:19; 54:12;  
55:16  
**tremendous** 10:24; 95:4;  
114:20  
**trial** 26:3; 28:8, 10; 31:11,  
12; 32:18; 33:6, 7, 8, 15;  
45:19; 19; 46:4, 6; 91:18;  
96:3  
**trials** 16:8; 21:18, 21;  
22:1, 5; 23:19; 24:13; 26:5,  
23; 27:11, 18, 21; 28:5, 24;  
29:14, 16, 16, 17, 21; 30:3,  
11, 24; 31:1, 3, 7; 34:8, 12,  
19, 21, 22, 24, 25; 35:10;  
36:25; 37:3, 5, 6, 7, 11, 13;  
38:9, 12, 22, 24; 39:10, 15,  
21, 24; 43:12, 14; 44:13;  
45:11, 15, 17, 20; 46:16,  
19; 49:16; 54:17, 58:7;  
60:20; 63:10; 90:9; 93:23;  
97:15; 101:15; 106:4, 21;  
107:4, 14, 16; 108:15, 16;  
109:5, 7, 17, 20, 21, 22,  
24, 25; 110:14, 16, 24;  
112:2  
**tribute** 4:12  
**tried** 98:12  
**true** 29:11; 42:7; 83:3;  
104:20; 120:13  
**truly** 30:6; 87:1; 94:15;  
99:23; 113:19; 115:10;  
116:6

**try** 9:23, 24; 32:14; 53:2;  
55:18; 80:23; 87:17;  
89:20; 96:20; 99:16;  
111:16; 113:1, 5; 115:16;  
117:24; 118:6, 8; 119:9  
**trying** 15:10; 41:20; 47:9;  
84:19; 87:13; 88:15;  
89:12; 93:6; 105:4, 6;  
106:9; 112:9; 114:2;  
116:9; 119:5, 11  
**Tumor** 5:23; 19:20, 21;  
20:6, 11; 23:7; 36:16, 19;  
37:8, 9, 16, 22; 39:17;  
41:14; 42:4; 47:4, 6; 85:22;  
98:3; 100:24  
**tumors** 19:3, 4, 5, 6, 9,  
10, 17, 18, 19; 20:3, 9;  
23:6, 7, 9; 37:24; 48:11,  
11; 104:10  
**tune** 96:21  
**tuned** 101:4  
**turn** 4:18; 53:6  
**turned** 14:23; 63:3;  
84:18; 117:4  
**twenty** 79:8  
**two** 4:7; 13:5; 14:1; 24:25;  
27:14; 31:24; 32:2; 33:2;  
35:18; 51:17; 59:7, 16;  
72:25; 79:9, 16; 91:10, 11;  
94:24; 101:8; 105:16, 20;  
114:25; 116:19, 22  
**type** 19:20, 21; 40:2;  
43:18; 52:14; 67:5; 98:3;  
99:24; 107:10  
**types** 20:24; 23:11, 18;  
30:16; 31:2, 3, 7; 35:3, 12;  
37:8, 10; 39:17; 41:17;  
53:5, 5; 97:18  
**typical** 93:20, 24; 94:11  
**typically** 19:4; 51:15;  
52:6; 53:12; 91:4

## U

**U.S.** 37:10, 17  
**ultimate** 44:21  
**unacceptable** 69:1, 7  
**uncomfortable** 60:22  
**uncontrolled** 28:15  
**under** 10:2, 14; 14:3, 23;  
15:17; 47:1; 51:23; 64:8, 9;  
65:9; 79:18; 84:12; 85:17;  
94:10, 11; 95:3, 23; 97:6;  
104:24; 106:24  
**undergo** 43:1; 92:2  
**underlying** 65:1  
**underscore** 73:3  
**unfamiliar** 70:22  
**unfortunate** 55:6, 12  
**unfortunately** 108:14,  
22; 109:4  
**uniform** 36:14  
**unintended** 59:12  
**unique** 8:8; 16:9, 10, 10,  
18; 54:16; 61:23; 66:23;

83:16; 84:21; 98:4;  
112:12, 13; 118:15  
**uniquely** 47:25  
**uniqueness** 47:19, 23;  
48:2; 50:24  
**United** 26:9; 41:4; 44:5  
**units** 107:15; 111:4  
**University** 6:6, 22, 25;  
7:2, 3, 7, 20; 20:4, 7, 10  
**unless** 87:6; 91:5; 92:23;  
93:2  
**unmet** 22:7; 41:1  
**unreality** 52:19  
**unrelated** 4:7  
**unthinkable** 57:19  
**unwinding** 112:14  
**up** 10:11, 21; 14:16;  
15:11; 17:15; 22:14, 17;  
34:22; 51:20; 55:11, 18,  
22; 56:14; 58:14; 59:3;  
63:15; 72:21; 77:10; 84:9,  
17; 85:4; 91:14; 94:2; 98:8;  
102:6, 10, 17; 103:2;  
106:24; 111:17; 112:16;  
113:15; 116:1  
**upcoming** 12:16  
**update** 10:3, 8  
**upon** 9:18; 12:13; 72:9;  
81:13, 15  
**urged** 48:19  
**USC** 8:11  
**use** 4:11; 12:15; 16:11;  
19:20; 36:9, 20; 46:22;  
50:9; 63:12; 67:25; 75:20;  
77:17; 79:5, 18, 19, 21;  
82:11; 87:1, 5; 89:15;  
100:8; 102:13; 106:20;  
109:20; 114:2  
**used** 24:9; 55:13; 56:22;  
67:24, 24, 25; 85:14, 18;  
101:1  
**useful** 58:8; 99:18;  
101:21  
**using** 22:9; 42:14, 15;  
49:16; 70:13; 81:20; 92:4;  
98:23  
**usually** 14:1; 53:13; 56:2;  
65:17; 67:18; 82:17; 93:1;  
105:3; 119:2  
**Utah** 7:20  
**utilize** 19:11  
**utilizing** 17:18

## V

**validated** 97:23; 99:21,  
23  
**validity** 66:7; 99:10;  
115:8  
**Valium** 102:16  
**valuable** 55:8; 56:16, 19  
**value** 45:7  
**variables** 117:5  
**variety** 47:19

**various** 15:13; 70:11;  
78:4; 113:21  
**vast** 67:2; 69:23  
**vehicle** 55:23  
**ventricular** 27:6, 7, 9  
**versa** 18:21  
**versus** 33:20; 64:20, 22  
**vested** 93:15  
**via** 63:13  
**viable** 86:18  
**vice** 18:21  
**Victor** 6:11  
**view** 69:13; 72:23  
**viewer** 15:12  
**Views** 20:2  
**violates** 54:8  
**Viropharma** 9:5  
**virtually** 25:10; 65:18  
**vitro** 81:15; 82:21  
**vocabulary** 51:13  
**voluntary** 15:23; 64:23;  
66:4; 105:19

## W

**wait** 45:4; 74:4  
**waiting** 15:5; 96:10  
**waiver** 8:13; 12:3; 14:25;  
64:11  
**wavers** 8:11; 13:12;  
14:1; 64:13  
**waiving** 13:20; 15:4  
**wants** 87:1; 89:6  
**WARD** 7:19, 19; 8:19; 9:3;  
106:19; 107:13; 111:21,  
22; 112:22; 113:5  
**warnings** 87:7  
**warrant** 71:12; 82:7  
**warranted** 68:11  
**Washington** 6:25; 7:9,  
12; 11:3  
**watched** 47:17; 74:12  
**watchfulness** 50:13  
**water** 60:3  
**way** 4:18; 15:10; 31:21;  
32:9, 11, 13, 13; 48:12;  
58:5, 14; 59:4, 4; 67:9;  
85:17; 23; 86:6; 89:12;  
93:9; 97:5; 98:17, 20;  
99:18; 101:9; 110:18, 19;  
113:2; 114:7; 115:10;  
119:12; 120:1  
**ways** 21:15; 40:8; 58:3;  
85:23; 113:11; 117:21;  
119:15  
**we/they** 102:21  
**we/we** 102:20; 103:9  
**web** 12:19; 15:11; 51:25;  
72:22  
**week** 60:10  
**week's** 20:1  
**weight** 109:8  
**WEINER** 5:19, 19; 46:14,  
18; 51:1, 5, 17; 52:16;  
53:10; 54:19; 55:4; 56:11;  
57:16; 74:3; 96:11, 13;  
100:21; 101:7, 8; 120:7  
**Weiner's** 86:9  
**welfare** 118:11  
**well-developed** 108:21  
**weren't** 4:18  
**Whereas** 23:3  
**whereby** 50:21  
**Whereupon** 120:23  
**Whitehead** 19:24  
**whole** 10:23; 61:10, 24;  
63:1; 66:20; 67:9; 84:18;  
108:7; 115:5; 117:8  
**whose** 9:17; 117:19  
**wide** 60:21  
**widely** 44:4  
**wider** 110:1  
**widespread** 8:10  
**wild** 78:6, 10; 85:12  
**William** 10:20  
**willing** 66:3  
**Wil'm's** 23:7  
**Wilms** 37:8, 16  
**window** 70:9  
**wisdom** 51:19  
**wish** 9:18; 62:18; 89:14;  
108:11  
**within** 13:22; 77:3, 4;  
81:8, 11, 19, 21, 24; 84:2,  
2, 6, 6, 10, 20, 21, 23; 86:1,  
2; 87:3; 89:13; 93:1; 97:22;  
110:10; 114:21; 117:25  
**without** 17:9; 18:1; 78:10;  
92:5; 115:22  
**women** 28:25  
**wonder** 109:10  
**wondered** 103:24  
**wondering** 74:3; 100:22;  
104:19  
**word** 16:11; 67:25; 89:15;  
118:23  
**words** 60:24; 78:10;  
79:22  
**work** 20:2, 10; 26:25;  
32:14; 35:1; 38:21; 42:8;  
52:18; 61:1; 67:4; 71:8;  
73:18; 75:2, 18; 85:5, 16;  
88:16; 89:12, 14; 95:24;  
98:1; 99:24; 105:10;  
111:25; 113:4, 12, 18;  
114:3, 3, 6; 116:5, 19;  
117:7, 17, 25; 119:23  
**worked** 34:25; 47:6; 63:2;  
94:16; 96:20; 112:21  
**workers** 75:10  
**working** 43:15; 44:12,  
19; 59:14; 61:4, 6, 9;  
71:24; 73:12; 75:15, 25;  
80:9; 84:19; 85:18, 19, 21;  
86:12; 99:15; 103:9;  
112:1; 113:11, 11; 115:18;  
116:14  
**works** 65:16; 85:6

**world** 20:8; 82:16  
**worth** 53:18; 101:17  
- **worth** 88:9  
- **men** 8:14; 10:14;  
12:25; 13:25; 15:16, 20;  
45:16; 64:1; 65:21, 22;  
66:2, 8, 11; 67:20; 72:9,  
21; 86:19, 19, 22, 25; 87:3,  
8; 88:1, 4, 6, 13, 17, 24;  
89:5, 10, 23; 91:7; 93:21;  
94:3, 7; 95:1, 5; 96:2, 7;  
104:1, 3; 119:9  
**wrong** 27:11  
**Wyeth-Ayerst** 9:5

## X

**X** 93:25

## Y

**year** 11:16, 22; 12:17;  
13:21; 16:23; 22:16, 19;  
, 37:3; 38:22; 40:11;  
41:4; 60:9; 72:17; 91:17;  
116:21, 24; 119:5  
**years** 11:3; 13:5; 18:9;  
22:15, 16, 17; 25:13; 33:8;  
34:14; 45:20; 47:17; 60:5;  
79:8; 84:14; 100:1; 106:7,  
25; 110:7, 9, 11; 114:11,  
16, 24; 115:21; 116:11, 23

**yellow** 32:12

**yesterday** 4:18; 10:6, 13,  
22; 12:10; 14:6, 10; 55:5;  
56:14; 64:6; 104:18;  
111:8, 19; 119:3; 120:12

**yesterday's** 45:6

**yielded** 101:25

**York** 4:9

**young** 116:9

**younger** 22:13, 14, 16;  
41:13

## Z

**Zeneca** 8:24

## **Lawyer's Notes**

---