

1 donations in the magnitude of 4,000 so we are hitting things
2 close to our detection limit.

3 This may be an outlier. It may also be in support
4 of what Andy said where we have got donations that are
5 really quite high in titer that are still antigen-negative.
6 All of these are antigen-negative. This is the index
7 donation that was positive for NAT only.

8 So, even if you don't necessarily count this
9 312,000, we do have several that are much higher than the
10 10,000 to 20,000 range and these are still antigen-negative.
11 So it brings up some questions about the real robustness of
12 the p24 antigen assay.

13 [Slide.]

14 So the conclusions; we have seen the reduction
15 window period for those donors that are initially positive
16 by NAT relative to the p24 an average of 5 days, the range
17 being from 3 to 7 days. Relative antibody test; we have
18 seen an average of 10.7 days with a range of 9 to 14 days.
19 We haven't seen any false positives. As I showed you on the
20 table, all the donors that we have been able to follow up,
21 or we have follow-up information on from subsequent
22 donations, we have corroborated that initial NAT reactive by
23 some other HIV assay.

24 So I think these data support that pooled NAT is
25 superior to individual donation testing for antigen.

1 Thank you.

2 DR. HOLLINGER: Thank you. I just had a couple of
3 questions. You said you had 2.1 million donations. How
4 many donors did that represent?

5 MS. MASECAR: We get a lot of applicant donations
6 in there so it is probably in the range of 50,000 to 200,000
7 donors but I don't have that exact figure. I would say--

8 DR. HOLLINGER: 50,000 to 100,000?

9 MS. MASECAR: 50,000 to 200,000. 50,000 donors
10 would be conservative.

11 DR. HOLLINGER: You initially, I think, said that
12 you did pools of 96 and a 95 percent detection rate of
13 91 copies per ml, I think you said.

14 MS. MASECAR: No; in copies per ml at 95 percent,
15 it is 10 to 20 copies per ml. 91 IUs.

16 DR. HOLLINGER: Okay. Again, explain to me; you
17 had some samples in there that were 4,100 and 4,300, yet it
18 seemed to have detected those?

19 MS. MASECAR: Yes, because our cutoff in copies
20 would be 4,000. So we were detecting initial index
21 donations right around that cutoff.

22 DR. HOLLINGER: So your lower copy level is what?
23 How much is the copy level then from IUs?

24 MS. MASECAR: That would be a multiple. It is
25 somewhat of a range. We have only done a couple of assays

1 to correlate copies to IUs. It is a factor of about 7, I
2 think. The scientist that did the work is here in the
3 audience. I can get an actual number for you.

4 DR. HOLLINGER: And the IUs are from the NIBSC
5 standard?

6 MS. MASECAR: Yes; that's correct.

7 DR. HOLLINGER: Anything else? Thank you.

8 The next presentation, Don Baker from Baxter
9 Hyland Immuno.

10 **Presentation**

11 MR. BAKER: One of the great things about these
12 meetings is the surprises. One of the biggest surprises you
13 can get is to find that you are on the list as a presenter
14 when you were not expecting to be presenting.

15 I had attempted to provide the committee with a
16 written presentation which, I hope, was part of your
17 presentation materials. If it wasn't, the fault is mine
18 because I was on vacation and I got it late.

19 I will attempt, if it is not in the presentation
20 materials, to summarize possibly the most pertinent point.
21 Our PCR NAT IND was not constructed to directly address this
22 question of the relative sensitivities of p24 versus PCR
23 testing. Our test system was designed, our IND was
24 designed, so that any donations which were--oh; good. I see
25 the committee does have the materials.

1 In that case, I am going to be sure that you have
2 all read it. And so are there any questions?

3 DR. HOLLINGER: Any questions? I guess there are
4 no questions.

5 The next speaker is Sue Stramer from the American
6 Red Cross. Susan?

7 **Presentation**

8 DR. STRAMER: Thanks, Blaine. I guess that was a
9 hard act to follow.

10 [Slide.]

11 I represent the American Red Cross. We do collect
12 plasma. However, we also are one of the two major whole-
13 blood collectors in the United States. In our IND for NAT,
14 we did include p24 antigen replacement. So my presentation
15 today will focus on two areas, one, in collaboration with
16 Mike's presentation earlier.

17 The first thing you will note in this slide is I
18 included two dates because the topic of p24 antigen
19 replacement by NAT was addressed in an earlier Blood
20 Products Advisory Committee meeting on March 25.
21 Unfortunately, I have a typo there. I will not be here
22 presenting on Saturday.

23 [Slide.]

24 As part of background material; since the
25 implementation of HIV p24 antigen screening in March of '96,

1 only six antigen-positive window-case donations have been
2 identified at the Red Cross. You will see later in the
3 presentation--I read ahead--from ABC that they have
4 identified four. So, in the national experience since
5 March 14, 1996, the date of licensure, in the whole blood
6 industry we have identified ten p24-antigen-reactive units.

7 Due to the low antigen yield and improved
8 sensitivity of NAT screening for HIV-1, replacement of
9 antigen with HIV-1 NAT should be possible.

10 [Slide.]

11 So, from the Red Cross side of the house, we have
12 screened 27.5 million donations. You will see the same
13 27.5 million donations ironically enough from ABC, so we do
14 have an even split. Of those screened, over 10,000 were
15 tested by neutralization; that is, they were repeat-
16 reactive. This represents 7,500 plus index donations
17 totally to about a 0.027 percent repeat-reactive rate for
18 the test.

19 We do donor reinstatement for p24 antigen, so, of
20 these on index, about a third, 2,200, came in for follow up
21 to see that they remained antigen-indeterminate and then
22 were eligible for reentry. However, reentry is a relatively
23 low yield proposition for antigen because you will see
24 higher numbers as well. But, in our total study, 53 percent
25 of antigens who do present a second time remain antigen-

1 indeterminate. So there is a biological false positivity
2 associated with the test.

3 Of this total, we have 316 confirmed positive
4 donations; that is, 3.1 percent of repeat-reactives or
5 0.001 percent of the total screened.

6 [Slide.]

7 Of the 316 confirmed positives, this is the
8 breakout. And this breakout goes until August 31 of this
9 year. 158 were false positives. As Mike alluded to
10 earlier, false positivity is commonplace in this test. We
11 defined these as false positive based on RNA-negativity, RNA
12 PCR testing performed by Mike Busch at Blood Centers of the
13 Pacific, lack of seroconversion in these donors, and
14 nonreproducible antigen-neutralization results.

15 In our SOP for donor counseling, we repeat the
16 antigen-neutralization test because most frequently the
17 false positives do not repeat. So we can assure the donor,
18 "You are likely not to be infected." We could not even
19 reproduce the antigen-neutralization result.

20 A subset of these 62 of the 158 were also tested
21 for reverse-transcriptase activity which would indicate the
22 presence of the retrovirus. I will show you there was data
23 in detail, but none of those 62 were positive for reverse
24 transcriptase by a CDC-developed AMP RT assay.

25 Of the 316, 152 were antigen-positive and six, as

1 I mentioned before, were recently infected seroconverting
2 donors. So the yield for the Red Cross is 1 in 4.6 million.
3 I should mention, one of these was an ABC donor, so if you
4 add this one ABC to the four that ABC will show, that makes
5 five from ABC, five from ARC, out of the same number of
6 donations tested, so exactly an even split.

7 Of these six, one was detected following NAT
8 implementation. We implemented in March of '99 and, since
9 then, we did detect one donor who was both NAT and p24-
10 antigen-reactive. I will also show you one donor that we
11 detected on index that was NAT-reactive but not reactive by
12 p24 antigen until we acquired follow-up samples.

13 As Dr. Busch showed, but I will show data also
14 collected from the Red Cross, we did not observe a magnet
15 effect with this test. And that was done by looking at
16 increases in HIV antibody testing before implementation and
17 post-implementation.

18 [Slide.]

19 If you look at the two populations I have just
20 mentioned, antibody-confirmed positives and antibody-
21 negatives, and look at their percent neutralization results
22 for the 40 percent assay cutoff, you can see that antibody
23 positives have a higher percent neutralization than to
24 antibody-negative samples, or these are the false positives.

25 This subset here of 158 also includes the six

1 window-period donations that I showed you. So the median
2 percent neutralization here was about 95 percent but you do
3 see, and this represents one sample that we did have that
4 was antibody-positive that did neutralize at a 40 percent
5 neutralization.

6 Here the mean neutralization was much lower, at
7 51 percent.

8 [Slide.]

9 I mentioned before the false positives lacked
10 evidence of other retroviral infection. Again, this was
11 performed using and AMP RT test developed by the CDC. As
12 controls, we included 28 positive samples by HIV-1 RNA that
13 were also positive by the AMP RT test. These included
14 antibody-positives and four seroconverters that we had from
15 our yield samples.

16 The false-positive samples, which were all
17 negative by HIV-1 RNA, were also all negative by the AMR RT
18 test. So, again, these false-positive samples have no
19 evidence of other retroviral infection.

20 [Slide.]

21 One other question that we tried to address, Red
22 Cross in collaboration with the REDS study, is what is the
23 meaning of antigen-indeterminate donors. Are these infected
24 with HIV? So what we did was we took up subsets of the
25 donation screened, so we started with about 7 million

1 donations screened, which resulted in 1,500, approximately,
2 or 0.022 percent repeat-reactive indeterminate donors.

3 There is no criteria in the test for a negative. You are
4 either confirmed positive or indeterminate.

5 We submitted available samples for PCR testing
6 and, of those samples submitted for PCR testing, there were
7 none that were positive by PCR. So all indeterminates
8 appear to be not infected with HIV.

9 Of those that we had, 38 percent, who did provide
10 follow-up samples, those were antibody-negative and, in this
11 subset, actually, a higher number than the 53 percent I
12 showed earlier. 77 percent of these donors, on follow up,
13 remained antigen-repeat-reactive but did not neutralize.

14 [Slide.]

15 The question of the magnet effect was addressed by
16 looking at the antibody prevalence for the six months post-
17 implementation of p24 antigen and comparing that to six
18 months within the same--the same six months the year prior
19 to. So we adjusted the data four times so that they were
20 the same six-month periods looked at pre-implementation and
21 post-implementation.

22 You can see the numbers of antibody-positives for
23 first-time donors and for repeat donors. And you can see
24 the rates here, 7.3 per 100,000 pre-licensure, 6.8 per
25 100,000 post-licensure, and there was no significant

1 difference so there was no antibody test-seeking that we
2 could determine.

3 [Slide.]

4 The other part of this presentation will focus on
5 what studies we have performed to show the redundancy or
6 lack of a need of p24 antigen. We have taken our antigen-
7 yield samples and NAT-yield samples--actually, in the data I
8 will show, there is only one, but we have subsequently added
9 others. We have diluted these 1 to 128 in RNA-negative
10 plasma.

11 The Red Cross IND had two phases. One was a phase
12 in which we tested pool sizes of 128 and now we are testing
13 pool sizes of 16. But all the work I will show you is a
14 pool size of 128 which actually represents worst case
15 because it is a eight-fold or greater dilution.

16 We have also looked at 25 commercial
17 seroconversion panels tested neat and tested at 1 to 128
18 dilution. These were in our IND. As an additional control,
19 with each run of NAT that we perform, we run a p24 antigen
20 external-run control sample. Although we call this p24
21 antigen external-control sample, it is not reactive for p24
22 antigen. That is because of the way it is prepared.

23 We have taken three antigen-positive antibody-
24 negative units and pooled then. They had an S to CO 1 to 2
25 by Coulter but were actually negative by the Abbott test.

1 The pool of these three was diluted 1 to 16, and at this 1
2 to 16 dilution, this pool was no longer reactive for p24
3 antigen. But it did have an RNA concentration equal to or
4 greater, depending on the manufactured lot, of 2,400 copies
5 per ml.

6 We do not accept a NAT run for release unless the
7 p24 antigen run control is reactive. To date, at least to
8 August 31, again, we have 6,674 runs. No run has ever not
9 been accepted because of a failure of this external-run
10 control. So, in all of these runs, the p24 antigen control
11 has been reactive.

12 [Slide.]

13 Before I show you the dilutions, let me show you
14 our NAT yield samples or I should say, in this case, our
15 antigen yield samples. These are the first five antigen
16 yield samples identified by the Red Cross. These are the
17 days of follow up following the index donation.

18 This column is the RNA concentration and,
19 hopefully, these are visible. These are p24 antigen signal-
20 to-cutoff ratios. You can see, in gold, where p24 antigen
21 is reactive. I have asterisked the peak antigen load. You
22 can see that, in each case, it corresponds to the peak
23 antigen signal. Even when antigen has a lower reactivity,
24 you can see at least 10^4 and, in this case, 10^5 RNA copies
25 per ml.

1 This is the percent neutralization. This is the
2 antibody results and Western Blot results, again antibody
3 always come up after p24 antigen and NAT.

4 [Slide.]

5 This is the six antigen yield samples, same
6 scenario, maximum antigen concentration, maximum NAT
7 concentration, first positive 10^5 copies per ml. This
8 sample here is our first NAT yield sample. I show it
9 because we did have follow-up samples here where this donor
10 did undergo the p24 antigen reactivity and then reversion to
11 negativity.

12 Here, the first antigen signal and an S to CO of 1
13 by the Coulter test did correspond to 4 times 10^5 copies per
14 ml. Again, here are the antibody results and Western Blot
15 results.

16 [Slide.]

17 If we took three of those samples, and actually
18 one that we got from southeast Wisconsin, the first antigen-
19 negative NAT-reactive sample identified since the NAT INDS
20 for whole blood were implemented, this one detected in a
21 pool of 24. What we did was diluted these 1 to 128 then to
22 see if they were still reactive.

23 This is the initial viral load. This one first
24 detected by NAT, therefore, having a lower viral load. But
25 they were all detected at a pool size of 128 including the

1 additional samples that we tested. Here you can see the
2 signal-to-cutoff ratio by the GenProbe TMA assay. The one
3 with the lowest viral load actually did have a little bit
4 lower S to CO but, certainly, 7 is nowhere near the cutoff.

5 [Slide.]

6 This is the slide Mike showed, but I am going to
7 show it for a different reason. Here, you can see the two
8 dates prior, NAT pickup prior, to p24 antigen.

9 [Slide.]

10 This now adds the GenProbe NAT data, either a
11 single unit testing, which is in the solid symbols, or as a
12 pool size of 1 to 128. Here the qualitative test by TMA was
13 a little bit more sensitive than quantitative PCR. However,
14 quantitative PCR hit the same exact sensitivity as the
15 pooled NAT at a dilution of 128 both of which were a two-day
16 improvement over p24 antigen.

17 [Slide.]

18 If you look at all of the seroconversions that we
19 looked at for our IND, which totaled 25 individuals having
20 92 seronegative bleeds, and you look at the question of
21 assay reactivity by NAT versus p24 antigen--so, again, these
22 are all the seronegative bleeds--the green symbols show you
23 those that were positive both by NAT and by p24 antigen.

24 The yellow symbols here, the yellow circles, show
25 you those that were only positive by NAT and could not be

1 detected by p24 antigen. So, in this analysis, there were
2 24 NAT samples, samples that were NAT-positive but negative
3 for p24 antigen, and there were no samples in the reverse
4 category; that is, antigen-reactive but NAT-negative.

5 [Slide.]

6 If you take these samples and dilute them 1 to
7 128, you virtually get similar results, or identical
8 results, with the exception that fewer samples remain NAT-
9 positive. Here 21 samples were NAT-positive but p24
10 antigen, but this is NAT at 1 to 128 dilution. Again, no
11 samples that were antigen-reactive and NAT-negative.

12 [Slide.]

13 If you look at all of the samples in the panel--
14 that is, antigen-positive and antibody-positives--and we
15 tested by two lots of the GenProbe reagents, we detected 162
16 in one lot and a 167 NAT-reactive samples undiluted.

17 Performing a dilution of 128, we detected subsets
18 of this 162 of 145 or 90 percent and 148 or 89 percent on
19 the second lot. If you look at the subset now that was p24-
20 antigen-reactive, only 57 or 55 percent of these samples
21 were p24-antigen-reactive. The data on this side just shows
22 the similar relationship for ATL, that these tests are
23 really antiquated and we have probably no need to be doing
24 them.

25 [Slide.]

at

1 Now, to address the external run-control sample,
2 the Red Cross, in addition to running the p24 antigen run
3 control sample with each run also runs and HIV and HCV and a
4 negative member. This is the concentration of our RNA NAT-
5 reactive run-control samples. Of four lots manufactured for
6 p24 antigen, using this same target for manufacture, we have
7 a range of copies per ml for this external run-control
8 sample of 2,400 to 6,800.

9 [Slide.]

10 This shows you the distributions of those four
11 external run controls. Here are the negative samples. Here
12 are the HCV-reactive samples. You can see that HIV, whether
13 it is the NAT control or the p24 antigen control, virtually
14 run very similarly. Actually, the p24 antigen control runs
15 a little bit hotter.

16 [Slide.]

17 So, in conclusion, from that data I have showed,
18 high RNA titers corresponding to equal to or greater than
19 10^4 copies per ml correspond with p24 antigen positivity.
20 There was no p24-antigen-reactive sample that was found NAT
21 nonreactive even using a pool size of 128. And we saw
22 earlier detection and detection in HIV antibody-positives.

23 So NAT, even using pools of 128, which we are no
24 longer doing, is more sensitive than screening with the
25 currently licensed tests for HIV p24. According to these

1 data, the antigen test could be replaced by the use of
2 licensed pooled NAT.

3 [Slide.]

4 In combination with my data presentation, I am
5 going to make the Red Cross position statement on the
6 replacement of p24 antigen with NAT. Red Cross seeks to
7 support its patients with a blood supply of the highest
8 quality and safety. We also support the replacement of p24
9 antigen testing--that is, when the discussion emerges for
10 whole blood--with NAT provided the following conditions are
11 met.

12 We have a licensed test. The test is fully
13 compliant with all cGMP features. It has full automation or
14 at least the maximum automation that we can obtain,
15 including positive sample ID and the test is at least as
16 robust as the current licensed screening tests we use today.

17 Thank you.

18 DR. HOLLINGER: Thank you, Sue.

19 Any questions of Dr. Stramer? Anyone?

20 Sue, again, just for my information, the p24
21 antigen, what was, again, the range for the copies per ml
22 for the p24 antigens that were positive and antibody-
23 negative?

24 DR. STRAMER: From the six that we had? They were
25 10^4 to 10^5 copies per ml.

1 DR. HOLLINGER: So those were fairly high.

2 DR. STRAMER: Yes.

3 DR. HOLLINGER: But there were only six that you
4 had.

5 DR. STRAMER: Yes; right. But that is why we did
6 the larger sampling of the 92 seroconversion panels.

7 DR. HOLLINGER: And that ranged what?

8 DR. STRAMER: That ranged comparably to where we--
9 well, actually, it covered the entire dynamic range because
10 we started with antigen-negative NAT-positive samples. So
11 it covered the entire dynamic range.

12 I didn't do the regressions as Mike did so I can't
13 tell you what the antigen cutoff was, but I wouldn't be
14 surprised if it paralleled the data that Mike showed pretty
15 exactly.

16 DR. HOLLINGER: Thank you. Thanks very much, Sue.

17 The next presentation is by Dr. Schochetman from
18 Abbott Laboratories.

19 **Presentation**

20 DR. SCHOCHETMAN: Thank you.

21 [Slide.]

22 As we contemplate whether to decide to continue or
23 discontinue antigen testing in the plasma fractionation
24 business, I would like to have you keep some things in mind.
25 The first is that the current antigen assay is really not

at

1 the ultimate in terms of sensitivity to which this assay can
2 be driven. The second is that, as we contemplate this and
3 since it may take a certain amount of time to implement any
4 possible discontinuation, that we don't send negative or
5 disincentive messages to manufacturers so that they will not
6 put the effort in to develop more sensitive single-unit
7 antigen testing.

8 [Slide.]

9 Our goal, actually, or our objective, is to
10 develop more sensitive HIV antigen assays in the short term
11 to make these assays comparable in sensitivity to pooled NAT
12 testing and, in the long term, make this sensitivity
13 equivalent to single-unit NAT testing.

14 I should say--I gave a talk somewhat similar to
15 this at last year's BPAC meeting sort of giving the
16 alternative view. I am getting to feel a little bit like
17 salmon going upstream, and that is it seems like possibly a
18 futile attempt, but you have this genetic urge that you must
19 keep doing this.

20 [Slide.]

21 What I want to do is share with you some data on a
22 research assay for our automated prism instrument that
23 already has increased sensitivity. I should say that this
24 is, by no means, as far as this assay can be driven.

25 As you can see here, this is our current antigen

1 assay that is on the market. We estimate that the
2 sensitivity of that antigen assay, using an internal Abbott
3 standard, is in the neighborhood of around 7 to 10 picograms
4 per ml. If one makes the assumption that a picogram is in
5 the neighborhood of 10,000 copies per ml, then we are
6 looking at the ability to detect a sample with somewhere
7 between 70,000 and 100,000 copies.

8 At a dilution of 1 to 96, we would be looking at
9 somewhere between 725 and 1,041 copies and, at a dilution of
10 1,200, we would be looking at 58 to 83 copies. The current
11 research prism antigen assay is several-fold more sensitive
12 already and is in the range of 1 to 2 picograms which would
13 have an sensitivity, in terms of RNA copies per ml, of about
14 10,000 to 20,000 copies and a dilution of 1 to 96 would be
15 somewhere in the range of 100 to 200 copies and 1 to 1200
16 would be somewhere in the range of about 8 to 16 copies.

17 [Slide.]

18 Assuming the sensitivity of a picogram being about
19 10,000 copies of viral RNA, then, if you look at a NAT assay
20 with a sensitivity of about 50 copies per ml, then, at a
21 dilution of 1 to 96, that sample would have to have a
22 starting copy number of about 4,800. If you are looking at
23 a dilution of 1,200, the starting copies would have to be in
24 the range of around 60,000 copies per ml.

25 [Slide.]

1 What I would like to do is show you here some real
2 data on early seroconversion samples from commercially
3 available seroconversion panels. What you can see here is
4 samples from each of those panels. The letter or the number
5 after the slash represents the particular bleed.

6 You can see here we selected these because they
7 were all negative by the current Abbott test. You can see
8 here a signal to cutoff of less than 1 which would make it
9 nonreactive. Yet, in our research prism antigen assay,
10 these were easily detected. You can see here the published
11 information on the NAT data for each of these specimens.

12 If you look at these specimens at a 1 to 96
13 dilution, the copies per ml range from about 122 up to about
14 1,000 copies per ml. But, at dilutions of 1 to 1,200, we
15 are getting down into the range of 10 to about 80 copies per
16 ml and it begins to push the sensitivity of the NAT assays
17 as they currently exist.

18 [Slide.]

19 I think when one looks at an antigen assay such as
20 the one we have now and are continuing to drive the
21 sensitivity of that assay even further, that the advantages
22 of such an assay is that it is already a fully automated
23 system for antigen testing, and one gets really quite rapid
24 results. There are already in place process controls for
25 enhanced GMP compliance. There is really little or minimal

1 sample preparation and, certainly, the issues of
2 contamination or carryover that one potentially has with NAT
3 testing are really virtually nonexistent.

4 The ability to confirm using a neutralization test
5 exists. Certainly, for simplicity of implementation, there
6 are no pools to dilute the sensitivity, no dissection of
7 pools, no shipping of pooled specimens, et cetera, et
8 cetera.

9 [Slide.]

10 On the final slide, I think I would like to leave
11 you with sort of two messages. One is that the gap between
12 individual antigen testing using more sensitive antigen
13 assays and NAT for pooled samples really may not be as
14 significant as we may like to think and that, as opposed to
15 thinking about discontinuation, I think maybe one ought to
16 really send a more positive message to manufacturers that
17 they should be encouraged to develop ultrasensitive antigen
18 assays with sensitivities equal to or greater than single-
19 unit NAT testing.

20 Thank you.

21 DR. HOLLINGER: Questions? I have a question.
22 Have you had a chance to compare this with actually many of
23 the NAT-positive antigen-negative samples, a large number of
24 the ones which have now been positive by NAT and negative by
25 antigen, to see what percentage was actually picked up with

1 the new technique, the prism technique, and so on?

2 DR. SCHOCHETMAN: We are trying to obtain some of
3 those samples and we understand there is little, if any,
4 available. But we certainly would love to be able to look
5 at those. I think maybe something that we would certainly
6 like to do is actually try to set up sort of a head-to-head
7 comparison going into very high-risk populations where the
8 incidence would be extremely high, probably in the 7 to
9 14 percent range, and be able to look at many more early
10 seroconverters and to do probably a better study.

11 But we certainly would be happy to look at any of
12 the NAT-ONLYs. We have, so far, been unable to really get
13 access to any.

14 DR. HOLLINGER: Thank you.

15 We have two more. Dr. Fang from Chiron
16 Corporation?

17 **Presentation**

18 DR. FANG: Thank you, Mr. Chairman

19 [Slide.]

20 I am Chyang Fang from Chiron Corporation, the
21 Blood Testing Division of Chiron Corporation. Chiron
22 Corporation is a biotechnology company headquartered in
23 Emoryville, California. We currently supply the NAT assay
24 for blood screening on more than 70 percent of the nation's
25 blood supply under an FDA-approved IND application.

1 The Chiron TMA HIV-1 HCV assay is a multiplex
2 assay for simultaneous detection of HIV-1 HCR RNA in human
3 plasma. This assay is developed and manufactured by
4 GenProbe Incorporated located in San Diego, California.

5 Today, I would like to present data in support of
6 the notion of discontinuation of routine HIV-1 antigen
7 testing after a NAT assay is approved by the FDA and
8 implemented for routine blood screening.

9 The first part of my presentation is to show that
10 all p24-antigen-positive donor specimens from three studies
11 were also positive with the Chiron TMA assay. The second
12 part is to show the analysis of the Chiron TMA assay on
13 different subtypes of HIV-1.

14 [Slide.]

15 I collaboration with Professor Anton Hans of the
16 South African Blood Transfusion Service, we have conducted a
17 study in South Africa. In South Africa, about 1 million
18 blood donations are collected annually by seven blood
19 centers; that is, one large center, two medium sized and
20 four small centers.

21 About 90 percent of the donations are from they
22 call it low-risk donors while the remaining 10 percent are
23 from high-risk donors. The low-risk donors are many from
24 the white and the Asian population and the high-risk donors
25 are many from the black and colored populations. These are

1 the terms used in South Africa.

2 The overall HIV prevalence was reported at
3 0.21 percent in 1997 and 1998. However, the rate among the
4 high-risk group was more than 100 times higher than the low-
5 risk group. In 1994, the risk of HIV transmission through
6 transfusion in South Africa was estimated at 2.2 per
7 100,000. This number was much higher than the United States
8 and other developed countries.

9 All donations are routinely screened for HIV-1,
10 p24 antigen, HIV-1 to HCV antibody, HBsAg and syphilis
11 serology with a double EIA strategy. That means, a second
12 EIA test is used to confirm the initial reactivity instead
13 of neutralization, Western Blot or RIBA.

14 [Slide.]

15 For the study, about 10,000 samples from low-risk
16 donors and 10,000 samples from high-risk donors was
17 collected and shipped frozen to Johannesburg Laboratory for
18 TMA testing using the single-unit testing. Samples were
19 provided by all seven blood centers, the contributions
20 proportional to their collection volume. Routine laboratory
21 results and donor demographic information was collected
22 prior to the breaking of the linkage.

23 All serology and TMA-reactive samples have been
24 shipped to Dr. Michael Busch's laboratory at the Blood
25 Centers of the Pacific for further testing.

1 [Slide.]

2 A total of 20,620 donor samples have been TMA
3 tested. Of this, 54 percent are from the high-risk group.
4 62 percent is from male donors and 15 percent from first-
5 time donors. The higher percent of the first-time donors
6 for the study population was mainly due to a higher
7 proportion of the samples which were from the high-risk
8 groups, particularly for the female high-risk groups. We
9 suspect that there is some test seeking in this group.

10 [Slide.]

11 For the purpose of this meeting, there were seven
12 HIV-1 p24-antigen-positive samples identified. Those are
13 the TMA-positive and the p24-antigen-positive. That group
14 is the TMA-positive, antigen-positive, but also antibody-
15 positive as a total of seven donor samples.

16 All of the seven samples are from high-risk
17 groups, six from males and one from females. Five are also
18 positive for antibody and one was antibody-negative and
19 antigen-negative--no; I'm sorry. One is also antibody-
20 negative but antigen-positive.

21 Although the additional testing has not been
22 completed yet in order to confirm this reactivity, but all
23 seven p24-antigen-positive samples were also positive in the
24 TMA testing. In addition, there is also one sample from a
25 high-risk female donor which was positive only in the TMA

1 testing.

2 [Slide.]

3 Data from this study will be presented at this
4 year's AABB annual meeting in November by Dr. Nel. The
5 preliminary conclusion includes that the role of routine HIV
6 p24 antigen testing should be reevaluated.

7 [Slide.]

8 In a second study, Professor Jean Pierre Allain of
9 the Cambridge University in U.K. has collected donor samples
10 from Ghana and Durbin, South Africa, for single-unit and
11 pooled TMA testing. Seroreactive samples were excluded from
12 the study. However, some samples were identified as
13 positive for HIV-1 RNA by the TMA assay. Most of the
14 samples were purposely included as a positive control.

15 This included four p24-antigen-positive for the
16 South African population and six antibody-positive from the
17 Ghana population. Two additional samples from the Ghana
18 group were TMA-positive but negative for p24 antigen and
19 antibody. All twelve HIV-1 RNA-positive samples were
20 confirmed by their in-house RT PCR methodology.

21 [Slide.]

22 A third study in the U.S. under the FDA-approved
23 IND, the AIBC is currently testing about 35,000 donor
24 samples monthly from 22-plus centers at the Citrus Blood
25 Bank in Florida using the TMA assay.

1 From April, 1999 to August of this year, about
2 600,000 donor samples have been tested in pools of 24. Six
3 samples were identified as positive for HIV-1 p24 antigen.
4 All six samples were also positive with the TMA assay. All
5 six were also reactive in the HIV antibody EIA, but only two
6 were confirmed by Western Blot.

7 [Slide.]

8 A switch to the sensitivity. In terms of the TMA
9 sensitivity for different HIV subtypes, we have compiled
10 data from several assay performance evaluation studies
11 around the world. Samples were from various sources and of
12 different origins. Testing was conducted by six different
13 laboratories. The viral load, or the copy per ml numbers,
14 were provided by the testing lab or measured against the
15 international standard.

16 This slide shows that the sensitivity of group M,
17 subtype A, specimens. The data show that TMA could reliably
18 detect type A specimens below 100 copies per ml.

19 [Slide.]

20 This slide shows type B specimens. Again, the TMA
21 assay can detect all samples including those at the 100
22 copies per ml.

23 [Slide.]

24 This again is type B specimens to show that TMA
25 can detect samples at a sensitivity less than 100 copies per

1 ml. The samples have been arranged in descending order of
2 the copy per ml number at testing. This column, basically,
3 is tested once and then, again, positive results at the 1 to
4 100 dilution and so forth.

5 [Slide.]

6 This lists all the type C specimens. Again, TMA
7 can detect samples at the viral load of 100 copies per ml or
8 less.

9 [Slide.]

10 This lists all the type B samples that have been
11 tested, down to less than 100 copies per ml.

12 [Slide.]

13 These are the type E specimens.

14 [Slide.]

15 This lists the type F, G and H. For type H, only
16 two specimens were tested, once each by two different labs.
17 Both samples had a viral load of higher than 100 copies per
18 ml. This slide also shows that the TMA assay could detect
19 group or specimens at 100 copies per ml or less.

20 [Slide.]

21 This slide summarizes the data from the previous
22 seven slides. Overall, data from the six evaluation studies
23 demonstrate that the TMA assay can reliably detect all HIV-1
24 subtypes at 100 copies per ml except for subtype H where
25 insufficient data were available.

1 [Slide.]

2 Finally, one of these evaluation studies also
3 included 30 HIV-1 specimens with different recombinant
4 subtypes from around the world. The viral load of these
5 samples were unknown. However, all samples were detected as
6 positive by the TMA assay.

7 [Slide.]

8 In conclusion, we have shown that, from these
9 three studies, all 17 HIV-1 p24-antigen-positive specimens
10 were also positive in the Chiron TMA assay. Second, the
11 Chiron TMA assay has a greater than 95 percent detection
12 rate at 100 copies per ml for HIV-1 subtypes.

13 Thank you.

14 DR. HOLLINGER: Thank you. Any comments? Dr.
15 Nelson?

16 DR. NELSON: We have seen all the various subtypes
17 of HIV-1. How do both p24 antigen and PCR work for HIV-2?

18 DR. FANG: TMA has no detection of HIV-2.

19 DR. NELSON: Does p24 antigen? Will the licensed
20 p24 antigen detect HIV-2?

21 DR. NELSON: Dr. Schochetman?

22 DR. SCHOCHETMAN: It can detect HIV-2 but not to
23 the limits that it does HIV-1. We actually have some new
24 reagents that will detect all the HIV-1's and the HIV-2's
25 down to very low limits, now.

1 DR. HOLLINGER: An important point. Thank you.

2 DR. HEWLETT: May I add something?

3 DR. HOLLINGER: Yes. Dr. Hewlett?

4 DR. HEWLETT: I wanted to add that the licensed
5 antigen assays don't have a claim for HIV-2.

6 DR. SCHOCHETMAN: Right.

7 DR. HEWLETT: So we really don't have a good sense
8 how cross reactive they are with each other.

9 DR. HOLLINGER: I'm sorry. I can't hear you.

10 DR. HEWLETT: The licensed antigen assays are not
11 labeled for sensitivity for HIV-2 so, at this point, I don't
12 think we really have a good sense and good data to support
13 cross-reactivity for HIV-2.

14 DR. HOLLINGER: Thank you.

15 The next presentation is by Dr. Bianco from the
16 America's Blood Centers. Celso?

17 **Presentation**

18 DR. BIANCO: Thank you, Blaine.

19 [Slide.]

20 Thank you for the opportunity to present our
21 experience here.

22 [Slide.]

23 America's Blood Centers is an association of 75
24 not-for-profit community-based blood centers that collect
25 about half of the blood supply from volunteer donors.

1 [Slide.]

2 The results that I am going to present are
3 summarized--these results were a survey of our centers.
4 There were actually two surveys that were carried out in
5 September. The period that they cover is from the
6 introduction of HIV-1 p24 antigen. That was March, 1996, to
7 August, 2000.

8 We received reports from 74 of the 75 centers in
9 the survey about the detection or identification of HIV-1-
10 p24-positive antibody-negative donors. This represents
11 27,525,000 collections. That is 98 percent of the total
12 whole-blood and apheresis collections that these centers
13 have for these periods.

14 I have more detail in the survey from 60 ABC
15 centers.

16 [Slide.]

17 These 60 centers tested 22 million donations over
18 the period. There were 3,425 samples that were repeatedly
19 reactive for p24 antigen. Of those, 174 samples were
20 positive on neutralization representing about one in each of
21 twenty samples that were tested, that were repeatedly
22 reactive, were positive on neutralization.

23 [Slide.]

24 This is just a pie chart representing more or less
25 the same thing; that is, a very proportion of the samples

1 that are repeatedly reactive become positive on
2 neutralization.

3 [Slide.]

4 This is a breakdown per year. You can see that it
5 has been a more or less constant set of data. We have
6 between 4.4 and 5 percent or 6 percent variation in terms of
7 repeatedly reactive samples that neutralize. There were
8 four among those centers' samples that actually were
9 antigen-positive antibody-negative among those 174
10 neutralized samples.

11 [Slide.]

12 This is a bar graph just representing the same
13 thing in the distribution per years. There was one sample
14 identified in 1996, two samples identified in 1999 and one
15 sample identified early this year.

16 [Slide.]

17 These samples came from all areas of the country,
18 two from the Pacific, one from the Northeast and two from
19 the Southwest. The last sample was detected after the
20 introduction of NAT testing for HIV and so the sample was
21 tested for both. The donor did not come back for follow up.
22 All the other donors seroconverted, were neutralization-
23 positive and two of them were PCR-positive.

24 [Slide.]

25 So the yield between March, 1996 and August, 2000,

1 of over 27.5 million donations were four positive
2 individuals for HIV-p24 antigen-negative on antibodies. So
3 the ratio, the yield, here, is one in about 6,880,000
4 samples.

5 [Slide.]

6 In summary, HIV p24 was introduced as an interim
7 step for shortening the window for HIV until molecular
8 assays became available. And we heard very good summaries
9 from Dr. Hewlett, Dr. Busch, and also from Sue Stramer. ABC
10 identified one in 6,880,000 as window cases detected by the
11 p24 antigen; thus, the yield is very small.

12 [Slide.]

13 In conclusion, NAT for HIV is much more sensitive
14 than p24 antigen even when performed in pools of 16 to 24
15 specimens. NAT has been successfully performed under FDA-
16 approved INDs and ABC centers support the elimination of the
17 requirement for HIV p24 antigen screening when NAT for HIV
18 is performed either under an approved IND or with an FDA-
19 licensed test.

20 We understand that the position of FDA is for an
21 FDA-licensed test but we would like to request that, for
22 donor pools of 16 and 24 samples, that the same
23 consideration being given to source plasma be given to
24 whole-blood donations and apheresis.

25 Thank you.

1 DR. HOLLINGER: Thank you, Celso.

2 Any comments for Celso? Questions? Thank you.

3 This concludes the individuals who have asked to
4 speak to the issues here, but I am just going to open it up
5 for a second to the public for anyone else who wishes to
6 present any information on this aspect of the question. If
7 there is someone else that wants to speak about something, I
8 will get to that in a minute.

9 Does anyone else wish to make a comment?

10 DR. CONRAD: I just think it is very important--I
11 don't know if the issue of the false-positivity--it struck
12 me as remarkable that that is the other side of this
13 equation. I think that the polymerase chain reaction or TMA
14 is very sensitive, but I also think the specificity
15 shouldn't be overlooked and that some of the slides that Dr.
16 Bianco and Dr. Stramer showed, there was an enormous number
17 of people being told that they are potentially at risk for
18 having HIV and that are not being confirmed.

19 I think many of those donors are permanently
20 deferred. That is a pretty terrible position to be in. So
21 it is not just whether or not NAT is more sensitive and
22 imparts safety on the blood supply. It is also that it is
23 probably more specific and imparts piece of mind on the
24 donors.

25 DR. HOLLINGER: Thank you.

1 I want to get to just one final presentation and
2 then we are going to take a break. Alpha 1 wants to make a
3 presentation on the availability of therapeutic products.
4 This is going to be Ms. Miriam O'Day.

5 **Presentation**

6 MS. O'DAY: Hi. First of all, I wanted to say
7 that I am here today--I have changed my affiliation. I am
8 Miriam O'Day. I am Director of Government Relations and
9 Regulatory Affairs for the Alpha 1 Foundation. Thank you
10 for the opportunity to comment today regarding the
11 availability of therapeutic products for the treatment of
12 alpha 1 antitrypsin deficiency.

13 The Alpha 1 Foundation is a national not-for-
14 profit organization whose mission is dedicated to providing
15 leadership and resources that will result in increased
16 research, improved health, worldwide detection and a cure
17 for alpha 1. The Alpha 1 Foundation works closely with the
18 Alpha 1 Association to promote awareness and further
19 advocacy goals.

20 AAD is a single-gene defect leading to the loss of
21 one serum protein requiring augmentation therapy.
22 Currently, the AAD protein is replaced with an intravenous
23 plasma-derived product which is produced by a sole
24 manufacturer. Because extreme product shortages have been
25 experienced within the alpha 1 community, with supplies

1 decreasing to between 40 to 80 percent of the prescribed
2 dosage, the Department of Health and Human Services Advisory
3 Committee on Blood Safety and Availability passed a number
4 of resolutions to recommend strategies for amelioration of
5 this crisis.

6 On August 24, the Foundation provided a report to
7 the advisory committee regarding the status of their
8 recommendations which I will summarize for you. First, the
9 advisory committee recommended that methods be developed to
10 optimize and standardize allocation of available product in
11 an equitable manner including the management of emergency
12 supplies and programs that distribute products directly from
13 manufacturers to registered consumers.

14 Distribution of Prolastin, the AAD augmentation
15 therapy, was addressed by the alpha 1 community which
16 included the Alpha 1 Association, the Alpha 1 Foundation and
17 the Foundation's Medical and Scientific Advisory Committee.

18 In response to consumer requests, they have
19 implemented a direct-distribution strategy. Prolastin is no
20 longer sold to distributors. It is allocated directly from
21 the manufacturer to the consumer insuring that the fully
22 prescribed dosage is available to each alpha as long as
23 there is product available.

24 All alphas enrolled in the program have received
25 their full prescription at 28-day intervals since November

1 of 1999 resolving the need for reduced dosages or increased
2 intervals between infusions. In addition, over 200
3 consumers that did not have access to augmentation therapy
4 prior to the establishment of this program are currently on
5 product. Direct distribution of a one-product, one-
6 manufacturer, community has resolved inequities and directly
7 related to the decrease of the severe shortages.

8 The direct distribution from manufacturer to
9 consumer has proven beneficial with regard to safety as
10 well. Direct distribution has allowed for the swift and
11 accurate notification of recalls and withdrawals. For
12 example, information regarding the recent recall of
13 CliniPads due to the confirmation of bacterial contamination
14 within some lots reached all alpha-1 consumers within 48
15 hours.

16 I would like to note that the Foundation continues
17 to support the voluntary patient notification system and per
18 capita has the greatest number of consumers enrolled in the
19 PNS. Second, the advisory committee recommended that
20 industry explore with the FDA strategies for reallocating
21 partially processed plasma materials from one manufacturer
22 to another in order to optimize production of alpha-1
23 antitrypsin and other plasma derivatives.

24 The Foundation was able to work closely with
25 industry on this issue and, on behalf of the alpha-1

1 community, we would like to thank the American Red Cross and
2 Baxter for working with Bayer in a cooperative relationship
3 to provide enough raw materials to reach full production
4 capacity at both of Bayer's manufacturing facilities.

5 We caution, however, that this does not indicate
6 that the end product or the throughput will satisfy demand
7 nor does it resolve the uncomfortable feeling within the
8 alpha-1 community of reliance on one manufacturer. This
9 dependency leaves us vulnerable to possible GMP problems and
10 accentuates the delicate balance between supply and demand.

11 Third, the committee recommended that NIH and
12 industry should immediately evaluate alternative dosing
13 schedules and alternative delivery systems for alpha-1
14 therapy including prophylaxis strategies and strategies for
15 treatment during acute exacerbations of disease and
16 accelerate development of gene-based products and gene-
17 directed therapies for alpha 1.

18 This resolution supported the evaluation of new
19 delivery technologies and expedited development of new and
20 non-plasma-derived options including aerosol and inhaled
21 delivery systems. Currently, there are three manufacturers
22 in various stages of development with an aerosol product
23 including one transgenic product.

24 The hope is that aerosol will prove more
25 efficacious because it delivers the drug directly to the

1 lung. It is also hoped that the aerosol products will
2 provide increased access to a greater number of consumers,
3 perhaps up to five times as many individuals with the same
4 raw material and be more cost-effective.

5 In conclusion, the current situation with one
6 manufacturer and one product causes concern about the
7 inevitable situation when demand will exceed supply. Within
8 the next few months, we anticipate a delay in dispensing
9 causing a delay in serving all consumers enrolled in the
10 direct-allocation program.

11 Providing the alpha-1 community with therapeutic
12 alternatives should be a top priority for the FDA and the
13 agency has been very cooperative in addressing issues
14 relating to clinical-trial design and INDs. The Foundation
15 hopes that this progress will continue and we are very
16 encouraged that we have an opportunity for further
17 discussions with the agency at a meeting that is scheduled
18 at the end of the month.

19 Thank you very much for your time today.

20 DR. HOLLINGER: Thank you, Ms. O'Day.

21 We are going to take a break now until noon and we
22 will meet back here for committee deliberations and to deal
23 with the question. Thank you.

24 [Break.]

25 DR. HOLLINGER: We have most of the committee

1 members here, but not all of them. Is Dr. Hewlett still
2 here? Is there anyone here from the FDA? Are we by
3 ourselves? We can create anything we want now.

4 Dr. Hewlett, could you go over the question again,
5 please?

6 **Questions for the Committee**

7 DR. HEWLETT: At this point, I am going to read
8 the questions. The first question for the committee is, "Do
9 the committee members agree that HIV-1 p24 antigen testing
10 of source plasma may be discontinued if: a, it is
11 demonstrated that a particular licensed NAT method can
12 detect HIV at a level of 5,000 copies per ml or less in a
13 unit of plasma even if the donor sample is tested as part of
14 a pool, and b," as it is written here.

15 I am going to go ahead and read this question, but
16 we have had some discussion about whether to rephrase it.
17 The question, as written, is, "Comparative studies of the
18 NAT method versus HIV-1 p24 are consistent with the
19 hypothesis that the NAT method is of equal or greater
20 sensitivity (including the ability to detect major
21 subtypes)."

22 However, we would like the committee to focus on
23 whether the data you have heard this morning are adequate
24 for you to make a recommendation that it is acceptable to
25 replace p24 with the NAT method.

1 The second question is, "If committee members
2 disagree, please comment on an appropriate alternative."

3 **Committee Discussions and Recommendations**

4 DR. HOLLINGER: Except for fractionation being
5 misspelled. I am going to open this up to the committee
6 now--we have closed the open session--and ask the committee
7 members to deliberate on this a little bit about the
8 question, any comments that anybody wants to make, any
9 discussions and so on before we actually vote.

10 Who would like to start? Dr. Simon?

11 DR. SIMON: I basically agree with the question.
12 I had two comments I would like to make. Number one, at
13 least from my point of view and I think, perhaps, we will
14 hear this from several others, it would seem that we could
15 go to both source plasma and whole blood. There must be a
16 reason why the FDA wanted this restricted to source plasma,
17 but it would be appear that the data would be very
18 supportive of making the substitution for whole-blood donors
19 as well.

20 Secondly, I did read--it was in packet, and there
21 wasn't much more said about it--the Criteria for
22 Discontinuation, Current Thinking. I just wanted to express
23 a concern, given the data that we have heard which seems to
24 be very strong, that the NAT will, if anything, improve
25 safety over what might be now and allow us to eliminate a

1 test that has caused us to lose many noninfectious donors,
2 that the FDA not be too onerous in its requirements.

3 Some of the things here seem to go beyond what is
4 in the question, for example about the reference to new
5 clinical trials or clinical trials done by the companies.
6 As was stated by at least one of the presenters, some of the
7 companies didn't have this, per se, as a question in their
8 clinical trials but their data show overwhelmingly that the
9 NAT test is more sensitive in detection of potential window
10 donors than is the p24 antigen.

11 So I would hope that data based on meeting the
12 sensitivity limits set by the FDA as well as demonstrating
13 in the various panels that the detection is possible would
14 allow this approval to occur, because I think this
15 potentially would be if not a significant advance certainly
16 a step forward by eliminating a test that we do not need and
17 utilizing the NAT technology that is coming along so well.

18 DR. HOLLINGER: Thank you.

19 John?

20 DR. BOYLE: I would just like a clarification on
21 question 1 because I don't know enough about the test
22 variability for NAT testing, but does detecting at 5,000
23 mean all, some or any?

24 DR. HOLLINGER: Can you rephrase that a little
25 bit?

1 DR. BOYLE: In other words, "can detect HIV at a
2 level of 5,000 copies." Does that mean in all cases, some
3 cases or any cases?

4 DR. HOLLINGER: I would presume it would be in all
5 cases. Dr. Hewlett?

6 DR. HEWLETT: At least 95 percent of the time.

7 DR. HOLLINGER: Dr. Fitzpatrick is not here, but
8 he did send an E-mail and I would like to read into the
9 record, at least his comments. It is fairly short. He
10 says, "I agree with the recommendation of the FDA but would
11 encourage the committee to ask the FDA, on record, to
12 discuss each of the prerequisites for dropping the test that
13 the FDA, itself, had outlined. All of these have not been
14 addressed in the preexisting materials. One of the most
15 important is a licensed NAT test for HIV. I know you all
16 will follow up with them on the others," and so on.

17 His question just is, apparently, there are some
18 reasons for dropping a test and one of them is that you
19 would use another test for it like the NAT test. I think
20 what he is just saying is since there are no licensed NAT
21 tests at the present, how are you going to deal with that?

22 DR. HEWLETT: The issue that we would like to
23 stress, one of the points that we would like to stress, is
24 that replacement at the present time--our consideration is
25 that it will not happen until a NAT method is licensed. So,

1 in other words, although data are being accumulated and
2 certain manufacturers may, in fact, have analyzed their data
3 to demonstrate utility or greater sensitivity of the NAT
4 method, that particular manufacturer would get licensed and
5 would be allowed to replace the HIV antigen test with their
6 licensed NAT method when they get licensed for the NAT test.

7 So that is the current thinking at the FDA in
8 regard to how it is going to be implemented. Obviously, the
9 issue has been raised as to whether the industry as a whole
10 can move towards a specific test. We need to recognize that
11 the NAT testing, the pool testing, has been implemented in a
12 somewhat different way than most of the other tests have.
13 They have been implemented under IND primarily to gather
14 data to support the efficacy of the test.

15 So in allowing manufacturers to do that, I think
16 we have gone a long way. Another modification under IND I
17 think would probably not serve us as well. So what we are
18 looking at at this time is to go with specific licensed NAT
19 methods and to allow manufacturers to replace p24 with their
20 specific and particular method when it becomes licensed.

21 DR. HOLLINGER: Thank you.

22 Dr. Nelson?

23 DR. NELSON: I don't completely understand the
24 licensure procedure given the fact that there is a lot of
25 data on NAT testing. Have none of the manufacturers applied

1 for licensure yet or, if they have applied, what is the
2 procedure, what else needs to be done?

3 DR. HEWLETT: Obviously, our tongues are tied in a
4 certain way in regard to what we can disclose, but I think
5 you have heard from at least one manufacturer today that
6 they have submitted an IND, they have submitted a BLA which
7 is under review.

8 The review process, just to give you a two-minute
9 summary of how it takes place; we review, we send them
10 letters. We go through the deficiencies, do an inspection.
11 So there is a lot of review and inspection and establishment
12 issues that need to be addressed as well in addition to test
13 validation and clinical-trial issues.

14 DR. HOLLINGER: At least in my opinion, I think it
15 is clear that the nucleic-acid testing is clearly more
16 sensitive than the p24 antigen testing. I don't think there
17 is probably anybody here who would disagree with that.
18 I think that the levels that have been selected are probably
19 a reasonable selection. 5,000 copies per ml seems, at least
20 to me, to be a reasonable one.

21 I would like to ask, just for the record because I
22 know what the answer is, but I would like to have someone
23 discuss from industry or from people who are doing the
24 tests--we talk a lot about false-positive tests. That is
25 not really the issue. The false-positive tests are no

1 problem. If you get a positive test, you look at the
2 individual samples to see if any of them are positive or
3 negative.

4 What is really important are the false-negative
5 tests, and how often does false negative come into
6 existence. The difficulties of potentially sucking up the
7 DNA, the pellet, or something like this in which you will
8 get a pool that is negative which is, indeed, truly
9 positive.

10 So I would like somebody to discuss what things
11 are being done, such as controls and so on. That is why I
12 am really bringing it up. Also inhibitors, when you pool
13 things, the potential for inhibitors that might inhibit a
14 positive test that are in there.

15 Andy, why don't you go ahead and do that.

16 DR. CONRAD: For us, one of those manufacturers
17 has submitted those BLAs. Of course, all of our samples
18 that are actually tested, contain an internal control, and
19 that internal control--I know that TMA has it, as well, and
20 I know that the Roche system that Bayer uses as well. So
21 all of the current manufacturers I think that are in the
22 process of this all have internal controls which would
23 indicate inhibitors either from an individual sample or in
24 the pooled context--would indicate a preparation error where
25 the nucleic acids weren't carried forward to the

1 amplification reaction.

2 So the issue, just from our clinical experience,
3 during our INDs which we have conducted with several
4 manufacturers, there was not a single case in which a donor
5 seroconverted where we had a prior donation that we did not
6 find positive. So there were no false negatives.

7 There are individual donations that are not
8 detected in pooled PCR but that is different than a donor
9 passing through the system undetected. I am sure the story
10 is true with TMA as well as the Roche system used by Bayer.
11 So false negativity due to inhibition or extraction errors
12 cannot be brought forward because the internal controls and
13 the sensitivity prevents false negativity in the sense that
14 truly positive donors are missed.

15 DR. FANG: Like Andy said, the TMA also has
16 internal controls. TMA has been used for screening over
17 10 million donations. It is very rare cases that internal
18 control may have a very low signal or suspect of inhibition,
19 or whatever. But none of those samples can be repeated; in
20 other words, it is all due to technical errors rather than
21 due to inhibitions because when we retest the samples, the
22 internal control was fine.

23 Secondly, because of pool testing, we also suspect
24 that there may be some samples have an inhibitor and then,
25 when you do the pooling, the inhibitor--and therefore you

1 can't detect it. But when you do the single-unit testing,
2 you may have inhibition reaction and you missed it. So we
3 take some of the pool reactive when we can and find any
4 single samples in the pool that turn out to be reactive, we
5 suspect whether there is any inhibitor and we do some
6 testing.

7 Data, so far, is showing that there is no
8 inhibition whatsoever. Data will be presented at the AABB
9 this year.

10 DR. HELDEBRANT: In a similar system using PCR to
11 screen HCV, because of the European requirement to test
12 manufacturing pools for HCV, you have an independent
13 confirmation as to whether there are any false negatives
14 getting through the system. In our experience, we have had
15 absolutely no false negatives when we have been screening
16 for HCV with pools of 512 and then assaying the
17 manufacturing pools made from that screened material.

18 So, as of yet, we have yet to see false negative
19 get through the system.

20 DR. HOLLINGER: Thank you I would like to ask,
21 also, the FDA whether--you say that you want to detect HIV
22 at a level of 5,000 copies per ml. I wonder whether that
23 ought to be modified somewhat to say at a level comparable
24 to 5,000 copies per ml because I imagine that, somewhere
25 down the line, you are going to go to an international unit,

1 most likely, whether it is WHO standard 1, genotype 1--I
2 mean, I'm sorry; that is for HCV--or whether it is for HIV,
3 some other standard.

4 Is that correct? Am I assuming--and is that an
5 issue if we make that to say something at a level comparable
6 to 5,000 copies per ml?

7 DR. HEWLETT: Yes; I think that is a reasonable
8 modification. In fact, we are looking at moving towards the
9 international unit. The CBER release panel is being
10 calibrated against the international unit as we speak, so we
11 hope that when those numbers come in, we will actually be
12 able to adopt that in the future. But, at this time, we are
13 going to stick with copies.

14 But adding the word "comparable" I think is a good
15 suggestion.

16 DR. HOLLINGER: I think having a standard that all
17 the manufacturers have to shoot for gets away a little bit
18 about pooling and so on. One of the issues often is how low
19 a test is, how sensitive a test is, can you detect 5 at 95
20 percent, can you detect 15 or 20 and so on.

21 The real issue is if you are going to use
22 something like this, can you detect a particular standard or
23 proficiency panel that is set out in a way that will
24 reassure us that you are not going to miss any main samples.

25 DR. HEWLETT: I think we agree.

1 DR. HOLLINGER: Dr. Chamberland?

2 DR. CHAMBERLAND: I have a question for the FDA.

3 It is a bit of a side bar to the main question that we have
4 been asked to vote on. But if, in fact, industry elects--if
5 there are licensed NAT tests available and industry elects
6 to replace p24 with them, I was just wondering if there had
7 been at least any preliminary discussion about would it be
8 possible to entertain bringing back into the donor pool
9 donors who had tested--would appear to be biologically false
10 positives with the p24 antigen assay when you have a
11 situation now where donors would be screened, let's say,
12 with NAT.

13 I was curious if that was something that had come
14 up for any preliminary discussion.

15 DR. HOLLINGER: Comments from the FDA?

16 DR. HEWLETT: I think that is a good suggestion.
17 In fact, that is something we may want to look at as we go
18 further along. At this point, for example, you are talking
19 about reentry of false positives. That is obviously
20 something that can be evaluated in clinical trials and so on
21 in the future.

22 DR. SCHMIDT: Wouldn't the answer to that be there
23 aren't any? I mean, we are talking about a dozen people and
24 what would be the value of running a big protocol just for
25 them.

1 DR. CHAMBERLAND: What I was referring to were
2 individuals and maybe Sue Stramer could--I was speaking
3 about individuals who were deferred currently from donation
4 because they tested positive on a p24 antigen screen and
5 neutralization and other workups seem to indicate that they
6 were most likely false-positives. Sue, can you maybe give
7 us some ballpark of numbers that we are talking about?

8 DR. STRAMER: For the Red Cross, we have seen,
9 since March of 1996, 158 of those donors. The numbers are
10 not high but if ABC has a comparable 158--174. Okay; not
11 exactly, but the same. We already have a litany of test
12 results that show that these individuals are not infected
13 with HIV. But they are listed as permanently deferred in
14 our donor-deferral registry.

15 DR. HOLLINGER: Dr. Kleinman?

16 DR. KLEINMAN: I think Mary's concerns should be
17 broadened though because there is a whole group of donors
18 who are p24 repeat reactive and don't neutralize that then
19 fail the current reentry algorithm because they are still
20 p24 antigen repeat reactive. That is a half of the repeat-
21 reactive rate. What was the repeat reactive rate? About
22 0.027. So that is 0.013 percent of people, so about one in
23 10,000 donors are out because they can't requalify on
24 antigen test.

25 So that would potentially be a very much larger

1 yield for a reentry algorithm. So I would agree with your
2 suggestion and encourage FDA to think about, once licensed
3 occurs, different kinds of reentry algorithms for people who
4 have been disqualified because of p24 antigen.

5 DR. HOLLINGER: Dr. Nelson?

6 DR. NELSON: When p24 antigen was replaced by NAT
7 testing and then they came in again and were not tested by
8 p24, wouldn't they automatically reenter? They would meet
9 their criteria. They are on the permanent deferral. I see.
10 Okay.

11 DR. HOLLINGER: Dr. Simon?

12 DR. SIMON: There is one other comment I need to
13 make and it is not on point as to whether the committee
14 should or should not support the question per se, but just
15 to make people both from the agency and members of the
16 committee and the public aware that if a single fractionater
17 were to be approved and there were a plasma-donor collection
18 center that supplied more than one manufacturer, and one of
19 the manufacturers was approved and the others were not for
20 their NAT to replace p24 antigen, then that collector might
21 still be in a situation where they were doing p24 antigen in
22 all their donations.

23 So there is a logistical issue here. Obviously,
24 if all the manufacturers were approved, then that problem
25 would go away. But as it is on a one-by-one basis, we would

1 find at least a portion of the plasma industry that might
2 have to keep doing the test.

3 DR. HOLLINGER: Also, Toby, just one question, is
4 there a problem with eliminating the test in terms of Europe
5 or other places also?

6 DR. SIMON: That was brought up also. My
7 understanding is that Europe does not require it so one
8 would think it would go away. However, it is in some of the
9 filings that the companies have done for their product in
10 Europe, so those would have to be changed, the various
11 claims and filings and so forth.

12 So, unfortunately, while I support the question
13 and would like to see us take this step and don't want to
14 say anything discouraging, I just want people to know that
15 there will be, then, an evolutionary process afterwards to
16 actually implement it. It will be a little bit more
17 difficult to implement than it would seem.

18 Now, if there is a manufacturer who is totally
19 self-sustaining and gets all its donations from its own
20 centers, that manufacturer could probably go ahead.

21 DR. HOLLINGER: Thank you. Again, as I say, we
22 are talking about plasma here and, of course, plasma also
23 has removal and activations procedures as well as another
24 major safety. So I would like to make a motion, actually,
25 for myself to change just that 1a), which would say, "It is

1 demonstrated that a particular licensed NAT method can
2 detect HIV at a level comparable to 5,000 copies per ml or
3 less in a unit of plasma even if the donor sample is tested
4 as part of a pool."

5 Can I have a second to that? Mark?

6 DR. MITCHELL: Second.

7 DR. HOLLINGER: Any discussion? All those in
8 favor of that change, raise your hand.

9 [Show of hands.]

10 All opposed?

11 [No response.]

12 Let's, then, go ahead and vote with that change in
13 mind on 1a) and b) since they are interchangeable. Mark?

14 DR. MITCHELL: Before we do that, I have another
15 question. If we vote on this, does this mean that the--we
16 heard previously that there is an effort to improve the
17 specificity of the antigen testing, itself, and that it may
18 become similar to the NAT testing. Does voting on this mean
19 that they would have to switch completely to NAT testing, or
20 can they also have the p24 antigen testing if it is similar
21 in sensitivity?

22 DR. HOLLINGER: I would think they would have to
23 show comparable sensitivities. Dr. Epstein?

24 DR. EPSTEIN: I think the way we would look at it
25 is that they need to be doing a test of equal or greater

1 sensitivity to the current p24. So the question is if they
2 are approved for NAT, and that is determined to be a test of
3 equal or greater sensitivity than p24, then they can
4 discontinue p24. But we would not be saying you cannot do
5 p24.

6 So, if a future test comes about which is, for
7 argument's sake, equivalent to NAT or better than NAT, then
8 we would simply argue that that becomes an acceptable test.
9 What we are really trying to move toward here is a
10 sensitivity standard for the HIV screen, the direct viral
11 screen.

12 DR. HOLLINGER: So we will go ahead and vote on
13 1a) and b). Do I need to read it fully? I will read, then,
14 1a) and b). It says, "Do the committee members agree that
15 HIV-1 p24 antigen testing of source plasma may be
16 discontinued if, a), it is demonstrated that a particular
17 licensed NAT method can detect HIV at a level comparable to
18 5,000 copies per ml or less in a unit of plasma even if the
19 donor sample is tested as part of a pool and, b),
20 comparative studies of the NAT method versus HIV-1 p24 are
21 consistent with the hypothesis that the NAT method is of
22 equal or greater sensitivity?"

23 The parenthesis you want in there or not in there?
24 Parenthesis, "Including the ability to detect major
25 subtypes." End of parenthesis.

1 With those in there, all those in favor of, the
2 committee members agree, that it may be discontinued under
3 those circumstances, please raise your hand.

4 [Show of hands.]

5 All those opposed?

6 [No response.]

7 DR. HOLLINGER: You realize this is a momentous
8 occasion here. I think there should be music playing in the
9 background now or something for this.

10 The consumer representative? Kathy Knowles?

11 MS. KNOWLES: Yes; I vote in favor of it, too.

12 DR. HOLLINGER: And the industry representative?

13 DR. SIMON: Yes.

14 DR. SMALLWOOD: The results of voting are as
15 follows: there were unanimous "yes" votes of 13 votes.
16 There were no "no" votes, no abstentions, and both the
17 consumer and industry representatives agreed with the "yes"
18 votes.

19 DR. HOLLINGER: Thank you.

20 [Applause.]

21 DR. HOLLINGER: Actually, we are finished fifteen
22 minutes early. I don't know what to do. But we are going
23 to take a lunch break until 1:45. This afternoon is going
24 to be a lot of discussion here. I hope we finish somewhere
25 near midnight, but we will move forward. I think it will be

at

156

1 an interesting session.

2 So we are adjourned until 1:45.

3 [Whereupon, at 11:45 a.m., the proceedings were
4 recessed to be resumed at 1:45 p.m.]

A F T E R N O O N P R O C E E D I N G S

[1:45 p.m.]

DR. HOLLINGER: Thank you. I think we are going to convene. I talked to somebody just before the meeting and they said you should always try something different. If you keep doing the same thing over and over again, you keep getting the same results. And he told me this story. So I will tell you another story.

It was about two avid moose hunters. These moose hunters, every year, chartered a plane to take them up to the Canadian back woods to hunt moose. This was an exceptionally good year this year. They got their moose within a few days, so they radioed the pilot to come and get them.

Well, the pilot returned and looked down there and saw these huge moose that they had gotten and he said, "You know, I can't carry those in my plane. They weigh too much." And the guy said, "We have had such a wonderful hunt this year." He said, "We really want to take these moose back. And besides, the pilot we hired last year didn't complain about the mooses' weight."

So the guy, after arguing a little bit more, he finally boarded the moose on board his plane as well as himself and the plane sort of took off, and it just sort of hovered in the air a little bit, lost altitude and crashed

1 into the mountain.

2 The two hunters sort of got themselves out from
3 the wreckage and one of them looked at the other hunter and
4 said, "Where are we?" The other one said, "About a mile
5 farther than we got last year."

6 So maybe we can do the same thing here today, get
7 a mile farther than we got a couple of years ago. Andy
8 Dayton is going to give us an introduction and background on
9 deferral, as blood or plasma donors, of males who have had
10 sex with males.

11 **II. Deferral, as Blood or Plasma Donors, of Males**

12 **Who Have Had Sex with Males**

13 **Introduction and Background**

14 DR. DAYTON: That is a rather inauspicious
15 introduction, but I know it was not ill-intentioned.

16 We are going to reexamine the issue of deferral of
17 men who have sex with men from giving blood. I am going to
18 give a short introduction to remind the committee and the
19 audience where we have been on this issue over the last
20 several years. Then I am going to very briefly give the
21 outline of the theoretical structure of my talk.

22 Then Mike Busch is going to summarize a lot of
23 extremely interesting data in the field that is relevant.
24 Then I will come back and, using data which has been
25 provided to me by many tremendously cooperative people, both

1 inside the FDA and outside, and I will try to develop a
2 model that will give us some idea of the consequences of
3 projected changes in this policy.

4 I should also begin by thanking the audiovisual
5 staff. They couldn't solve the slide advance problem, so I
6 have a little slide projector thing. I push it and it makes
7 a noise and he advances the slide for me. I think this is
8 tremendous dedication and greatly appreciated.

9 [Slide.]

10 The HIV epidemic in the United States is generally
11 recognized to have started just after 1977 and, as a
12 consequence of that, currently, MSMs, or men who have sex
13 with men, are deferred for MSM behavior which has occurred
14 even one time since 1977.

15 In 1997, to this committee, the FDA presented an
16 analysis of the potential effects of relaxing the MSM
17 deferral policy to either one year or five years; in other
18 words, the one year meaning if you have had any MSM activity
19 within the last year or the five-year meaning if you have
20 had any within the last five years.

21 At that time, we were only able to take the
22 analysis so far. The summary of a very complicated analysis
23 was that blood-banking errors of various types were poorly
24 quantified at the time, but they were considered the most
25 significant risk to changing the policy. The conclusion we

1 were able to reach at that point was that we don't know, in
2 terms of exact numbers, what exact risk we are taking.

3 We get roughly 10^3 HIV-positive units into the
4 blood banks which are then interdicted by tests. We
5 calculated that, under some MSM relaxation standards, we
6 might double or triple that number of units which got into
7 the blood banks and which we hope to be interdicted by
8 tests.

9 And we didn't know the failure rate but we
10 estimated that we would be tripling whatever the risk was
11 that we were willing to take, given the knowledge at the
12 time.

13 [Slide.]

14 In November of 1998, we hosted a workshop on donor
15 suitability. It was a fantastic workshop and a lot of very
16 good data on incidence and prevalence was presented. In
17 December of 1998, we summarized that data for this committee
18 and I will rely heavily upon that data during my
19 presentation.

20 [Slide.]

21 Why are we reexamining this policy at this time?
22 Well, the widespread adoption for nucleic-acid testing for
23 HCV and particularly HIV provides, amongst other benefits,
24 redundancy. Now, I am not talking about the reduction of
25 the window period here. The NAT tests are run as entirely

1 separate tests from the ELISA tests, for instance, and the
2 idea is, let's say you have a pipetting error.

3 If you have two tests and you make a pipetting
4 error in one of them, it is unlikely you will make a
5 pipetting error in the second of them. So the two are
6 redundant. That gives you a tremendous protection against
7 errors of certain types.

8 The other reason we are reexamining this issue is
9 that we have not a complete but at least a better
10 understanding of blood-bank error rates, their types and
11 their frequencies, and I do hope that at least some of that
12 improved understanding was, at least in part, the result of
13 the highlighting of blood-bank error rates in the 1997 BPAC
14 presentation on MSMs.

15 [Slide.]

16 I am going to go through, briefly, the logic
17 behind my subsequent presentation which will come after Mike
18 Busch's talk. Diagrammatically, this is how bad things
19 happen. This is how errors are made. Infection gets into
20 potential donors and then, from potential donors, it gets
21 into the blood supply.

22 Now, our primary line of offense against bad
23 things getting into the blood supply are blood-screening
24 tests. However, there are ways that the system gets--or bad
25 things circumvent the tests and happen, or agents can

1 circumvent the tests and get through to the blood supply.

2 Examples include undetectable strains which can't
3 be picked up by the tests; blood-bank errors, which I have
4 already mentioned; primary test failure, which is more of a
5 theoretical problem than a practical problem because the
6 tests--it doesn't seem to be a problem. And, finally, there
7 are window-period donations in which no current tests are
8 able to pick up certain agents very early in the infectious
9 process.

10 So we build redundancy of another sort into the
11 system and we try to stop infections from getting into the
12 blood supply by the introduction of a questionnaire. That
13 is to reduce the number of potential donors that even have
14 their units taken, and those units are sitting around in the
15 blood supply waiting for test.

16 So it is very much like a forward chemical
17 reaction in the sense, and the math is--it really is just
18 arithmetic--is the same. Of course, questionnaires are not
19 perfect. They can be bypassed by several mechanisms
20 including ineffective risk identification which means we
21 have not really identified correctly the risks that should
22 be screened out. Test-seeking behavior; for instance,
23 somebody may know they are in a high-risk group but they may
24 seek to get a test anyway because they want to know what
25 their serostatus is.

1 Peer pressure; that is an obvious one. And
2 misunderstanding of questions, which is a major problem in
3 designing a questionnaire. Finally, we also have at this
4 position here self-deferral which is mediated by appropriate
5 education.

6 [Slide.]

7 Most of what I am going to focus on today is in
8 this second half of the equation and the consequences of
9 getting people to this step and what might happen. We can
10 break the relevant issues into prevalence issues and
11 incidence issues. I will talk about them somewhat
12 separately in my talk.

13 The prevalence issues involve errors allowing
14 undetectable strains or blood-bank errors or test-failure
15 issues, and I will go through this in more detail later on.
16 Incidence includes primarily the window-period issues.

17 [Slide.]

18 To summarize where I am going to go; if we
19 consider changes in the deferral policy which result in a
20 new group, a new population, a new set of donors appearing,
21 we want to know how many errors--in other words, in this
22 case, how many infected units could conceivably get through
23 the system with a new population of donors.

24 The way we are going to calculate that is the new
25 errors--now, this means the new units that get through that

1 we don't want getting through the system--in other words,
2 infected units that might sneak through all of our testing
3 and questionnaires. That is going to equal to the size of
4 the newly donating population--how many new donors do we
5 have coming in--times the overall error rate.

6 I will just briefly show what that equation looks
7 like. It is really quite trivial. The newly donating
8 population is merely the change in population. The new
9 errors I just simply wrote here as delta errors. And then
10 there is a term here, and I will go through that in more
11 detail in my later talk, which calculates the error rate.
12 All that is is the prevalence issues plus the incidence
13 issues.

14 [Slide.]

15 At the end of my second talk, and when the
16 committee is ready to approach questions, we are proposing
17 that this be the question the committee entertains. Of
18 course, it will probably be rewritten. But, as it stands
19 now, what we are going to be asking you is do the available
20 scientific data support the concept that men who have sex
21 with other men, MSMS, can be deferred from donating blood
22 for a period of five years following MSM activity rather
23 than being deferred for any MSM behavior since 1977.

24 That is the end of my introduction. Now we are
25 going to have a very interesting talk from Mike Busch.

1 Mike, I don't know if you have the neat slide connection
2 that I have arranged, but you are welcome to it.

3 **Epidemiology Presentation**

4 DR. BUSCH: Thanks, Andy. Those were great
5 slides, by the way. I would really like to get some of
6 those E-mailed.

7 [Slide.]

8 What I was asked to do sort of evolved over the
9 last couple of weeks but was to present kind of mostly the
10 impact of new testing to give you confidence that, with
11 these new tests, we really understand risk and the risk is
12 exceedingly low, which gives a certain level of comfort in
13 terms of relaxing the deferral criteria as discussed.

14 But I have also been asked to add to the talk
15 discussion of HHV-8 as kind of a prototype new and emerging
16 virus that is prevalent in the gay community. So I will
17 review some data that has been generated by several groups
18 looking at HHV-8 and the potential for its transmission by
19 blood transfusion and a preliminary study to document the
20 prevalence in the donor pool.

21 Finally, just a couple of days ago, I was asked to
22 address some new data, recent data, from San Francisco on
23 the increasing incidence of HIV in gay men. So I will
24 present a colleague's talk addressing that topic at the end.

25 [Slide.]

1 Basically, this is just the historical risk. The
2 committee--I apologize; I did E-mail the slide presentations
3 recently, but you received some handouts, some chapters and
4 stuff. There is a slide like this in there. Basically,
5 this is just to emphasize really the very dramatic reduction
6 in risk that was achieved from the mid-80's through the mid-
7 90's through the discovery of several of these viruses and
8 the introduction of progressively enhanced antibody tests.

9 [Slide.]

10 Basically, as Andy indicated, what we have tried
11 to do in the last five years or so was to understand risk in
12 the context of the element sources of risk. We have
13 dissected the risk into the four what we think are relevant
14 activities or issues. One is the window-phase donations.
15 The second is viral variance. These are divergent viral
16 strains that the test could miss and, as we talked about
17 earlier, it is critical that we understand the prevalence of
18 these variants and fix the test to detect them.

19 A fourth source is what have been termed atypical
20 or immunosilent infections where people do not form antibody
21 or the markers we are screening for even though they are
22 persistently infected. This is more of a red herring
23 because, although they do exist, they are extraordinarily
24 rare and a lot of the concern around immunosilent carriers
25 arose from early studies that really were reporting false-

1 positive PCR results. So these are extremely rare for all
2 of the major viruses.

3 Then, finally, testing error which is, as Andy
4 indicated, is an important contribution to the potential
5 impact of relaxing the deferral criteria because if test
6 error occurs on an increasing frequency of prevalent
7 infections, it can allow these prevalent infections to sneak
8 through.

9 So, with the REDS group, and particularly Steve
10 Kleinman and myself, what we have been trying to do is to
11 quantify each of these sources of risk. What this table
12 does is to actually put hard numbers on the risk per
13 10 million donations. So this is essentially the frequency
14 that infected units would potentially enter the blood supply
15 per year, because we screen about 12 million.

16 You can see, this is actually post-p24-antigen but
17 pre-NAT. We will talk about, in a minute, the impact of
18 NAT. So you can see that, for HIV and HTLV, the two
19 retroviruses, we are dealing with risks in the range of 1 in
20 750,000. For the two hepatitis viruses, pre-NAT, we were
21 dealing with risks in the range of 1 in 100,000.

22 You can also see that most of that risk, we
23 believe, is due to window-phase infections.

24 [Slide.]

25 I wanted to just spend a moment on the test-error

1 issue because that is, I think, going to be a major driver
2 in the decision here. There are two recent studies that
3 have estimated error rate in routine blood-bank screening.
4 One of them is a study that we did in the REDS group that
5 was published six months or so ago.

6 In this study, what we did was to track serial
7 donations from routinely screened blood donors. What we
8 looked for was donations that were initially scored as
9 confirmed positive where the donor gave another unit. That
10 may seem to be an odd thing. Why would a donor be allowed
11 to give again after they had given a prior confirmed
12 positive donation.

13 But that is allowed for autologous donors. So
14 people who are giving for themselves can give sequential
15 donations even though they were positive because the blood
16 is exclusively used for that person. So, through this
17 analysis, we were able to quantify the rate of error by
18 looking at the frequency of negative subsequent donations
19 where we had over 2,000 donations that had previously been
20 confirmed as seropositive.

21 On first cut, we had eleven donations that tested
22 non-reactive on the follow up. It turns out ten of those
23 were actually early test configurations like first-
24 generation HCV tests or HTLV-1 tests that were missing--they
25 were actually subtype problems where they were missing HTLV-

1 2 or relatively less common subtypes of HCV, where the
2 initial sample was borderline reactive and the follow-up
3 bleed that tested nonreactive was actually just below the
4 cutoff.

5 So these were not actually what we would construe
6 as test errors. These were actually problems with subtype
7 detection that have since been fixed. But we did detect one
8 frank test error which, when put over the denominator,
9 yielded an error rate of 5 per 10,000. We then applied, in
10 the paper, that error rate times prevalence to calculate, as
11 on the previous slide, the frequency of risk due to errors.

12 It turns out they are a very small contributor to
13 risk. But this is one of the estimates that we have on
14 error rate.

15 The second actually comes from the NAT screening.
16 This is data from Sue Stramer's NAT program where over the
17 first approximately six months of NAT screening, in the Red
18 Cross system, they detected three donations that were
19 antibody-negative for HCV but scored NAT-confirmed positive.

20 As they routinely do, when they reflex tested
21 these samples again to confirm the antibody-negative status,
22 in three cases, the antibody was actually positive. It was
23 a testing error where the initial screening serology was
24 incorrect. That yielded--over that period of time, they had
25 about 2,300 HCV seropositive donors. So that illustrates an

1 independent measure of error rate in routine serology of
2 about 0.1 percent, so, similar to this other estimate.

3 In fact, two points. The Red Cross has not seen
4 any more test errors in the subsequent almost year of
5 screening. So if we updated this, we would estimate that
6 the error rate is probably more comparable to this
7 0.05 percent because the denominator would go up about
8 three- or four-fold.

9 Another point is this is the redundancy that Andy
10 spoke to. This is NAT picking up a sample that was a test
11 error serologically. So this is really a good illustration
12 of the important advance that having these two highly
13 sensitive redundant technologies offers in terms of test
14 error.

15 [Slide.]

16 As we talk about the major risk, though, it is
17 window phase. An important distinction here; a lot of those
18 risk estimates that we report for window period assume that
19 individuals are infectious from the point of exposure and
20 infectious exposure to when the antibody becomes positive.
21 But what we have come to understand, through various
22 studies, is that there are actually two phases of the window
23 period, the pre-seroconversion window period.

24 There is what we call the eclipse phase, which is
25 the period after an infectious exposure but before one can

1 detect any evidence of the virus in the body by any nucleic-
2 acid tests or any methodology. Then there is the phase
3 where we can detect viremia and where clearly people are
4 infectious.

5 So our risk estimates, because we want to be
6 conservative, assume that this entire phase could be
7 infectious when we calculate out the pre-seroconversion
8 window period. But, as I will show you, actually we have
9 pretty good evidence that this early phase is probably not
10 infectious, so our risk estimates are, perhaps,
11 overestimates.

12 Then, obviously, with NAT, we are essentially
13 eliminating this pre-seroconversion viremic phase.

14 [Slide.]

15 Just a little bit of data on the overall window
16 period from exposure to seroconversion. That is derived
17 from modest studies typically of parenteral exposures where
18 you have an individual who has a discrete exposure who is
19 then sampled at some modest frequency until they
20 seroconvert.

21 For HIV, the best data comes from a CDC
22 compilation of HIV-infected healthcare workers who acquired
23 the infection from a needle-stick accident, so you have a
24 discrete date of exposure. Then these people were sampled
25 at irregular intervals and, through an analysis by Glen

1 Satten, he estimated that the time from exposure to
2 seroconversion with sort of early-generation assays was
3 about 46 days.

4 We know that contemporary, particularly blood-
5 screening assays, have closed this antibody window by about
6 two weeks so we typically talk about a 25-or-so-day period
7 from exposure to antibody seroconversion on the new third-
8 generation assays that we screen the blood supply with. And
9 you see the confidence bound.

10 For HCV, from post-transfusion hepatitis cases,
11 the data supports, from several groups, approximately a 70-
12 day incubation period from exposure to antibody. For HBV,
13 on very limited data, it is 59 days. For HTLV, again,
14 fairly limited data, about 50 days.

15 So this is where we get our number that we use for
16 the exposure to seroconversion window period.

17 [Slide.]

18 For each virus, I am going to just show you a
19 summary slide of what is an enormous amount of work to
20 characterize the durations of the viremic and other
21 characteristics of the window phase. We talked about HIV
22 earlier today. For HIV, as I indicated, it is about twenty-
23 five days before the high sensitivity antibody tests detect
24 infection.

25 Viremia is detected by high sensitivity NAT about

1 ten days prior to antibody with this doubling-time parameter
2 reflecting the rapid ramp-up of viremia. And then p24
3 antigen comes in about halfway through. From this data, we
4 can calculate the overall potentially infectious window
5 period as well as the portions of that window period that
6 can be closed through adding antigen or RNA testing, as
7 discussed earlier.

8 [Slide.]

9 With HCV, it is quite a different dynamics in that
10 the virus ramps up very rapidly--the next slide will show
11 it--but the very rapid doubling time of well less than a day
12 and then reaches a very high-titer plateau phase that is
13 readily detectable either with single-donation or minipool
14 nucleic-acid testing.

15 This lasts, as a plateau phase, for, on average,
16 almost two months, about sixty days. And then antibody
17 become detectable.

18 [Slide.]

19 Through analysis of large numbers of these panels,
20 we have derived similar summary curves that again show that
21 typically, within about ten days of exposure, you develop
22 rapid ramp-up viremia doubling time about 17 hours and then
23 there is a plateau phase that lasts about 57 days prior to
24 antibody seroconversion.

25 [Slide.]

1 HBV; I am not going to go into it. We have talked
2 about it at the last meeting. But the summary statistics
3 are here so the number of cases that have been studied,
4 plasma-donor panels for HCV, we have this unique phenomenon
5 of this high-titer plateau phase.

6 We have these different doubling times ranging
7 from 17 hours to 20 hours to about three days, so a slower
8 ramp-up for HBV. Another interesting observation recently
9 is prior to the what we call definitive ramp-up phase, in a
10 proportion of these plasma panels, we do detect very low-
11 level transient viremia a week or two prior to the ramp-up.

12 This is a subtle point when we talk about the
13 impact of single-donation NAT but studies are ongoing to
14 understand what this is and is this pre-ramp-up transient
15 low-level viremia infectious.

16 [Slide.]

17 So, as we talk about the decision on NAT, we can
18 use those numbers to estimate the window-period closure that
19 could be achieved by moving into minipool versus individual-
20 donation NAT. You can see that, for HCV, because it has
21 that rapid ramp-up and plateau phase, we are going to
22 dramatically--or we have dramatically--reduced the
23 potentially infectious window period by almost two months
24 through introduction of minipool NAT.

25 We would only add a modest, about three-day,

1 further window-period closure by moving to single-donation
2 NAT assuming that that intermittent low-level viremia is not
3 important.

4 For HIV, minipool NAT relative to antibody closes
5 about nine days. You would close another four days. For
6 HBV, if you have a NAT assay and minipool which has about
7 1,000-copy sensitivity, you would close by about six days.
8 If we theoretically moved to single-donation NAT with
9 50 copy sensitivity, we would close about 17 days.

10 [Slide.]

11 We have introduced HIV and HCV NAT, as you heard
12 from Sue Stramer. This is a first-year experience of whole-
13 blood screening, over 10 million donations screened for HCV
14 and almost 7.6 million screened for HIV. The yield was 42
15 HCV viremic seronegatives and 4 HIV. That yield observed
16 yields of 40 per 10 million and 5 per 10 million for the
17 minipool screening.

18 I would just juxtapose this, and this is a slide
19 from Steve Kleinman's analysis, with what we predicted the
20 yield would be based on the those model projections. You
21 can see that the predictions were that we would pick up
22 something in the range of 50 to 80 for HCV--we picked up 40-
23 -and something in the range of 7 to 8 for HIV--we picked up
24 5.

25 So the yields are a little bit lower but, really,

1 very consistent and, in fact, our projections were
2 conservative. We slightly overestimated the observed yield.
3 So I think this is very important to give us confidence that
4 the model bases of estimated risk are accurate, that we are
5 proving out essentially what we predicted based on these
6 models.

7 In addition, for HCV, these cases can be broken up
8 into whether they were window-period yield, test-error yield
9 or immunosilent infections. What you see down here is that
10 essentially, as predicted, 84 percent of these cases that
11 were evaluated turned out to be window period
12 seroconverters. 12 percent, I mentioned earlier, those
13 three cases that were test error, and one case proved out to
14 be a long-term nonseroconverter.

15 So, again, not only the overall yield but the
16 distribution of types of risk were quite consistent with the
17 model projections.

18 [Slide.]

19 In terms of the risk now, and these are Steve
20 Kleinman's slides, for HCV and HIV, we projected the impact
21 of NAT on reduction of risk. As I have summarized, we think
22 the current window estimate was about 70 days. We believe
23 that NAT has closed it something in the range of 42 to
24 58 days, probably more in the range of 58 days, and so a 60
25 to 80 percent reduction in the window period

1 When we run that through the incidence rates, the
2 new risk estimate would be in the range of 1 in 250,000 to 1
3 in 500,000 for HCV. That assumes that the entire pre-
4 minipool NAT-positive phase, the so-called eclipse phase, is
5 infectious. So this is almost certainly a worst-case
6 scenario that is an overestimate of risk.

7 [Slide.]

8 The same for HIV. We can look at the overall
9 window and we have closed it by adding minipool NAT. So, if
10 we calculate out the new risk for HIV based on a residual,
11 about a ten-day theoretical window phase which is mostly,
12 probably, noninfectious eclipse, we estimate the risk at
13 around 1 in 750,000.

14 [Slide.]

15 So we are really dealing with extremely low risks
16 now for HIV and HCV as a result of NAT screening. There is
17 a lot of discussion about, in the very near future, and some
18 of the plasma industry has already implemented HBV NAT,
19 again probably not indicated in the whole-blood sector as
20 discussed by your committee but, certainly, in the plasma
21 industry, again, there is a move to add B19 and hepatitis A.

22 There are studies looking at the potential role of
23 NAT screening for these other agents that we are currently
24 aware of and are concerned about.

25 [Slide.]

1 But, perhaps, more important is the availability
2 of a direct-virus screening platform like NAT as we uncover
3 new agents. This is important because most of the new
4 viruses that are being discovered are being discovered by
5 genome-based methods. I will talk about that in a moment.
6 So, therefore, it is often a year or two between the time
7 where we have nucleic-acid assays for these newly discovered
8 viruses before we have a serologic test that can detect
9 antibody or theoretically antigen.

10 So if we need to move quickly, the assay that
11 would be available will probably be a nucleic-acid-based
12 system well before there is a serologic test. More
13 importantly, the nucleic-acid testing strategy is much more
14 rational. It directly detects infectivity rather than a
15 serological response. For many of the new agents, like
16 hepatitis G and TTV, when people seroconvert, they have
17 usually cleared the viremia.

18 So, to detect the infected donor, a direct virus
19 NAT system is much more appropriate. So we can avoid the
20 problems with antibody testing by going straight to a
21 nucleic-acid test system.

22 [Slide.]

23 This slide is actually from Jean Pierre Allain
24 which was a nice sort of capsulization of how, over the last
25 several decades, the discovery of viruses has really tracked

1 the evolution of new technology. So HBV was discovered
2 through electron microscopy, HTLV through culture and early
3 PCR, HIV mostly through PCR, and then HCV through
4 immunoscreening strategies.

5 Then, over the last few years, new methods, like
6 representational-difference analysis, PCR screening
7 strategies, have led to the discovery, every year, of a new
8 putative blood-borne agent. So we have to anticipate that
9 this is going to continue.

10 We have to have rational strategies for detecting
11 and assessing the importance of these new agents.

12 [Slide.]

13 What I will be using in the moment is HHV-8 as an
14 example of how these studies need to address these key
15 issues. We need to determine the prevalence of the agent.
16 Since we are not screening, it is really the prevalence
17 which will tell us how many units are actually being
18 transfused from infected people.

19 If we start screening, and also just in general,
20 we also want to understand the incidence because that is the
21 critical factor that tells us the rate of new infections and
22 addresses the issue of window-period risk.

23 Optimally, we would like to understand these
24 parameters both today, but also in the donor pool and in
25 general historically because that gives us a sense of

1 whether this is a new and spreading infection which could
2 be, like HIV, creating a huge problem in the blood supply
3 and transmitting to recipients.

4 If it is a virus that has been prevalent forever
5 and we, obviously, don't have a lot of transfusion
6 recipients dying of unknown diseases, then it is probably
7 not as significant a factor. So both studying historical
8 repositories as well as current donors is a critical issue.

9 Obviously, the question of transmission is
10 critical both by looking at linked donor recipient samples,
11 high-risk patients like hemophiliacs who have been exposed,
12 and also animal-transmission studies. If transmission is
13 observed, then the question of disease is important and, as
14 we will see, a number of the recent "hepatitis agents" have
15 turned out to not be disease-causing at all. They are
16 transmitted by blood but they have proven to be not
17 associated with any disease. So, obviously, we don't need
18 to screen for a background nonpathogenic agent.

19 Finally, if we do want to screen, the approach to
20 screening needs to be understood.

21 [Slide.]

22 This is just a summary slide that looks at several
23 of these new recently addressed agents, hepatitis G, HHV-8,
24 TTV, SEN-V through in variant CJD in terms of the question
25 of whether these established persistent infections in

1 asymptomatic donors. The answer is yes.

2 There is data on the prevalence rates of these
3 agents in the donor pool. Some of them are really quite
4 prevalent and we will focus on HHV-8 in a moment. But some
5 of them are 10, 20 percent of our donors are actually PCR-
6 positive for several of these viruses.

7 Many of these are transmitted by transfusion, the
8 so-called hepatitis viruses, but, in contrast, as we will
9 talk about in a moment, HHV-8, the evidence at this point
10 does not support transmission by blood transfusion. There
11 is highly debated transmission of the CJD agent.

12 In terms of pathogenicity, again, several of these
13 hepatitis viruses turn out not to be pathogenic. Clearly,
14 HHV-8 does cause disease and then what screening strategy
15 would be appropriate, I think for all of these agents,
16 actually, a direct virus or pathogen assay makes a lot more
17 sense than some indirect marker of exposure.

18 [Slide.]

19 Moving directly to HHV-8, this is the virus that
20 causes Kaposi's sarcoma. That has unequivocally been
21 established. It also causes several other disease, lymphoma
22 and several other phenomenon. It is typically prevalent
23 either in gay men or in certain endemic populations around
24 the world such as SubSaharan Africa and in southern Italy.

25 This summarizes prevalence estimates for HHV-8

1 based on antibody assays, either immunofluorescence of
2 ELISA-type assays. You can see that, in patients with
3 Kaposi's sarcoma, 80 to 95 percent test positive for HHV-8
4 antibodies. In HIV-positive homosexual men who do not have
5 KS, it ranges from 30 to 65 percent depending on the
6 population in the assay; HIV-negative homosexual men, 15 to
7 30 percent.

8 In injection drug users, the rates are really much
9 lower, background rates. In general, there is not a lot of
10 evidence, and we will review some recent CDC data for
11 injection drug-use transmission of HHV-8.

12 In women and donor donors, the rates are quite
13 low, again, depending mostly on the assay. We will look at
14 some hard, new data on this issue. In southern Europe, the
15 rates are quite a bit higher, again, in, I think, Spain and
16 Italy. Quite a bit more of this virus is present in these
17 populations. Also, some studies from the Middle East and
18 from Africa that show very high rates.

19 [Slide.]

20 This show prevalence in gay men in San Francisco
21 by year. You can see here that, in gay men, the rates
22 increase over time from younger individuals fairly
23 dramatically reaching rates of 30 to 40 percent whereas in
24 other populations, there is no evidence of increased
25 prevalence over time in women or injection drug-using men.

1 [Slide.]

2 Also, we know that this virus has been around for
3 a long time in gay men. This is a study from Jeff Martin
4 collaborating with several of the cohort studies in San
5 Francisco that look back at samples collected from gay men
6 in the late '70's, in the mid-'80's and in the mid-'90's.
7 You can see that the prevalence rates of HHV-8 are
8 essentially identical over time, both in the HIV-positive
9 and HIV-negative.

10 So this is not a new emerging agent. This has
11 been around in the risk population at a fairly stable rate
12 over time.

13 [Slide.]

14 In terms of the virus in the body, this slide
15 summarizes data on the prevalence rate in different body
16 compartments. This is direct virus detection now with PCR-
17 type assays. What you can see is the virus is present
18 peripheral blood lymphocytes. It is a herpes virus so it is
19 predominantly a cell-associated virus in leukocyte
20 compartments.

21 In KS patients, at least half of KS patients have
22 circulating virus in their leukocytes. In non-KS patients
23 who are seropositive, in the range of 15 to 25 percent have
24 positive PCR on their mononuclear cells. That does not
25 necessarily mean that this is an infectious compartment of

1 the blood. It could be a noninfectious circulating viral
2 byproduct.

3 Also, this is a fresh PCR analysis which doesn't
4 necessarily reflect infectivity. We will talk about that.

5 Interestingly, several studies, including studies
6 from our lab and in collaboration with Jeff Martin in the
7 San Francisco gay cohorts, have documented very high rates
8 of HHV-8 virus in saliva, much higher than are present in
9 semen. So there is probably a significant mode of
10 transmission of this virus through salivary virus shedding.

11 [Slide.]

12 This is data comparing, again in our San Francisco
13 studies, the prevalence of HHV-8 in peripheral blood
14 leukocytes in different populations, in blood donors
15 including a small number of HHV-8 seroreactive donors. We
16 have never detected HHV-8 by RPCR studies in healthy blood
17 donors.

18 In HHV-8 seronegative gay men, again, no evidence
19 of peripheral-blood PCR positivity. In HHV-8 seropositive
20 gay men without KS, peripheral-blood lymphocytes are
21 positive about 24 percent of the time and, in KS patients,
22 in our studies, 43 percent. So there is evidence in
23 seropositive and, particularly, symptomatic patients of
24 virus in the blood, in the lymphocytes.

25 [Slide.]

1 But is it transmitted by blood transfusion? There
2 are several studies that address this. The problematic
3 study was published from Jay Levy's group in Lancet three
4 years ago. What happened here was they were actually
5 getting blood from our blood bank from healthy donors to use
6 as controls and as seeded cultures for trying to grow HHV-8.

7 So they had 72 donors who were being studied over
8 a period of several years just getting buffy coats. Low and
9 behold, what happened was they were stimulating these cells
10 and then adding cells from infected patients to try to
11 transmit the virus from HHV-8-infected patients but they
12 would also grow the normal donor cells in the absence of
13 seeding in infected patient samples as controls, as sort of
14 background lab controls.

15 Lo and behold, they detected one donor who, on
16 coculture, or on stimulation and then coculture with
17 additional normal cells, they indicated had evidence of
18 infectious HHV-8.

19 Now it turns out, and this was reported in Lancet,
20 it turns out we have brought this donor back on a series of
21 occasions and the donor was actually seronegative and HHV-8-
22 negative on follow up. So my personal bias is that this was
23 actually a contamination.

24 But the bottom line is this paper alluded to the
25 potential risk of transmitting HHV-8 from a healthy blood

1 donor because they could demonstrate in vitro transmission
2 from a donor through another donor's lymphocytes. So this
3 is the paper that has raised the greatest concern about
4 blood safety.

5 On the other side of the coin, if you look at the
6 epidemiologic data, there is no evidence for transfusion
7 transmission of HHV-8. KS is virtually unheard of in HIV-
8 positive transfusion recipients or hemophiliacs. The
9 seroprevalence of HHV-8 in a variety of studies is virtually
10 identical or essential background levels in hemophiliacs and
11 transfusion recipients versus blood donors from the same
12 regions tested using the same assays.

13 In studies from the TSS, none of 14 recipients who
14 got transfused with blood that was HIV- and HHV-8-positive,
15 none of those recipients developed HHV-8 infection even
16 though 13 of the 14 acquired HIV. There are several other
17 studies that have looked at transfusion recipients. There
18 is a total of about 30 transfusion recipients who have
19 gotten known HHV-8-positive blood who have not become
20 infected. So this data supports, albeit in small numbers,
21 lack of transmission.

22 [Slide.]

23 There is, however, evidence for transmission by
24 allograft transplant. So renal transplantation studies, a
25 paper in the New England Journal a few years ago, showed

1 that a series of transplant patients had significantly
2 higher HHV-8 seroreactivity following transfusion than
3 before transfusion, and the seroconverters predominantly
4 received blood from donors--organ donor; the kidney, itself,
5 was HHV-8 positive.

6 So it is, I think, clear that kidney transplants
7 do transmit this virus. Of course, kidneys have a lot more
8 than blood, and this virus is predominantly--may have a
9 significant compartment in vascular tissue as well as
10 lymphocytes.

11 So all of this has led to a study which Phil and I
12 are leading to look at the prevalence of this virus in
13 healthy blood donors, and then some other studies are
14 planned to look at the potential transmission question.

15 This study has just completed a first large phase
16 of testing which involved a panel of 1,040 specimens going
17 to six different laboratories with extensive published
18 expertise in HHV-8. The panel included 40 positive control
19 patient samples, known KS-positive patients, and all of the
20 labs picked all those up. Essentially, there was one QNS
21 sample that was missed. So all the labs demonstrated
22 excellent sensitivity.

23 But when we then looked at 1,000 normal donors,
24 what we saw was dramatically different rates on identical
25 samples ranging from labs that reported only a handful of

1 positive EIA-reactive results, 0.5 percent rates, to labs
2 reporting 7 percent of these healthy donors being
3 seroreactive.

4 It turns out only one of the samples was positive
5 in all of the laboratories. We asked, then, how many
6 samples were positive in two labs, three labs, four labs.
7 We sort of made a cut that if at least two labs called a
8 particular donor sample positive, we would at least consider
9 potentially positive and do PCR, et cetera.

10 So, overall, there were 3.6 percent of the donors
11 that were reactive in at least two labs. All of these
12 samples were PCR-negative. So, in truth, these are probably
13 false-positive serologies. There are studies underway to
14 further characterize that.

15 [Slide.]

16 The last data on HHV-8 is actually Phil Pellett's
17 data, who is here, in a cohort. This is important because
18 it is sort of the newest data that raises another small
19 concern regarding potential transfusion or parenteral
20 transmission. This is a cohort called the HERS cohort which
21 is a cohort of women, 871 HIV-positive and 439 HIV-negative
22 women.

23 They have been followed for over six years or up
24 to six years with six-month sampling. They have extensive
25 sexual behavior and drug-use history. They have also had a

1 variety of laboratory studies conducted.

2 [Slide.]

3 The important new data with respect to parenteral
4 transmission is in this population of women who are
5 obviously not at risk for male-male sex transmission where
6 there is a major driver for transmission of HHV-8. In these
7 women, there is a highly significant correlation between
8 drug use, parenteral exposure, and HHV-8 seropositivity,
9 with 35 percent of women who share drugs regularly being
10 HHV-8 seropositive as opposed to 12 percent of those who
11 deny drug use so about a four-fold increased relative risk
12 associated with high-level drug use, no significant
13 association with a variety of other parameters such as crack
14 cocaine use and other characteristics.

15 [Slide.]

16 In addition, when they looked at these samples
17 from these women and correlated the HHV-8 status with other
18 markers of either blood-borne or sexually transmitted
19 infections, it turns out that there were significantly
20 higher rates of HHV-8 reactivity in persons who were
21 antihcore-positive, HCV-positive, in particular.

22 And these are blood-borne viruses. So this
23 laboratory association supports that there may be, in this
24 population at low risk for male-male sex transmission, there
25 seems to be a hint of a parenteral association, that you can

1 potentially transmit this virus through use of drugs and it
2 is correlating predominantly with blood-borne viral
3 infections, not with HSV-2, which is an STD, so suggesting
4 that, in this population, a blood-borne transmission may be
5 important.

6 Most important, if the analysis was restricted to
7 women who denied any commercial sex, any prostitution, and
8 who were negative for these other STD markers and said they
9 had very few lifetime sex partners, so sort of the lowest-
10 risk group with respect to any sexual transmission, the
11 association with drug use held up. So they continued to see
12 a significant increasing prevalence of HHV-8 with increased
13 use of drugs.

14 So this is a disturbing finding supporting an
15 association of HHV-8 with parenteral risk exposures.

16 [Slide.]

17 So the summary of Phil's work is that both
18 laboratory and self-reported risk data support an
19 association of HHV-8 with I.V. drug use in populations that
20 have low risk of sexual transmission. This does not appear
21 to be an artifact of confounding and is also observed in
22 this lowest sexual risk population.

23 So this is somewhat disturbing and has led to
24 additional interest in expanding the studies about HHV-8
25 association with blood transfusion. Perhaps, that will be

1 discussed later.

2 [Slide.]

3 So we remain concerned, albeit that the data does
4 not, at this point, demonstrate there has never been a
5 documented case of HHV-8 transmission by blood transfusion.
6 So that is the story with respect to risk in terms of the
7 blood supply and HHV-8. Again, I was asked a few days ago
8 to add one last bit of data so I have just a handful of
9 slides from my colleagues, Sandy Schwartz and Willie
10 McFarland in San Francisco. They are at the Public Health
11 Department.

12 Over the last six months or so, there has been
13 increasing concern about what might be called relapse in
14 high-risk behavior in the gay community. This has led to
15 some very public meetings and press interest in the
16 potential relapse of infection.

17 [Slide.]

18 I just wanted to share that this is--this is
19 actually a curve that should peak up here and come down
20 here. This is the estimated number of new HIV infections
21 per year in San Francisco. It shows that in the late '70's,
22 early '80's, the percent of at-risk people infected per year
23 went up dramatically. Over 8,000 people per year were
24 becoming infected in the early '80's.

25 This dropped dramatically. This curve shows that,

1 in the mid-'90's, the frequency of new transmissions further
2 declined from 1,000 to 500. But the figure actually sort of
3 dovetails out at the end here because we are not sure, and
4 there is some suggestive evidence, that there is an
5 increasing transmission going on.

6 I will summarize data from AIDS surveillance
7 cases, risk studies and, most important, incidence data that
8 supports a concern that there has been some increasing--so
9 you can see that you have got these potential modeled
10 estimates of incidence.

11 [Slide.]

12 So this is their surveillance data. Overall, AIDS
13 cases have dropped in the last few years as a reflection of
14 HAART and the rate of death due to AIDS has also dropped.
15 This is attributable in great part to the introduction of
16 highly active antiretroviral therapies.

17 But one of the down sides of highly active
18 retroviral therapies, if you will, is it has resulted in a
19 plateau, an increase in a plateau of infected people in the
20 population. There is a concern that some of these people,
21 because they are on HAART and healthy and often virus-
22 negative by viral-load test, that they may be engaging in
23 increased high-risk behavior and there may be increased
24 transmission of the virus.

25 [Slide.]

1 This has been documented in a series of studies
2 looking at STD frequency in various clinics. You can see
3 that, in the last few years, there has been a significant
4 increase in the rate of STD diagnoses in clinic settings.

5 [Slide.]

6 Rectal gonorrhea rates have gone up again in the
7 last three or four years fairly consistently, so we are
8 seeing increasing rates of both general STD and gonorrhea,
9 particularly.

10 In some street-outreach analyses, again, of gay
11 men, the rate of condom use has begun to drop and the rate
12 of frequent multiple anal-risk exposures has begun to creep
13 up through survey studies.

14 [Slide.]

15 Then data coming from several populations; the
16 Young Men's Study has formally documented risk behavior and,
17 again, rates of increased high-risk behavior have gone up in
18 these studies, both unprotected anal sex and multiple
19 partner unprotected anal sex, a small trend upwards.

20 [Slide.]

21 And then, from several studies, I will show
22 incidence rates that, again, have documented dramatic
23 declines in incidence, either measured formally through
24 prospective studies or using what is called the detuned
25 assay, or the less sensitive HIV incidence projection model.

1 [Slide.]

2 A series of studies from San Francisco have shown
3 this same phenomenon--I will just bounce through them--that,
4 over the last three or four years, the curve has begun to
5 come up. So there is evidence that there is increased
6 incidence occurring in these cohorts.

7 [Slide.]

8 This is overkill. Why don't we stop here. This
9 is just a summary. Basically, there are a variety of
10 sources of data that are of concern but I think, as Andy
11 will play out, these are very, very sort of small, barely
12 statistically detectable trends toward increased incidence
13 and that, in the blood supply, there is such a small
14 fraction.

15 Of course, we are talking incidence. So we are
16 talking about people coming in, potentially, during the
17 window period which we have got all these tests now and we
18 are not talking about eliminating deferral for recent
19 behavior. The consideration is a much more lengthy, five-
20 year or one-year deferral period.

21 Thank you.

22 DR. HOLLINGER: Thank you, Mike. Any questions of
23 Mike before Andy continues?

24 DR. CHAMBERLAND: Mike, I may have missed this
25 because it went by pretty fast, but when you were talking

1 about your findings of these pre-ramp-up viremic blips, were
2 those consistently detected by minipool or single-donation
3 NAT testing methodologies?

4 DR. BUSCH: Yes; again, these are fairly recently
5 uncovered as we have tested back on these very early bleeds
6 that are now being identified. As a result of NAT
7 screening, we have these early bleeds available. We have
8 done a series of studies where, after initially seeing these
9 samples, we recoded samples and sent them to two or three--
10 or processed them through two or three different NAT systems
11 including the TMA assay, PCR assays, Andy Conrad's high-
12 input.

13 They have been confirmed, so they are observed
14 consistency and they are very low titer. So, although we
15 have not done a lot of formal pooled study on them, they
16 tend to have copy numbers in the less-than-100 to 200 genome
17 equivalents per ml.

18 They were initially missed, actually, by the
19 routine pool screening as done in the plasma industry. So
20 our expectation is that these low-level viremic-phase
21 observations are only detectable by single-donation nucleic-
22 acid testing.

23 However, even if you factor them in and assume
24 that they are infectious, they are really a very, very small
25 source of risk because they are very transient and they only

1 are observed over the several weeks prior to ramp-up
2 viremia.

3 DR. PELLETT: Phil Pellett, Chief of the Herpes
4 Virus Section at Centers for Disease Control. First, I want
5 to thank Mike for a nice summary of the data. It was just a
6 very nice overview. I think if I ever get invited to give a
7 seminar on the study that he described, I can just defer it
8 to Mike because he actually covered our data quite nicely.

9 I need to credit someone named Michael Cannon,
10 part of our group, who actually did the analyses on the HERS
11 study. I have a couple of minor points and then I have a
12 couple of points that I would like to raise relating to the
13 deferral issue.

14 One minor point is the name of the virus. In some
15 rooms I am in, the language is human herpes virus 8. In
16 other rooms I am in, the language is predominantly Kaposi's-
17 sarcoma-associated-herpes virus, or KSHV. I have become
18 bilingual on it, but my native tongue is HHV-8.

19 Mike talked about the presence of the virus in
20 bodily fluids. I think it is important to make clear that
21 the bodily fluids that the virus has been found in have come
22 predominantly from individuals who are HIV-positive, not
23 just people who have healthy immune systems and are
24 seropositive. You very rarely find the virus.

25 So I had four questions I wanted to raise relating

1 to the MSM deferral that I think maybe relate to some of
2 this. One question is, is the virus in the MSM population.
3 Mike showed clearly yes, 15 to 30 percent of the population
4 does have the virus.

5 Then, importantly, is the virus concentrated in
6 this population. The answer there is clearly yes, relative
7 to the U.S. blood donors, 2 to 4 percent positive. The 15
8 to 30 percent really is a different number.

9 Other than MSM in the United States, the
10 injection-drug users who are high-frequency intravenous drug
11 users are the other easily identified group. I think it
12 might be a smaller group. There are other places around the
13 world that have relatively elevated levels of the virus;
14 Mediterranean Europe, some portions, as well as some parts
15 in Africa. So there is a very significant concentration of
16 the virus in MSM in the United States.

17 I think a big question is is the epidemiology of
18 virus distinct from that of HIV; that is, would HIV
19 screening eliminate the virus from the pool. The reality
20 is, yes. As Mike showed, the prevalence of the virus was
21 high before the HIV epidemic and in a substantial proportion
22 of men who have sex with men, the virus is actually present.

23 So elimination of people based on HIV status would
24 not actually eliminate the virus. Then we get to the
25 important question of is there a risk for transfusion

1 transmission. I think, obviously, the answer is we are
2 uncertain on that right now. The CD19-positive cell
3 presence, the study of Blackbourne who actually, and I think
4 I will disagree with Mike a little here--I think in 7 out of
5 11 occurrences over a year and a half period, that person
6 was culture-positive for the virus, which it makes it hard
7 to ascribe to contamination.

8 There is our injection drug-use data. Mike talked
9 about zero for 30 of individuals who have gotten blood from
10 HHV-8-positive donors have become positive, so it is clearly
11 a relatively low risk for transmission, and the fact that in
12 the U.S. blood donors, of those who were seropositive, none
13 of them were PCR-positive argues, again, on the side of low
14 probability of transmission. But, at this point, overall,
15 it remains uncertain.

16 So thank you.

17 DR. HOLLINGER: Thank you.

18 Questions of Mike for right now? Dr. Simon?

19 DR. SIMON: What about the associated issue if you
20 do transfuse it, does that mean you transmit disease. If
21 you transmit the virus, does it also mean that the virus
22 will cause disease in the recipient?

23 DR. BUSCH: One issue is, in terms of transfusion,
24 even if you were PCR-positive, what we know is, as with CMV
25 and HTLV, these cell-associated viruses, the cells probably

1 have to be viable to actually proliferate and transmit. So,
2 with blood that is stored, the transmission rates for CMV
3 and HTLV plummet over the first week of storage.

4 So, even though the virus is in the blood, it may
5 not transmit by a stored blood component. But, if it does,
6 the issue is really you need to have HHV-8 probably plus
7 some immunosuppression to manifest disease.

8 So that is why KS and these lymphomas are
9 virtually seen, at least in the United States, in HIV-
10 infected, highly immunosuppressed or moderately
11 immunosuppressed patients. So the disease, it seems to me,
12 in addition to the virus, needs to be associated with some
13 type of immunosuppression.

14 DR. SIMON: So it is a story much like the CMV
15 story.

16 DR. BUSCH: Right.

17 DR. NELSON: Lisa Jacobson, from the MAC study,
18 has done some very interesting studies looking at the timing
19 of the infection with KS related to the immunosuppression.
20 The data that she has suggests that if the HHV-8 preceded
21 immunosuppression, there is often no clinical--the error
22 rate of clinical, but of patients who are severely
23 immunosuppressed and then receive HHV-8 and become infected,
24 the likelihood of progression to KS or some clinical
25 manifestation is much greater.