

1 strain, it can get through. For the most part, we are not
2 taking this into consideration for lack of data that these
3 are a predictable problem at the moment for HIV.

4 Blood banks errors is where most of the discussion
5 is going to be today. Primary-test failure; this is
6 essentially zero. Then the incidence issues, the window
7 period. When we calculate these, we think in terms of
8 frequency of these, so there will be an error frequency,
9 times the prevalence--when we are calculating this out, we
10 think in terms of an error frequency times a prevalence
11 frequency times the change in the population.

12 This brings us back to this equation.

13 [Slide.]

14 Again, the change in errors is going to be equal
15 to the change in population so that the new infectious units
16 that could slip through the system appearing because of a
17 new donor population are merely the summation of what I
18 showed you on the last slide, the prevalence issues plus the
19 incidence issues. This is just a correction factor for the
20 length of the window period that we use.

21 [Slide.]

22 FDA is considering changing donor-suitability
23 criteria to defer for MSM behavior within the last five
24 years prior to donation, so we would allow people to donate
25 if they hadn't had any MSM activity in the last five years

1 prior to donation.

2 We are going to calculate how many new individuals
3 will join the set of donors who are not deferred by the
4 questionnaire and, thus, have their units enter into the
5 blood banks for testing. So we will start out by, we need
6 to know the size of the MSM population that has abstained
7 from MSM behavior for five years or more, and then we will
8 multiply that by the frequency at which they can be expected
9 to donate. That should give us the number of new MSM
10 donors.

11 [Slide.]

12 What is the size of the MSM population that has
13 abstained from MSM behavior for five years or more? Lynda
14 Doll, in 1997, went to a great deal of effort to give us
15 some very useful numbers. She came up with a population
16 size of approximately 1.4 million MSM in USA at five years
17 of abstention.

18 At what frequency can they be expected to donate?

19 [Slide.]

20 We expect them, for lack of any better evidence,
21 to donate at a rate equivalent to the general population,
22 namely about 5 percent. So, given an MSM population, so I
23 thought with five-year abstention, a population size of
24 1.4 million, and assuming a donation rate of 5 percent, we
25 would get a total of 70,000 new MSMs who had come to the

1 blood centers and have their blood drawn and have that blood
2 sitting in the center awaiting testing.

3 However, some of these will already have been
4 donating. I have a calculation here--again, we have
5 presented this to the committee in the past--of how we
6 calculated how many are donating.

7 [Slide.]

8 I am going to tell you a answer before I tell you
9 how I got it so that you will know where we are going. We
10 calculate that 0.55 percent of the newly eligible MSMs have
11 already been donating which comes out to 0.55 percent of
12 70,000 which is 7,700.

13 What that means is 7,700 of this 70,000 that are
14 newly eligible have already been donating so that changing
15 the deferral to a five-year deferral for MSM behavior would
16 result in approximately 62,300 new MSM presenting to donate
17 blood. So that is the delta population that I have been
18 talking about. That is the new MSMs who haven't been
19 appearing before.

20 How did we get this number of 0.55 percent?

21 [Slide.]

22 What that number is is the frequency at which MSM
23 with deferrable risk will donate. We assume that that is
24 equal to the current frequency at which deferrable MSM
25 donate. Of course, you could simply go out and ask 100,000

1 MSMs whether or not they donate, and you could generate that
2 number from that kind of survey.

3 We don't have that, but we do have another way of
4 calculating it. I believe Alan Williams was the first one
5 who actually suggested this. We can simply take the number
6 of MSM currently showing up to donate, which we can
7 calculate from REDS by a frequency number from REDS and
8 divide it by the size of MSM population.

9 We know from the REDS study that 0.57 percent of
10 men who present to donate have deferrable MSM history. And
11 we know that 4.5 million donors are male. So the number of
12 MSM who currently donate will be 0.57 percent times that
13 4.5 million number.

14 The MSM population with deferrable risk is
15 4.7 million. It is only an accident that these two numbers
16 are so close. So the current donation frequency of MSM
17 having deferrable risk equals the number showing up to
18 donate divided by the number of MSM in the relevant
19 population which is this number divided by this number.
20 That is how we get the 0.55 percent number.

21 [Slide.]

22 So, to reiterate, the critical take-home here is
23 that 62,300 new MSM would present to donate blood that have
24 not been presenting before with a five-year deferral.

25 [Slide.]

1 Let's look at the prevalence and incidence issues
2 separately to see how they contribute to the possible errors
3 that might occur in transmitting infected units into the
4 blood supply. I just calculated the change in population
5 number. Now, I am going to go through the prevalence
6 issues.

7 First, let's focus on what we calculate prevalence
8 to be. HIV prevalence in MSM varies widely according to the
9 geography of the United States. It varies from 6 percent to
10 36 percent. The average U.S. prevalence is approximately
11 8 percent. However, about three-quarters of these already
12 know their seropositivity status and are expected to self-
13 defer.

14 So the effective average prevalence rate
15 nationally would be about 2 percent. As I mentioned
16 earlier, undetectable strains, which is this term here--we
17 just discussed the prevalence term; now we are going to
18 discuss these three terms--undetectable strains are ignored
19 for lack of evidence. They represent predictable threat for
20 HIV, again, in a population size of 62,000 or so, you are
21 safer making that assumption.

22 Blood-bank errors will be a major focus today.
23 Blood-bank error rates involving release of HIV-positive
24 units have been poorly reported but I am going to go through
25 some data in which we can estimate what they are like. I

1 will get into that in a minute.

2 Pipetting errors or technician errors occur at the
3 rate of 0.5 to 1.3 times 10^{-3} . This was the data that Mike
4 Busch summarized in his task and it comes from a paper that
5 he has published and also from data that Sue Stramer has
6 very generously provided from the American Red Cross.

7 Finally, primary-test failure. Essentially, that
8 is equal to zero.

9 [Slide.]

10 Let's go on to the test-error issues here. If we
11 calculate out this term here, the prevalence basically times
12 the error, since this and this are equal to zero, it is
13 2 percent from the prevalence times 1.3 times 10^{-3} which is
14 the error rate and times the change in population, the new
15 population which is this.

16 With just a single test, we could predict that--
17 and, again, these numbers are pretty iffy. I am sure you
18 are all aware of that. Blaine contacted me and, very
19 correctly, asked for confidence intervals and I contacted
20 him and said I don't have any. The problem is that a lot of
21 these numbers are 1 for 3 incidence that you are picking up.
22 So the confidence intervals are going to be very large in
23 some of these. But it is the best we have. It is all we
24 have to go with.

25 Anyway, using this approach, it is possible that

1 as many as 1.6 units, HIV-infected units, could slip through
2 the system. However, the NAT test provides a minimum of
3 twenty-fold of redundancy. What I mean by that is anything
4 that is EIA-positive is also going to be positive on NAT.
5 When I say twenty-fold, I am just using a loose number
6 saying that if something is EIA-positive, at least
7 95 percent of those and probably a good deal more are going
8 to be NAT-positive.

9 Since those are separate tests, they provide
10 redundancy for one another. When you take that into
11 account, the NAT reduces this introduced prevalence rate at
12 least by twenty-fold, so we could use the number of
13 0.081 units, infected units, getting into the blood supply
14 for a year. That is a worst-case scenario.

15 That is not a bad number.

16 [Slide.]

17 Furthermore, this number would decrease as HIV
18 tests eliminate the effective prevalence among repeat donors
19 from this category. All that means is, as this group newly
20 donates, the positives will be picked up, the effective
21 prevalence rate will, essentially, go down in that group
22 amongst repeat donors.

23 The big caveat here is that this estimate was made
24 assuming errors only came from performing tests or
25 technician error or pipetting errors is an easy one to

1 visualize. Unfortunately, double-testing, for instance the
2 ELISA and NAT, confers no protection against release errors.

3 Release errors have been difficult to quantify but
4 I was very fortunate in contacting Jeanne Linden who
5 provided me with some data from New York State. It turns
6 out to be very interesting data and suggests that the
7 erroneous release rate may be a bigger problem for us.

8 [Slide.]

9 This is a selection of some of the data that Dr.
10 Linden sent to me. Over the recent time period from 1999
11 and 2000, out of about 700,000 donations in New York State,
12 there were four inappropriate release of anti-HBC-core-
13 positives from hospitals. There was one inappropriate
14 anticore-positive release from blood centers and there was
15 one inappropriate HCV-positive release from hospitals.

16 I have broken this out into hospitals and blood
17 centers, again at Jeanne's suggestion. It is very
18 interesting that hospitals which, in New York State, acquire
19 about 10 percent of the blood have over 80 percent of the
20 overall errors. They are producing errors which are
21 disproportionate to their number.

22 The reason is that hospitals, being generally
23 small, don't have automated blood-handling systems whereas
24 blood centers do and that is a major factor in reducing
25 inappropriate release.

1 Dr. Linden did not have one of the key numbers we
2 needed from the New York data. What we want to do is we
3 want to take these numbers and say, "Well, we got four
4 inappropriate releases of anticore, but how many anticore-
5 positives were there?" So we had to estimate that for the
6 New York data.

7 So I used data that Alan Williams supplied from
8 the Red Cross and was collected by ARCNET. From a period of
9 1-98 through 6-30-99, which is reasonably close to the New
10 York time period, nationally, out of about 5.9 million
11 donations, there were collected about 5,000 anti-HCV
12 positives and about 26,000 or 27,000 anticore positives.

13 So, by using the ratios or the percent of units,
14 or the percent of this 5.9 million which were either core-
15 positive or HCV-positive, and assuming that the prevalence
16 in New York was the same as the percent prevalence
17 nationally, I was able to calculate how many HCV positives
18 were in this 70,000 donations from hospitals, how many
19 anticore positives were present in this.

20 Also, I could do the same thing for the numbers in
21 the blood centers. Then I just used those as denominators
22 and these as numerators to calculate the release-error rate.
23 Those numbers are summarized at the end of this table.

24 I want to focus on the anticore data. The reason
25 I want to focus first on the anticore data is because there

1 were four events measured there. When you do this
2 calculation for these four events in hospitals, you end up
3 with a very surprisingly high release rate of about
4 1.3 percent. I think we were all very surprised that it was
5 that high.

6 Granted, it is a small sample and there is going
7 to be a large confidence interval around it, but, in spite
8 of all, even for the HCV data which had only one event, the
9 HCV data calculates to about 1.7 percent. So it is a number
10 consistent with the anticore data.

11 For the blood centers, there was only one event
12 and that calculates out to about 3.5×10^{-4} as the
13 release error rate.

14 [Slide.]

15 So, if you apply that to what we would expect for
16 MSMs donating nationally, nationally, about 8 percent of the
17 donations are in hospitals and about 92 percent are in blood
18 centers, so it is not that different from New York State.

19 If you had the 63,300 appearing, how many units
20 would get through? If you just use the simple incidence
21 times the proportion of the population which would be in
22 hospitals and the simple incidence times the proportion of
23 the population which would be in blood centers, these are
24 the numbers of units of HIV-positive material that would be
25 in the blood bank.

1 If you multiply that times the error rate, you
2 come up with small, non-automated blood collection systems
3 would contribute possibly as many as 1.3 HIV-infected units
4 into the blood supply per year if this error rate is
5 correct.

6 The highly automated blood centers, on the other
7 hand, would be calculated to contribute about 0.4 units per
8 year, making these assumptions, for a total of about 1.7.
9 So, to the extent that we believe the numbers I have
10 calculated for release error rates--and, of course, there is
11 a certain "if" associated with that, and you have seen the
12 actual numbers. Changing the policy to a five-year deferral
13 for MSMs could conceivably result in a total of 1.7
14 infectious units getting through that would not otherwise
15 get through.

16 [Slide.]

17 What I have discussed previously are prevalence
18 issues. If you remember, then, the other category of issues
19 is incidence issues. That is what I am going to discuss
20 now. Essentially, incidence issues will turn out to be a
21 minor problem with the five-year deferral. I can tell you
22 that is the answer.

23 So, using an average incidence in the MSM in the
24 U.S. is an incidence about 3 times 10^{-2} per person years.
25 Although the typical window period is 11 to 16 days,

1 roughly, depending upon the technology, following about
2 51 needle-stick accidents, it was discovered that about
3 5 percent--maybe not quite that--seroconvert after the first
4 six months.

5 So, almost all of the window periods seem to be 11
6 to 16 days, but there is a reasonable number that are very,
7 very long. We don't really know anything about those window
8 periods. Presumably, these window periods were at least six
9 months long.

10 We assume that they were infectious for the entire
11 six months but we don't know that. There is also no reason
12 to assume that all of them seroconvert in the second six
13 months. So I have made some assumptions here and given you
14 some ideas of what the answers would look like.

15 What I have done here is I have essentially
16 assumed a 95 percent decay rate every six months. What does
17 that mean? That means that 95 percent of all the people who
18 have been infected, 95 percent will seroconvert every six
19 months or another way of looking at that would be 95 percent
20 of what is left seroconvert.

21 Or another way of looking at it would be, of all
22 of the ones that have not seroconverted, 5 percent will fail
23 to seroconvert, will still fail to seroconvert, in the next
24 six months. This is a very loose number, but I have said,
25 "Well, what if we are wrong? What if it were 75 percent?"

1 What if 75 percent converted every six months," which would
2 mean that 25 percent had not converted every six months?

3 That is a worst-case scenario and I really don't
4 think the data could ever be construed as permitting that.
5 But if you take these possible scenarios and assume a decay,
6 with a five-year deferral rate, you would end up with less
7 than 3 times 10^{-5} units if it were the 5 percent number.

8 If even if were the obviously not correct 25
9 percent number, you would still only be introducing 0.42
10 units per year. So we think that the incident issues give
11 you a tremendous margin of safety with a five-year deferral
12 for the obvious reason that there are almost no window-
13 period conversions going out into five years.

14 [Slide.]

15 I should say that I have given myself a little bit
16 of leeway in these calculations in that I took into account
17 the possibility of donor confusion or poor memory by
18 assuming that a five-year query was entirely ineffective
19 except for the behavior within the last three years, which
20 is a pretty conservative assumption. We know, certainly,
21 that people are going to forget; "Did I do that three years
22 ago? Was it five years ago?" And you are in the middle of
23 an 85-question questionnaire.

24 But what I actually assumed was that essentially
25 people would always remember behavior within the last three

1 years and defer themselves on the basis of that question.

2 So I have been somewhat conservative in that respect.

3 [Slide.]

4 What about a one-year deferral? A one-year
5 deferral would result in approximately 112,000 new MSM
6 donors rather than the 62,300, or about 1.8 times as many as
7 a five-year deferral policy. This would result in about
8 0.15 new seropositive units escaping interdiction per year
9 from errors in performing tests and possibly as many as
10 three units from errors in unit release.

11 I will give you a table shortly to summarize all
12 of these numbers. Also, a one-year deferral policy poses
13 window-period problems. Again, if there is only 95 percent
14 seroconversion every six months post-HIV exposure, then
15 0.25 percent would seroconvert after the first year.

16 Using this formula to calculate how many infected
17 units you would get appearing into the blood supply, you
18 could get 3.1 from this mechanism.

19 [Slide.]

20 So, let me summarize how the story looks for HIV.
21 Again, with tremendous caveats because you understand that a
22 lot of this data is based on one to four incidents of
23 something being measured. So it is hard to come up with
24 good statistics on them. But with a five-year deferral, we
25 would expect zero window-period errors to be introduced into

1 the population by adding 62,000 or so donors.

2 Release errors could conceivably contribute
3 1.7 infectious units per year from that size population and
4 technical errors, such as pipetting errors, would introduce
5 far less than 1.0--maybe 0.08 or less. With a one-year
6 deferral, it is conceivable we could have as many as three
7 window-period units newly introduced into the blood supply.
8 Again, you realize that we just really don't know what those
9 long-term window periods look like, so there is a big
10 question mark associated with that number.

11 Release errors would conceivably contribute as
12 many as three new units per year and technical errors would
13 still be down well below 0.2 per year. Again, all of these
14 numbers should decrease with time with repeat donors being
15 weeded out for seropositivity and, thus, reducing the
16 prevalence error.

17 The other take-home from my talk is that
18 inappropriate release, primarily due to non-automated blood
19 handling systems remains the biggest risk factor. I should
20 say "problem. "

21 [Slide.]

22 HCV I am not going to go into nearly as detailed
23 analysis as I did for HIV. The reason is that the
24 prevalence of HCV in non IVDU-MSM, about 4 percent, is only
25 about twice that of the general population, 1.8 percent.

1 Given the high sensitivity of the HCV ELISA and the
2 redundancy of HCV NAT, together with the similar prevalence
3 rates in the two populations, deferral of MSM would be only
4 marginally effective in preventing HCV transmission.

5 [Slide.]

6 Similarly, for HBV, I don't think it is necessary
7 to go into as detailed an analysis as we did for HIV but, to
8 summarize it, as with HIV, essentially all of the infectees
9 who are going to seroconvert will have done so well before
10 three to five years. Therefore, incidence issues are of
11 minor concern.

12 The real danger is chronically infected donors,
13 long-term HBsAg-positives. In MSMs, the prevalence of HBsAg
14 positivity is about 1 percent. This would result in about
15 623 new positive units presenting to the blood supply.
16 Again, that would reduce to small numbers of HBsAg getting
17 through.

18 Also, the anti-HBV core provides redundancy, as
19 NAT does for HIV.

20 [Slide.]

21 To look at how many HBsAg-positive units could be
22 inappropriately released, again a bigger problem, by
23 changing to a five-year MSM deferral policy, I have come up
24 with this analysis. It is the same one you saw for HIV. I
25 won't go through the details. I will take you right to the

1 end of the story.

2 Making the same assumptions, small non-automated
3 blood systems, could conceivably contribute as much as 0.64
4 new units per year with a five-year deferral and automated
5 blood centers could conceivably come up with 0.2 units per
6 year.

7 Of course, I don't need to remind you that HBV has
8 a much lower morbidity than HIV. So changing to a five-year
9 MSM deferral would introduce minimal risk of HBV morbidity
10 from blood transmission.

11 [Slide.]

12 You have seen this slide before. I will just show
13 you one thing. This is data that Mike presented earlier.
14 This is pre-NAT and post-NAT residual risk broken out by
15 error. I just wanted to show to you that the error numbers
16 for HIV and HCV pre-NAT go down to zero post-NAT so the
17 redundancy is a real phenomenon.

18 [Slide.]

19 Again, this is similar to, a subset of the data
20 that Mike presented on the increasing incidence of HIV and
21 STDs in general in the MSM population. This was provided by
22 Dr. Hansfield from Seattle. In 1997, the incidence of HIV
23 in MSM goes from a unit of 1 to 1.7 in non-IVDU-MSMs. So
24 that is a worrisome number.

25 [Slide.]

1 These are in your notes. These are just the
2 contributors to Dr. Hansfield.

3 [Slide.]

4 He asked that we display them.

5 [Slide.]

6 To bring my talk to a conclusion, we have
7 quantitatively analyzed the risks to the blood supply of
8 changing the deferral of MSM from "since 1977" to "within
9 the last five years." This analysis has taken into account
10 prevalence and incidence issues, testing errors and release
11 errors.

12 This analysis has not summarized projected
13 improvements in blood banking from improved automation but
14 does demonstrate that inappropriate release remains a
15 significant risk.

16 [Slide.]

17 The final conclusion is that there is some
18 scientific data to support relaxation of the current MSM
19 deferral policy which defers men donors who have had sex
20 with another male even one time since 1977. Also, a five-
21 year MSM deferral policy for blood donation would harmonize
22 with the five-year deferral policy for tissue donation.

23 [Slide.]

24 One of the true privileges of working in the FDA
25 on an analysis such as this is the tremendous amount of

1 support and help I have had from many people, both inside
2 the FDA and outside the FDA. The truth is, they did all the
3 real work and I am very grateful to them.

4 [Slide.]

5 Finally, the question, as we proposed it, is, "Do
6 the available scientific data support the concept that men
7 who have sex with other men can be deferred from donating
8 blood for a period of five years following MSM activity
9 rather than being deferred for any MSM behavior since 1977?"

10 Thank you.

11 DR. HOLLINGER: Thank you.

12 Any questions of Andy at this point? Dr. Boyle?

13 DR. BOYLE: Just one question for clarification.

14 All of the equation that you have up there is based upon
15 what is effectively changing a test. The test is the
16 question in the screening--

17 DR. DAYTON: Changing the question; yes.

18 DR. BOYLE: But the question is the equivalent of
19 the test.

20 DR. DAYTON: Yes.

21 DR. BOYLE: Basically, all of that is based upon
22 an assumption about what that change will do, whether or not
23 it is 100 percent specific or, in your case, reducing it to
24 three years rather than five years. It is all an
25 assumption. There is no evidence; right--what the effect

1 That may be relevant to a transfused population
2 who might be suppressed for one or another reason when they
3 got a blood transfusion, I suspect.

4 DR. HOLLINGER: Thank you, Mike.

5 Dr. Dayton?

6 **Risk Assessment Model and Proposed Policy Questions**

7 DR. DAYTON: Thank you, Mike, for an excellent
8 presentation.

9 [Slide.]

10 What I am going to do is take a lot of the data
11 Mike has presented and data that other people have
12 communicated to me or that we have gleaned from the
13 workshop, et cetera, and try to put this into an analysis of
14 the predicted effects of changes in the policy that we may
15 be considering.

16 [Slide.]

17 To go back to a piece of the original diagram that
18 I gave you, we were concerned, largely, with infectious
19 units and potential donors getting through the test by these
20 various mechanisms and getting into the blood supply. The
21 question is going to be how can we quantitate this.

22 As I mentioned, this occurs because of various
23 problems. As I said before, there are prevalence issues and
24 incidence issues to approach these quantitatively. We have
25 undetectable strains so if there is a prevalent undetectable

1 would be?

2 DR. DAYTON: Well, no; it is not all an
3 assumption. It depends on what number you are talking about
4 because if it is the population number, the change in
5 population, how many new MSMs would present--that is not an
6 assumption. That was calculated.

7 DR. BOYLE: No, no; the question is that if you
8 change a question, how a person will respond to it, is based
9 upon--

10 DR. DAYTON: You are getting into an entirely
11 different--and, actually, I am very glad you brought that up
12 because would did consider this. You get into this whole
13 area of test-seeking behavior and reliability.

14 When we first started this particular analysis,
15 one of the take-homes that came out of it very early on in
16 1997 was we were very worried, well, how do you account for
17 people who are doing test-seeking behavior? How do they fit
18 into the equation and how do you take that into these
19 numbers of new MSMs presenting to donate.

20 To a first order approximation, people who are
21 already doing test-seeking behavior are going to continue
22 doing it. So that number does not change.

23 You are shaking your head and I think I know where
24 you are going in that and I certainly agree with you. Maybe
25 people will say, oh, now you are only deferred for five

at

221

1 years, and maybe they will be more likely to engage in test-
2 seeking behavior. We simply can't calculate that number.
3 But I think that is where you were going.

4 DR. BOYLE: Just one follow up. As I understand
5 it, there is a task force that is going to be looking at the
6 whole questionnaire and trying to put together some evidence
7 about what works and what doesn't. My question is why is
8 this issue presented now rather than a year from now when,
9 presumably, the task force is looking at the questionnaire
10 across the board and maybe you have some evidence.

11 DR. DAYTON: You may have some input into that
12 decision. Actually, you will have some input into that
13 decision. As I said, the real reason we thought it was a
14 great time to look at it now is because of the redundancy
15 that exists with the concurrent NAT and ELISA tests.

16 Also, we have learned more about blood-banking
17 errors which are two previous concerns. So we are a mile
18 further than we were last year.

19 DR. HOLLINGER: Dr. Nelson?

20 DR. NELSON: As a follow up, I think the real
21 issue--you presented one side of the equation. But the
22 other is the questionnaire and the validity of the answers
23 to a different question. We already have some data on
24 validity of answers to the previous question since 1977. In
25 other words, how many men who actually had sex with men

1 during that period were then found to have a viral marker
2 and then, on subsequent testing after they were found to be
3 positive really didn't answer, or forget, or for whatever
4 reason, didn't give a valid answer to the 1977 question.

5 We don't really know what effect the change will
6 be. I think that is the big part of the equation rather
7 than what happens to the tests in these long window periods.
8 I think, with the current redundant test, that is probably
9 pretty insignificant. But the real issue is how will the
10 change in the question affect the validity of the answers
11 that one will get with the population.

12 Do you agree with that?

13 DR. DAYTON: Yes; I agree with it very much. I
14 think we can give you a partial answer, and part of it is
15 the answer I just gave and part of it isn't. We feel--we
16 don't have data to prove it, but we feel that a five-year
17 deferral will be perceived as a much fairer mode of deferral
18 than, basically, forever. I think that that will actually
19 engender more compliance.

20 Granted, it might be harder to remember was it
21 five years ago or three years ago as opposed to in the last
22 twenty years. But, as I say in the calculations, we gave a
23 slack to that in asking for five years expecting to get
24 three years effective deferral. So we think that is going
25 to be okay.

at

1 Finally, the point I made to Dr. Boyle deserves
2 reiteration that the people who are consciously engaging in
3 test-seeking behavior will continue to do that. They are
4 not going to represent a change. They are not going to come
5 into the change that results from this policy.

6 But, unquestionably, designing a good
7 questionnaire and getting it to work is a major, major
8 problem. It is just that it is not a delta that is
9 associated with this policy. We definitely need to put a
10 lot of homework into that questionnaire.

11 DR. NELSON: Dr. Schmidt?

12 DR. SCHMIDT: Another aspect of this, following up
13 on these two comments, is a question of memory. I only had
14 one surgical insult in my life. That was my gall bladder.
15 I found out, as I took physicals after this, year after
16 year, I couldn't remember how long it had been. I can tell
17 you what the room looked like and how many pounds I lost
18 before I had it, but it is not a human type of framework, I
19 think, to know that with the exactitude.

20 You really came down to it at the end--you are
21 now, when you are telling us five years is really three
22 years. So I am not talking about test seeking or anything.
23 I am talking about answering questions.

24 I almost think, and what we are talking about,
25 calls for a change in your question to the committee which

1 doesn't say that they didn't have sex in five years, but, as
2 far as they remember, they didn't have sex in five years.
3 That is really the issue.

4 DR. DAYTON: I think that is fair.

5 DR. HOLLINGER: Dr. Simon?

6 DR. SIMON: Perhaps I missed it, but I also
7 thought that one of the reasons for concern to change at
8 this time is harmonization with the tissue provisions
9 because we have just had that conference and I believe we
10 are going through with--you all are going through with a
11 rewrite of those.

12 That was, I thought, one reason.

13 DR. DAYTON: Yes; I didn't dwell on it, but I did
14 have it there on a slide. It is a good point. The
15 harmonization makes for less confusion and a more coherent
16 questionnaire and a less confusing policy. So that is a
17 benefit.

18 DR. SIMON: I guess one of the questions that
19 comes to mind, and it may be that you have already hit on
20 the answer in some of your other questions, is the agency's
21 thought about going to five years with the permanent
22 deferrals--that is, IV drug abuse and sex for money or
23 drugs. Is that something that you are putting off to the
24 whole look at the questionnaire or are you thinking about
25 that?

1 DR. DAYTON: We periodically reexamine all of
2 those issues. They are all so complicated. We certainly
3 didn't want to wrap them into one discussion. So we keep an
4 eye on those and, when we feel that the data supports
5 reexamining those issues, we will very rapidly reexamine
6 them or bring it before here, actually.

7 DR. MACIK: In the numbers that you have for men
8 who continue to donate, is there any feeling for how many of
9 those may have gone out and had themselves tested? The
10 people who, say, had one relationship or one event and then
11 were worried about it and went out and had their doctor do
12 all the tests on them. In their mind, they are negative and
13 they are going to come in and donate.

14 Was there any feeling to how often that would
15 happen?

16 DR. DAYTON: We do have a number for what
17 frequency of men who donate have a history of deferrable MSM
18 behavior. We do have that number, but I am not quite sure
19 what you are looking for beyond that.

20 DR. MACIK: That they went to their doctor and
21 said, I want you to test me for AIDS and I want you to test
22 me for hepatitis C. And so their doctor sent all the tests
23 and the tests come back negative. Then that man shows up at
24 the blood donation and--

25 DR. DAYTON: Oh; I see. So you would want to take

1 that number and adjust the number that I did present.

2 DR. MACIK: I just wonder if you have any idea of
3 how often that would happen.

4 DR. DAYTON: I don't. Alan, do you know anything
5 from the REDS study on that?

6 DR. KLEINMAN: I would have to say that, at this
7 point, the data are not available in the 1998 survey. We
8 did start to probe a little bit on donors who did proceed
9 with those donations despite deferrable risk and get some
10 basic rationale as to why they did that. But it doesn't get
11 as detailed as you are suggesting.

12 DR. HOLLINGER: A question to the committee and
13 then there looks like there is a group forming at the back
14 to do something to us. Steve, why don't you go ahead.

15 DR. KLEINMAN: Dr. Steve Kleinman. Comment for
16 Dr. Boyle. As a member of that donor task force, one of the
17 things that we wanted to avoid doing was spending a lot of
18 time on questions that there was no intention to have
19 changed by the FDA. I think when you asked why is this
20 brought to the committee, if the committee says don't change
21 this, the FDA says don't change this, there is no point in
22 having a task force look at it and come up with a
23 recommendation to change it.

24 So the concept is we are looking for a direction
25 and want to spend our time just on those things that are

1 really potentially changeable because it is a lot of work to
2 review the whole questionnaire.

3 A couple of other comments, on two parts of the
4 model. One is the prevalence rates for HIV antibody in MSMS
5 which you said range from 6 to 30 percent and you took a
6 number of 8 percent. I think that is probably quite valid
7 except the kinds of persons that might come in and now be
8 eligible to donate would seem to me to be two kinds of
9 people. One would be a person who was actively engaged in
10 male-to-male sex and stopped five years ago.

11 But the other type of person which I think is more
12 common would be somebody who might have experimented and had
13 one or two experiences back ten or fifteen years ago. I
14 would submit that it is unlikely that the rates are going to
15 be 8 percent in that group of people.

16 So I think that we might be kind of overestimating
17 the HIV risk in the people who would come back in and be
18 eligible to donate. I wonder if you could comment on that.

19 DR. DAYTON: That is a perfectly fair question.
20 What you are getting into is the whole issue of what defines
21 MSM behavior. That, in and of itself, is a complicated
22 field. It is very hard to decide which of those two
23 categories--if both of those two categories are handled
24 equivalently.

25 We didn't have data to distinguish between the two

1 so we lumped them together. But I think that where it comes
2 out in the wash is that the really important data is the
3 overall prevalence data and the blood-banking release
4 errors. I would assume that the overall numbers include
5 both those types of people that you have just described, for
6 lack of an effective way to really factor it out.

7 Maybe Alan can correct me on that. He is shaking
8 his head no. So we just don't have the information to do
9 that.

10 Also, you are quite right that all of these
11 numbers here, if I had a chance to choose reasonably a
12 conservative number, basically conservative meaning which
13 would bias against relaxing the policy, I generally did
14 that. I felt, since we didn't have confidence intervals, I
15 felt an obligation to do that basically to be in compliance
16 with the publicly mandated and Congressionally mandated
17 policy of zero error tolerance in this matter.

18 DR. KLEINMAN: I think that my last comment is
19 that probably applies to your numbers for release errors as
20 well because in the era where we are--obviously, the release
21 errors were from the hospitals, but I want to remind the
22 committee that, in the level of redundancy, there is not NAT
23 testing taking place in hospitals so all the NAT testing is
24 taking place with automated systems in blood centers so
25 that, since the HIV-positive person would presumably be

1 positive both by antibody and by NAT, then the release error
2 rate would apply to the NAT-tested blood centers.

3 So most of those units would never get to a
4 hospital even if the hospital collected it. I guess the
5 unit would be at the hospital, but the testing information
6 would get back to the hospital. I guess they could still
7 make a release error, though, even with correctly supplied
8 information.

9 DR. DAYTON: Where that would come into play in
10 the hospitals where you only have the one test and no
11 redundancy, you would go back to the testing error number.
12 And that would become significant. It would rise to about
13 1.6 nationally if all of the tests were done that way. But
14 only 8 percent of them are under that, so you would only
15 have 8 percent of that 1.6 percent getting through.

16 So you would still have a very small number, and
17 your biggest worry would still be the inappropriate release
18 number with the standard prevalence rates.

19 DR. HOLLINGER: Jeanne, would you respond to some
20 of that, please?

21 DR. LINDEN: I would just like to add to that
22 because I really disagree with something that Steve said,
23 and there may be a misunderstanding of what the errors were
24 that were presented in my data.

25 We are talking about blood collected by a hospital

1 where the testing may be done either at the hospital in the
2 microbiology department, immunology, something like that, or
3 it may actually be done by a blood center or reference lab.
4 The results come back but they don't come back
5 electronically. They come back by paper or, worse yet, by
6 fax. Those can be disworded or difficult to read.

7 The problem is, even when you get those results,
8 then they may not be read properly. The fact that it is
9 positive may be overlooked. The fact that the test is
10 pending, which means actually it was reactive and not
11 reported, is overlooked. That is really what those problems
12 primarily were.

13 Even if the NAT testing is done, it can still be
14 misinterpreted when it goes back to the hospital. That is
15 why the hospitals had a much higher error rate than the
16 blood centers because of the way the testing is set up.

17 DR. HOLLINGER: Thank you, Jeanne.

18 DR. DAYTON: Can I ask a question of Dr. Linden?
19 How difficult would it be for hospitals to change their
20 procedures to deal with this and reduce that rate?
21 Obviously, they are seeking to do that, but how major a
22 hurdle is that?

23 Even you seemed surprised at the rather high
24 release rate. If this is a real surprise, what can
25 hospitals do to address it?

1 DR. LINDEN: It has been a problem that I have
2 been concerned with for many, many years. My personal bias
3 is that units collected by hospitals are much more dangerous
4 than units collected by blood centers for a variety of
5 reasons.

6 I cannot, personally, speak for the feasibility of
7 hospitals changing their systems. I don't know if anybody
8 here can. But, certainly, we have seen that, as the blood
9 centers have improved their automated systems, the hospitals
10 have not been able to do so. In fact, it has, actually, in
11 a way, gotten worse because the old system of paper, you
12 could mark things in red and make things more obvious.

13 Now, the way things are coming, they are actually,
14 in my opinion, more error-prone, not less error-prone. So,
15 short of having hospitals not collect, I am not sure.

16 DR. HOLLINGER: Dr. McCurdy?

17 DR. MCCURDY: I think that one very important part
18 of this equation, as far as hospitals are concerned, is to
19 ask the question why is a hospital collecting a unit of
20 blood. If I were in a hospital, the liability that I would
21 assume by collecting a donor would seem to far outweigh the
22 value of that unit of blood.

23 I suspect that part of it is the difficulties in
24 getting autologous units collected and provided at a blood
25 center. It really has to go off-line, in effect, and is a

1 pain in the neck.

2 The other thing is the question of whether
3 intermittent shortages of blood from the blood center, or
4 perceived shortages, push the hospital to collect "to help
5 out their local blood supply." But I think it is important
6 to ask why is the hospital collecting the unit of blood. If
7 you can find out why, and it is something that is
8 potentially correctable, that may be a better approach than
9 trying to change how they do it.

10 DR. LINDEN: In my experience, most of the
11 hospitals collect for one of two reasons. The primary one
12 is cost. I know that it not something that this committee
13 is supposed to deal with, but I think that is the rationale.
14 That is not going to be easy to fix.

15 The other is a perceived either shortage or lack
16 of availability from their supplier, that they feel they
17 need to be able to collect their own blood to supplement
18 what they get from a blood center.

19 DR. KATZ: I have a couple of questions, and some
20 of it may be that I was out of the room for a minute. You
21 cited a 2 percent prevalence rate in men having sex with men
22 with HIV seropositivity and used that, I believe, in your
23 calculations. That is not discounted for that number, I
24 presume, for those that already know their serostatus and
25 would be excluded by a different question.

1 DR. DAYTON: I couldn't totally hear the question,
2 but the average effective prevalence in MSM is 8 percent.
3 About 75 percent of them know their seropositive status and
4 self-defer.

5 DR. KATZ: Okay.

6 DR. DAYTON: So the 2 percent has that taken into
7 account.

8 DR. KATZ: The other question is probably for--
9 well, I don't know, Dr. Dayton. You may know. It is my
10 presumption that underlying this entire discussion is that
11 any consideration of relaxation would not take effect until
12 there were licensed NAT assays. I mean, that seems to run
13 through all the discussions of redundancy.

14 DR. DAYTON: I didn't specifically address the
15 issue, but I think it is a good idea.

16 DR. HOLLINGER: Dr. Epstein?

17 DR. EPSTEIN: That would be our intent, would be
18 to wait for licensed NAT. Since I have the mike, let me
19 just comment that there are a couple of drivers why we are
20 bringing the issue. As has been stated, we have had a
21 general program of updating the donor suitability standards
22 which ultimately will be reflected in revised regulations.
23 So we are trying to deal scientifically with each of the
24 issues, and there are a large number of them which have been
25 reflected in discussions at the advisory committee and

1 scientific workshops.

2 Secondly, it is very much in our thinking to
3 determine the extent to which we can and should harmonize
4 the standards for donor suitability as they apply to blood
5 donation and as they apply to tissue donation and cell-
6 derived product donation, which is an active area of PHS
7 guidance and also is soon to emerge as FDA regulation.

8 Above and beyond that, the agency is also
9 responding to the fact that, over the years that we have had
10 this deferral in place, there has been a great deal of
11 criticism of the agency, particularly by gay and lesbian
12 activist groups and persons concerned in their behalf
13 including, for example, college students who have had
14 demonstrations on campuses that the current restriction
15 appears discriminatory and even if not discriminatory,
16 appears to be unduly strict.

17 The arguments that have been made are that, first
18 of all, a post-'77 history, for a person born post-'77, is
19 really a lifetime exclusion for even a single MSM
20 experience, and that why aren't we taking advantage of the
21 fact that screening test technology has improved remarkably
22 and can we not trade off some of the exclusion based on risk
23 history against better testing.

24 Then the third argument that has been made is that
25 we are kidding ourselves asking about remote risks. We

1 should be focussing on recent risks. One way of doing that
2 is to deal with a moving deferral interval rather than one
3 reckoned from some remote time in the past.

4 So these have been all the drivers why the issue
5 comes to the fore now, although I accept the comments that
6 have been made by several around the table, that the true
7 impact won't be known unless we understand what a revised
8 question might do.

9 But I think what we are saying here is okay, let's
10 say we revise the question and it works perfectly. On those
11 grounds, is it scientifically sound based on the modeling of
12 the risk, because, certainly, that is the best-case
13 scenario. If we reject it in the best-case scenario then,
14 as also has been said, there is not a lot of point pursuing
15 a question which might, in fact, not work as well.

16 DR. DAYTON: One just modification of what Jay
17 said. Actually, we are not expecting the new question to
18 work perfectly. We are assuming it will work as well as the
19 old one did.

20 DR. HOLLINGER: Dr. Bianco?

21 DR. BIANCO: Celso Bianco, America's Blood
22 Centers. Dr. Dayton, thank you. You have created a model
23 that tries to include every one of the major factors that
24 would contribute to that. That is fun, actually, because we
25 can try to plug different numbers and see what is the impact

1 there.

2 I would like just to make a couple of observations
3 about your assumptions. Your assumption of 5 percent of
4 individuals donating blood and that this would also apply to
5 the gay community; one, I think that the gay community is
6 very aware and they have, over the years, from our
7 experience, particularly in New York, stayed away from
8 donating blood.

9 But the second thing is, even in New York, only
10 1.5 percent of the population donates blood, so that
11 reduces, by a factor of 3, the level of risk that you would
12 see. The other point that I think would be very important
13 is that there is a dataset with the Centers for Disease
14 Control that I feel should have been part of that estimation
15 because it is very good, the data obtained with the HIV-
16 positive panel study.

17 From the beginning, or for many years, CDC has
18 been collecting data, very serious interviews. And this was
19 presented at a workshop on panel suitability in '98 by
20 Kenneth Clark--actually, a copy of his slides with me--
21 showing very nicely the changing risks of donors that are
22 found to be positive after they donate blood.

23 The objective of the study was exactly that, of
24 identifying why did people go through the screening and were
25 able to donate. There are a couple of figures that are very

1 important there. One is that today, this was '97 and I
2 believe that today this is actually more true, only half of
3 the HIV-positive donors are males, the ones that donate
4 successfully and we detect them as positive.

5 The second thing is that, among those that are
6 males, only about 30 to 40 percent are the ones that reveal
7 that they had risk behavior, sex with another male, in the
8 past. Of those, 90 percent of them had had risk behavior in
9 the past year.

10 So I would love to see your model include some of
11 that data and revised estimates because I believe, just in
12 my mind, trying to divide the numbers, that we would not see
13 a real difference between the five years and the one year.

14 Thank you.

15 DR. HOLLINGER: Thank you.

16 Dr. Linden?

17 DR. LINDEN: One other question, thinking about
18 your calculations and your model, you are suggesting there
19 are 62,000 MSMs out there who would really like to donate
20 blood and are not able to right now. So January 1, we say,
21 okay you can donate.

22 You are looking at calculating the number of units
23 that could get into the system per year, but if we suddenly
24 made a change, this is basically a one-time thing, assuming
25 they would all come out of the woodwork and say, oh, yes;

1 here I am.

2 In, say, 2001, they would come get tested and
3 then, after that, presumably, a second test is going to take
4 care of the problem with the errors because, basically, you
5 would cull out those who are really positive and were
6 somehow missed.

7 So, on an ongoing basis, do you think the number
8 might be less and that this is really worst-case scenario of
9 the first year you make the change? Can you just comment on
10 that issue?

11 DR. DAYTON: Absolutely. I did mention that
12 although I am not sure how to best quantify it. But,
13 basically, as people come in and get tested and they get
14 weeded out for prevalence, the effect of prevalence does go
15 down, let's say, after the first year, just as you
16 described.

17 But I find it is a hard number to calculate.
18 Also, opposed to that is every year you now have another
19 20 percent of that population coming in. But it is a
20 totally correct consideration.

21 DR. HOLLINGER: Question for Andy?

22 DR. BOYLE: Yes. One thing I am a little confused
23 on after hearing this. Are you trying to establish a policy
24 of a five-year deferral regardless of what the question is,
25 or are you trying to establish a three-year deferral with a

1 five-year question?

2 In other words, let me phrase it differently. If
3 you are trying to establish a five-year deferral policy,
4 would that mean that we would be developing questions that
5 would accurately capture that even if we had to say seven
6 years, or ten years?

7 DR. DAYTON: There are a couple of things implied
8 by your question. One is what we are asking for and two is
9 what will happen if we do something. My analysis addressed
10 what would happen if we did something. So the analysis I
11 gave you said what would happen if we did the five-year
12 deferral policy.

13 The question we are proposing to the committee is
14 do you think that the data supports this new policy or
15 suggests this new policy. At that point, you need to take
16 into consideration the other elements you have brought in on
17 how well the questionnaire works.

18 This really comes back to what Jay mentioned and
19 what I added to, is that we expect the new question to work
20 as well as the old one does, relatively, for what it tries
21 to do. I think that answers your question. If not, pin me
22 down more.

23 DR. HOLLINGER: Dr. Mitchell?

24 DR. MITCHELL: I am still trying to get clear the
25 current number of people who test positive. Is that around

1 the 12 to 14 people per year?

2 DR. DAYTON: Do you mean the number of units that
3 appear at the blood bank and get tested and are HIV-
4 positive.

5 DR. MITCHELL: Yes.

6 DR. DAYTON: That number has been dwindling over
7 the years. It is typically around 1,000. I think it is
8 down to 800 or 900 now in the last couple of years. Then, a
9 few years before, it was up around 1,200. That would be per
10 the entire whole-blood industry, the 13 million or 14
11 million donations per year, not including the plasma
12 industry.

13 DR. MITCHELL: I think your analysis is very good.
14 It is very, very conservative, but I think that it is very
15 good to have that kind of analysis. Thank you.

16 DR. HOLLINGER: Dr. McGee?

17 DR. MCGEE: I am a little bit confused about what
18 the final issue is. In this multiplicative model,
19 everything has errors. None of them are taken into account.
20 We end up with a number of roughly 2 out of 60,000.

21 Let me just ask you; suppose you are off by a
22 magnitude of 5, which is not unreasonable. I could give you
23 some scenarios. Then, would you still make the same
24 recommendation, if you had come up with ten cases instead of
25 two?

1 DR. DAYTON: I am truly not avoiding the question,
2 but whatever we take into account, I am not in the position
3 of making a recommendation, and we are seeking a
4 recommendation from the committee. The reason I am doing
5 that is because it is a very tough question to answer and I
6 don't have an answer.

7 DR. MCGEE: I thought you had kind of told me that
8 things were safe as long as it was 2. Your number was 1.7-
9 something.

10 DR. DAYTON: When we first started analyzing, and
11 this is kind of historical, we didn't realize the release-
12 error rate was going to be such a problem. If it weren't a
13 problem, if you just looked at test condition errors, for
14 instance, then the errors are very, very low and probably
15 pretty safe.

16 DR. MCGEE: The question from the floor, one of
17 your estimates, he thought, was off by a factor of 3.

18 DR. DAYTON: I absolutely agree. We don't have
19 any better estimates. I have tried to make that point very
20 clear and I am glad you brought it up because I don't think
21 it was clear. These are very "iffy" numbers and,
22 unfortunately, it is all we have to go with.

23 DR. HOLLINGER: Dr. Chamberland?

24 DR. CHAMBERLAND: I have a question for the at
25 least two statisticians that I know are on the committee.

1 Is there a way to work with this model building into it some
2 range of errors for each of these variables so that you can
3 come out with I would assume a range? You are not going to
4 come out with a point estimate--or a point estimate with
5 some sort of a range. I think the point you raise is a good
6 one because even though it may not be a FDA's intent to do
7 this with coming forward with a very low number that would
8 seem reassuring but there are no confidence intervals, there
9 is no error range around these estimates.

10 Is it possible that work that into it?

11 DR. MCGEE: You start with 1.4 million. It is
12 probably done on a small survey, who knows what 95--the
13 error is to that, but these multiplicative things, you can
14 derive the standard errors as you go.

15 DR. DAYTON: One of the reasons we have not
16 dedicated ourselves to actually pinning down all those
17 numbers, because you could do a statistical analysis--the
18 errors associated with many assumptions are probably going
19 to be even greater than what the statistics tell us. It
20 would be helpful to know the statistical numbers and what
21 confidence intervals they represent.

22 But I can almost guarantee you that many of our
23 assumptions are going to introduce even bigger errors such
24 as how well is the questionnaire going to work, how well
25 does the questionnaire currently work, what is the behavior,

1 how do you define MSM behavior?

2 It is a daunting task, but you are quite right
3 that, in theory, it is doable. But it is a heroic
4 undertaking.

5 DR. STUVER: I think beyond just the statistical
6 error that might exist, so you get a 95 percent confidence
7 interval based on that, you can do some kind of sensitivity
8 analysis where you change your estimates given what variable
9 numbers there might exist from different sources of data
10 just to get a range, a sensitivity range, as opposed to
11 purely statistically related to that error.

12 DR. MCGEE: Some of the numbers in here are pure
13 guesses.

14 DR. STUVER: Exactly.

15 DR. CHAMBERLAND: This very well may, and should,
16 come up in the committee's larger discussion, but I think
17 why we are more or less stuck with this is that this is the
18 approach FDA has chosen to present this question to the
19 committee. It is largely through a mathematical
20 presentation.

21 There have been supporting epidemiologic data and
22 this NAT testing data, all of which I think is very relevant
23 and germane and we need to discuss, but this has primarily
24 been funneled to the committee, at least in the FDA's
25 approach, through this mathematical model which I think, at

1 best, we could say might be incomplete or could be
2 amplified.

3 DR. DAYTON: When we began to reexamine this in
4 1997, we began to assemble a lot of data about behavior, MSM
5 behavior, risk behavior, prevalence, incidence, et cetera,
6 et cetera. It soon became clear to me that at least I felt
7 the only way I could bring kind of a structure to the
8 decision-making process is to actually say, "All right; we
9 are going to change the policy. What changes is that going
10 to introduce?"

11 And that necessarily leads into this kind of
12 analysis. I think all of the weaknesses of that analysis
13 that have been pointed out are truly weaknesses. Again, as
14 is so often the case in this situation, we need to make
15 tough decisions, science-based decisions, and our decisions
16 are often necessarily running ahead of where the data is
17 ready to take us with a lot of assuredness.

18 DR. HOLLINGER: We have two final questions and
19 then we are going to take a break. Dr. Simon and then Dr.
20 Kleinman.

21 DR. SIMON: I am certainly not capable of
22 discussing the statistical issues but it seemed to me that
23 Dr. Dayton's analysis was framed so that it would sort of a
24 worst-case scenario, in which case, hopefully, it has been
25 biased--you say that is not the case.

1 DR. MCGEE: That is not the case at all.

2 DR. SIMON: Because that is the way I interpret
3 it, as being biased as sort of the worst thing that could
4 happen, what would that give you?

5 DR. DAYTON: I see a lot of nodding heads here.
6 That was our intention, but the only way we could approach
7 that is, given a choice of two numbers, we chose the worst-
8 case scenario number. But, again, that is not the same as
9 doing a good statistical analysis.

10 DR. MCGEE: You did what you said. You picked one
11 of two numbers. But the second number also had error
12 involved in it so there was a worst-worst case, then, if you
13 will. So this is what they thought among a bunch of point
14 estimates. Each period gave them the biggest answer. But
15 that is not the same at all as a sensitivity analysis or as
16 looking at the pure error in the model.

17 DR. HOLLINGER: Dr. Kleinman?

18 DR. KLEINMAN: I just wanted to comment, though.
19 Someone suggested a sensitivity analysis which I think is a
20 good idea, but on a very cursory level, it seems like the
21 model is most sensitive to the release-error information. I
22 think that release-error information--again, it was driven
23 strongly by the hospital-based release and we really don't
24 have a lot of data.

25 We have data from one year from New York State. I

1 really do think that is high and I really think you would
2 have to have a release error now on two tests. Even if that
3 hospital was sending its testing out, it would now get an
4 HIV antibody test result back and it would get a NAT test
5 result back, and it would have to take the opportunity to
6 misinterpret both of them for that unit to get out.

7 So I don't think the release error adequately
8 accounts for redundancy. I think, clearly, at least in your
9 analysis today, it was most sensitive to the release error,
10 and that is what jacked the numbers up. So I really think
11 it might be nice to have an overall standard-error
12 statistical analysis, I bet if you do that, the range is
13 going to be somewhere from zero units a year to 10,000 units
14 a year, or some huge number that won't help you in decision-
15 making.

16 So I think we have to work, just as we do with
17 lots of blood-safety decisions, with the best data that we
18 have and, if there are large errors, then we have to use
19 common sense and we have to use the impressions of informed
20 individuals.

21 So I don't think we can go beyond that. Again, I
22 think that you have taken the most conservative numbers and
23 you are even taking a five-year deferral which, I think,
24 goes well beyond what the scientific data indicates would be
25 necessary.

1 DR. HOLLINGER: We are going to take a twenty-
2 minute break and meet back here again at 4:25, and we will
3 have the open public presentation at that time and then go
4 into discussion.

5 [Break.]

6 DR. HOLLINGER: We are going to have the open
7 public presentations. There are four of them that I know
8 of. The first is Dr. Katz for the AABB.

9 **Open Public Hearing**
10 **Presentation**

11 DR. KATZ: I wanted to take a brief opportunity to
12 add my kudos to Dr. Hollinger for his performance as
13 chairman of the committee, most particularly the almost
14 metaphysical ability to change questions, an activity I
15 tried to engage in during my tenure and was never successful
16 at, and to note to the committee that I am very aware of the
17 difficulty of the issue that you are being forced to deal
18 with and just to say that I am glad it is you and not me.

19 The American Association of Blood Banks is the
20 professional society for over 9,000 individuals involved in
21 blood banking and transfusion medicine and represents
22 roughly 2,200 institutional members including independent
23 and Red Cross blood collection centers, hospital-based blood
24 banks and transfusion services as they collect, process,
25 distribute and transfuse blood and components and

1 hemopoietic stem cells.

2 Our members are responsible for virtually all the
3 blood collected and more than 80 percent of the blood
4 transfused in this country. For over 50 years, the AABB's
5 highest priority has been to maintain and enhance the safety
6 and availability of the nation's blood supply.

7 Since 1977, the AABB has advocated that the
8 deferral period for male-to-male sex be changed to twelve
9 months. Modifying the deferral time period for male-to-male
10 sexual contact to twelve months will make that deferral
11 period consistent with the deferral period for other
12 potentially high-risk sexual exposures and will improve the
13 clarity and consistency of the donor questions.

14 The potential donor will be directed to focus on
15 recent rather than remote risk behaviors and should have
16 better recall for answers to the screening questions.
17 Retention of a specific deferral for males who have had sex
18 with other males is based upon extensive scientific data
19 that document a significantly higher prevalence and
20 incidence of HIV and hepatitis B in this population.

21 The 1977 time frame for questions concerning male-
22 to-male sex was implemented at a time when the data
23 regarding HIV transmission were more limited and HIV
24 serologic tests were less sensitive than the current assays.
25 It is now possible to use a large body of scientific

1 evidence concerning the natural history of HIV infection and
2 serologic testing to reexamine the appropriate time
3 intervals for such a deferral.

4 Studies by many investigators--much of that you
5 have heard today--demonstrate that FDA-licensed and required
6 HIV laboratory screening has reduced the HIV seronegative
7 infectious window to an average of 16 days. In clinically,
8 asymptomatic individuals, HIV infection will result in the
9 development of HIV antibody for p24 antigen in less than one
10 year in all cases.

11 In healthcare workers exposed to HIV-infected
12 blood by needle-stick injury, the interval from exposure to
13 seroconversion was less than six months in 95 percent of
14 cases. In two cases, the intervals were 213 days and
15 between eight and nine-and-a-half months and,
16 parenthetically, some of that analysis was done with assays
17 less sensitive than those currently used in blood-collection
18 facilities in the Year 2000.

19 HIV NAT performed in minipools under IND has
20 further reduced the seronegative infectious window to
21 approximately twelve days and, as we have heard from FDA
22 today, any change in the donor deferral policy in this area
23 awaits licensure of NAT.

24 Accordingly, with regards to Dr. Dayton's
25 presentation, we have just a few comments that were not in

1 our written statement but that do not alter our bottom line.
2 First of all, the number of gay donors entering the blood
3 supply was estimated to represent 5 percent of that
4 population, approximately, and we believe this represents at
5 least a three-fold high estimate based on donation histories
6 in the general population.

7 As Dr. Bianco told you in New York, approximate
8 1.5 percent of age-eligible donors actually donate. We have
9 heard no data to suggest that gay men would donate more
10 frequently. Current incidence and prevalence data on HIV
11 seropositive blood donors demonstrate that less than half of
12 those donors in the HIV donor study are, in fact, male and
13 approximately half or less of those admit to MSM behavior.

14 Finally, the seroconversion outliers that are
15 referred to in the healthcare worker studies, once again, in
16 part and in general, rely on earlier generation testing.

17 Accordingly, the AABB respectfully encourages the
18 committee to recommend to FDA a twelve-month deferral in
19 lieu of dating questions back to 1977. We believe this
20 modification should have no measurable detrimental effect on
21 the risk of transmitting HIV through transfusion or the
22 safety of the blood supply.

23 Thank you for your attention.

24 DR. HOLLINGER: Thank you, Louis. Any questions
25 of Dr. Katz? Dr. Dayton?

1 DR. DAYTON: Not a question, but just a response.
2 I appreciate you pointing out that the rate of donations by
3 MSMs, as measured, is three-fold lower than the number we
4 were using. That may, in fact, be the case.

5 However, there is also the consideration that it
6 is known very widely that MSMs are strongly discouraged to
7 donate for any MSM behavior since 1977 which means,
8 basically, any MSM at this point. That probably results in
9 a lot of self-deferral. One presumes that word will get
10 out. If we change a policy to a five-year deferral, one
11 presumes that the word would get out and that the donation
12 rates would increase.

13 So I think what you pointed out is very valid and,
14 again, we used conservative estimates. But even if you
15 accept your numbers, there is an error in the other
16 direction that we have to worry about as well.

17 DR. HOLLINGER: Thank you.

18 The next presentation is by Dr. Adrienne Smith of
19 the Gay and Lesbian Medical Association.

20 **Presentation**

21 DR. SMITH: Thank you. I am a little hoarse today
22 but thank you very much for allowing me to speak. I really
23 have enjoyed this afternoon's scientific discussion. It is
24 very interesting.

25 I just want to make a brief statement. On behalf

1 of the Human Rights Campaign, the Lambda Legal Defense
2 Education Fund and the Gay and Lesbian Medical Association,
3 we urge that you carefully consider advising the FDA to
4 alter the guidelines excluding male donors who have had sex
5 with another man even once since 1977 as has been discussed
6 a number of times.

7 First and foremost, we stand united in our belief
8 that the integrity and safety of the nation's blood supply
9 must be preserved and maintained. Any change in the
10 guidelines governing the donor deferral must be based upon
11 current medical expertise and up-to-date testing technology,
12 just as licensed NAT would provide.

13 We are not supportive of any change that would
14 meaningfully increase the risk exposure to recipients of
15 blood and blood products. At the same time, the committee's
16 action should be guided by a fundamental public-health
17 principle that would ensure a simultaneous commitment to
18 both safety and fairness.

19 That principle is like risks should be treated
20 alike, which is just what Dr. Katz was, I think, alluding
21 to. This maxim exposes the central flaw in the current
22 donor deferral policy which tolerates a wide range of risks
23 associated with heterosexual sex while imposing a zero
24 tolerance attitude towards MSMs regardless of the risk
25 associated with individual behavior.

1 For example, under the current policy, a man who
2 engaged in one act of oral or anal sex with another man in
3 1978 and had been celibate ever since then would
4 automatically be deferred while a woman who has had
5 unprotected anal sex with multiple partners over the past
6 year with no knowledge of the personal histories remains in
7 the donor pool.

8 Similarly, a man who had oral sex with another man
9 in 1979 would be excluded whereas a woman who had
10 unprotected anal sex with the same man thirteen months ago
11 would be allowed in the donor pool. A more rational policy
12 would address donors who engage in risky heterosexual
13 behavior with partners whose histories are unknown and
14 consider the status of MSMs whose behavioral histories do
15 not present any meaning risk to the blood supply.

16 By focusing on the source of the risk rather than
17 the size of the risk, the current policy stigmatizes gay
18 men. The donation of blood as well as other life-saving
19 products such as organs is viewed by many as a civic duty
20 and a responsibility.

21 At a time when the demand for blood and blood
22 products is outpacing the availability, healthy gay and
23 bisexual men are repeatedly turned away from donating blood
24 despite messages from mass-media campaigns appealing for
25 donations.

1 In addition, many gay men are shocked to learn
2 that they are automatically deferred when eligible blood
3 donors are typically described as being healthy, at least
4 seventeen and weighing at least 110 pounds.

5 Gay men often first find out that they are
6 eliminated automatically as donors when they are turned away
7 at a blood drive which is frequently at the place of
8 employment, a local community center or at a church. Our
9 organizations have heard from many men who have encountered
10 stigmatization by being singled out because of their
11 sexuality despite the fact that they consistently practice
12 safe sex or are in a long-term monogamous relationship.

13 Rather than reaffirming the current blunt-
14 instrument--namely the questionnaire--we ask the committee
15 to consider a revised panel questionnaire that focuses on
16 more refined and specific behavioral criteria like recent
17 sexual histories that include unprotected anal sex or a
18 significant number of sexual partners over the prior year.
19 Such an approach would allow blood banks to have reasonable
20 distinctions within the MSM donor pool and would weed out
21 heterosexuals whose behavioral histories would create a
22 meaningful risk for HIV and other blood-borne pathogens.

23 We also urge the committee to encourage the
24 development of pilot projects to test the effectiveness of
25 different types of donor questionnaires. Furthermore, this

1 committee's decision would reflect development in testing
2 technology that has dramatically reduced the risk of both
3 window-period donations and prevalence-related infections
4 due to test error.

5 Given these developments, the committee should
6 consider moving the current lifetime deferral ban on MSMs to
7 a time period that reflects the impact of these developments
8 in medical technology on blood safety. Moreover, the
9 introduction of NAT testing will reduce the risk of
10 infections due to test error by adding another round of
11 testing to the collection process.

12 Finally, while we understand that the Blood
13 Product Advisory Committee deals solely with the regulation
14 of blood and blood products, we hope that any decision made
15 by this body will not have an adverse impact on decisions
16 made elsewhere within the FDA on the donation of other
17 biological products, namely reproductive tissue.

18 Given the availability of quarantine and retest
19 procedures, the relative risks associated with reproductive
20 tissues are separate and distinct from the issues before
21 this committee today.

22 I wish to thank you for your time and
23 consideration.

24 DR. HOLLINGER: Thank you, Dr. Smith.

25 Any questions of Dr. Smith? If not, the next

1 speaker is Dr. Haley from the American Red Cross.

2 **Presentation**

3 DR. HALEY: Thank you, Mr. Chairman and members of
4 the committee. I am pleased to be here today to discuss
5 donor-deferral policies relating to males who have had sex
6 with males even once since 1977. My name is Dr. Rebecca
7 Haley and I am the chief medical officer of the American Red
8 Cross.

9 Red Cross Blood Services is the nation's largest
10 supplier of components serving more than 3,000 hospitals
11 nationwide. Last year, we collected more than 6 million
12 units of whole blood through the generous donations of
13 approximately 4.5 million healthy donors.

14 Each day, 22,000 donors visit one of the 400 Red
15 Cross blood-donation sites. Approximately 1 million liters
16 of plasma are also recovered each year from our volunteer
17 blood donors and are processed into life-saving plasma
18 derivatives that are distributed to hospitals, hemophilia
19 treatment centers and other providers.

20 For more than 50 years, the Red Cross has been an
21 innovator and a leader in transfusion medicine and research.
22 It is within this context that I am here to comment on the
23 MSM deferral period. The purpose of the MSM question on the
24 blood-donor record form was and remains to identify risk
25 behaviors that pose a threat to the blood supply.

1 Advances over the last fifteen years in viral
2 testing methods have dramatically decreased the risks of
3 transfusion-transmitted disease and extensive research has
4 provided much more information about the early phases of HIV
5 infection.

6 Nucleic-acid test, better known as NAT, is now
7 being implemented at the Red Cross under investigational new
8 drug status and will further reduce the HIV and HCV window
9 period. There are two fundamental factors regarding this
10 national public health resource, the blood supply, which
11 must always be considered.

12 First, the safety of the blood supply and the
13 patients we ultimately serve must be our number-one policy.
14 Second, it is a public-health issue not a social-policy
15 issues. Their worst-case estimates of the impact of
16 modifying the MSM deferral criterion to a five-year period.
17 At a previous workshop, the CDC suggested, and Dr. Dayton
18 reiterated here today, that approximately 60,000 previously
19 deferred individuals might donate blood annually.

20 From that particular pool, about 1,200 units HIV-
21 positive blood would enter the system for testing each year
22 on a CDC-based estimate of HIV prevalence of 2 percent,
23 which we just went over, in this population. We cannot
24 change our procedures in a way that would result in
25 increased numbers of infectious donations in our blood

1 supply.

2 The FDA, the AABB and America's Blood Centers and
3 the American Red Cross have, together, invested hundreds of
4 millions of dollars and immense effort in reducing the risk
5 to the nation's blood supply. We have created one of the
6 safest blood supplies in the world. Introducing,
7 theoretically, over 1,000 HIV-positive units into the system
8 prior to testing would be expected to raise risk even
9 considering our layers of safety from the blood-donor-record
10 questions to the current tests that screen HIV-positive
11 units out of the blood supply.

12 Modifying the MSM deferral criterion to five years
13 would result in a small, but measurable, increase in the
14 possibility that infectious blood might be released. If the
15 Public Health Service could assure us that introducing
16 previously deferred donors into the pool could be
17 accommodated with out increasing the risk, the American Red
18 Cross would support appropriate action to do so.

19 The worst-case scenarios that were presented here
20 by Dr. Dayton would give back the realized gains by NAT in
21 the last year. The American Red Cross supports the current
22 FDA and AABB comprehensive donor-questionnaire review.
23 There are no current comprehensive studies related to
24 modifying these questions.

25 We believe that each of the 37 questions that

1 relate to donor deferral should be systematically addressed
2 regarding timeliness, relevance and, in relation to the
3 current science and whether these questions properly
4 complement each other based on our current biobehavioral
5 research.

6 We urge the Blood Products Advisory Committee to
7 advance the behavioral, medical and technical research to
8 appropriately evaluate all the questions asked of potential
9 blood donors. We urge the FDA to fully license NAT testing
10 as another means to reduce risk to our patients.

11 Until data are available to show that changing the
12 MSM deferral criterion will not elevate the risk to the
13 nation's blood supply, we cannot support this change.

14 Thank you for the opportunity to provide the views
15 of the American Red Cross on this public-health issue.

16 DR. HOLLINGER: Thank you.

17 Any questions of Dr. Haley?

18 DR. SMITH: My question to the American Red Cross
19 is, in terms of the questionnaire, would you expand the
20 questionnaire to include other risk behaviors that are
21 outside the MSM or the gay community, which is what our
22 point is? Like risks should be treated alike, and, at this
23 time, they are not.

24 DR. HALEY: Our questions for deferral are based
25 on data that were presented to the FDA by the CDC. The

1 practices that you are talking about and the population
2 studies have not come up with an increased risk to the
3 extent that this risk behavior does.

4 So if the CDC were to come to the FDA and say, "I
5 have a new practice and it is obvious that this is a high-
6 risk practice, we need a question," we would be the first to
7 accept that.

8 DR. HOLLINGER: Dr. Epstein?

9 DR. EPSTEIN: I appreciate being recognized. I
10 just wanted to respond to Dr. Smith. The FDA is certainly
11 willing and interested in improving the safety of the blood
12 supply by expanding deferrals as applicable for heterosexual
13 risk. We simply think that it is two different issues. If
14 we can make progress with respect to MSM risk, we will. And
15 if we can make progress with respect to non-MSM risk, we
16 will. So that is point one.

17 Second, on point two, treating comparable risks
18 comparably, I certainly agree with that. However, there may
19 be a subtle misunderstanding that comparable behaviors
20 always connote comparable risks. For example, we know very
21 well that the multiple-partner risk among heterosexuals is
22 quite different than the multiple-partner risk among gay men
23 for the very simple reason that gay men are at a much, much
24 higher HIV prevalence.

25 So, whereas I agree with the principle, we have to

1 be a little bit careful not to equate the concept of
2 comparable risk with comparable behavior.

3 DR. HOLLINGER: Thank you.

4 Dr. Nelson?

5 DR. NELSON: I think that the one figure you cited
6 would disturb me, too, if there were an additional 1,200
7 HIV-positive prevalent cases introduced. But I think that
8 figure was a derivative--I am not sure that estimate is
9 correct or even near correct.

10 Basically, I think, and Dr. Dayton can correct me,
11 it was based upon the 8 percent prevalence of men who have
12 sex with men 75 percent of whom know their status, 25
13 percent of whom don't. But it wasn't based upon a man who
14 has not had sex with a man in the last five years, but prior
15 to that, as to what their prevalence would be.

16 I think that figure is not known. I think it is
17 likely that it is much less than the overall prevalence,
18 maybe far less. Am I misinterpreting Dr. Dayton's model?

19 DR. HALEY: Our concern is we don't know that. I
20 think if you figured this back over time, and Dr. Dayton can
21 comment on this, you would find the place where the risk
22 went to baseline that we have in every donor. At that
23 point, we are ready.

24 DR. DAYTON: You are correct in how we came up
25 with that number. We didn't find data on prevalence rates

1 on five-year abstention MSMs so we just used the best data
2 we could find. So you are absolutely right.

3 DR. HALEY: The other thing is we do have a
4 penetrance of 5 percent in a lot of our communities that are
5 giving blood. I know we are not New York City but we have
6 some other places that do, actually, have that high a
7 penetrance of donors.

8 DR. NELSON: Prevalence?

9 DR. HOLLINGER: Could you respond again to that?

10 DR. HALEY: I said the penetrance of donors into
11 the available population, not prevalence. I am saying we
12 have 5 percent of some communities who give blood.
13 Penetrance is what we call that--of blood donation into the
14 community of available donors. I'm sorry. I introduced a
15 new term.

16 DR. HOLLINGER: Dr. Busch?

17 DR. BUSCH: I think it was useful that you
18 summarized the numbers because I think I, personally, got
19 lost in the earlier presentation in terms of how we end up
20 with that incremental risk of potentially 1 to 2 additional
21 infections. It does factor, from the 60,000 potential new
22 donors who will be eligible who had remote male-male sex
23 times the 2 percent which gives you that potential 1,200
24 incremental HIV-positive donations.

25 Then that gets multiplied by this potential error

1 rate, particularly driven from hospital exposure which,
2 theoretically, according to the analysis, results in one to
3 two additional releasable units that would add to what we
4 are saying, or only about ten currently per year, our
5 current risk.

6 So this worst-case scenario, again from one
7 perspective, could argue that we would increase risk by 10
8 or 20 percent. I would agree that is almost comparable to
9 what we have achieved through adding HIV NAT. But I really,
10 personally, do not believe that we will see anything close
11 to a more than doubling of our HIV-positive rate were we to
12 relax this criterion.

13 I think these estimates are very conservative and,
14 applying a 2 percent rate to the people who will become
15 eligible, I think, 60,000 additional eligible people is an
16 over estimate, et cetera.

17 But your proposal that says wait until we have the
18 data, how do we get the data until we can do studies in the
19 context of revised questionnaires? A proposal that says
20 wait until there is data to address these things is
21 basically saying don't address changing them ever because,
22 until we have a mechanism to implement studies--the
23 measurement is right there.

24 We implement it and if we don't see a significant
25 increment in HIV prevalence rate in our donors, then it says

1 that there 1,200 estimate was just completely off the wall.

2 DR. NELSON: I would suggest that if--and I am not
3 sure whether the committee will vote to change it, but if
4 there are any changes in the donor questionnaire, that there
5 really be a very active effort to look at the prevalence.
6 If there is a significant increase, that could be detected
7 in probably a couple of months, that level of change.

8 I suspect that there won't be, but that is just
9 kind of a phase IV--it could almost be clinical trial,
10 although you can't do a clinical trial to find a negative
11 result. So we would have to change and see what happens, I
12 suspect. Ethically, it would be tough to sell this.

13 DR. HOLLINGER: Dr. Dayton?

14 DR. DAYTON: In theory, we could, if somebody had
15 the money, came up with the funding. You could support a
16 population survey to actually survey MSMs and determine
17 their MSM behavior, which is no small feat. The numbers we
18 are dealing with, you probably could get some numbers, and
19 whether that 2 percent, or 8 percent reduced to 2 percent,
20 is realistic or not.

21 So I think that actually is an addressable
22 problem, not today, obviously. But, given the daunting
23 nature of a lot of the problems we face, I think that is one
24 of the easier problems to solve if there were funding.

25 DR. HOLLINGER: Thank you, Dr. Haley.

1 after not being able to help their peers for so many years
2 being estimated at only the background rate for the general
3 population, just to name a few, would be a considerable
4 problem.

5 And there are those who would give blood
6 regardless of what the questionnaire asks them. There is
7 deliberate response to the questions wrongly by lots of
8 populations, but those infected, in many ways. We find that
9 tagging and presenting data on those who turn out to have an
10 MSM background who are caught only by virtue of an HIV-
11 positive test underestimates the percentage of people who do
12 that because of all the HIV-negative ones that got through.

13 So, pointing to response to the questionnaire in
14 one case but not clearly looking at some of the data on
15 questionnaire responses, even now that we have got the U.K.
16 deferral question, now that we have the other questions
17 dealing with long-term abstention, seems to be a missing and
18 necessary part of this review.

19 We are very pleased to see the GLMA here to
20 present some of the perspective from a scientific standpoint
21 from the experience of the community and we wish there was
22 more of the questionnaire research community presented here,
23 too. We are also kind of stunned by the lack of confidence
24 intervals, even though multiplying them does greatly
25 increase the variability of the estimates. That has to be

1 done anyway.

2 As I said, tossing out the number 11 million and
3 saying maybe--let's just say that the percent coming forward
4 is the same, the percent abstaining for five years is the
5 same, but what about the number coming forward not being
6 62,000 but 162,000. The HIV rates therein could be all over
7 the map.

8 Now, we are concerned about identifying the group,
9 not the behavior. Anyone, male or female, engaged in risk
10 behavior should be deferred appropriately. We would refer
11 you to Ms. Smith's suggestions as to how to do that. A
12 sexual history is really what you want rather than, "Do you
13 self-identify with a certain behavior?"

14 Anybody who has worked with Hispanics knows that
15 identification of MSM behavior is very difficult. Other
16 methods are needed rather than just tagging with a label.

17 Supply; to back up a little bit, the point of this
18 exercise is to increase the supply. Supply can be increased
19 in many other ways and much further than by admitting
20 potentially high-risk groups. High-risk donors may carry
21 other risks not yet identified. We need data on cumulative
22 exposure to pathogens. We have concerns about what was
23 presented this afternoon about HHV-8.

24 In general, we would encourage the committee to
25 proceed very carefully with this. We note that the question

1 is worded, "Should the FDA consider." We have no quarrel
2 with that. Consideration is actually what this needs, a
3 much brighter spotlight on the question.

4 Thank you.

5 DR. HOLLINGER: Thank you. Any questions to Mr.
6 Cavanaugh? Celso? I didn't have you on here, but that is
7 all right. You can get up and speak if you want. You
8 should have been on here. I just didn't have it before me
9 here. Sorry about that. Dr. Bianco for the America's Blood
10 Centers.

11 **Presentation**

12 DR. BIANCO: I exist. America's Blood Centers, or
13 ABC, is an association of 75 not-for-profit community-based
14 blood centers that collect nearly half of the U.S. blood
15 supply from volunteer blood donors. We thank FDA, CBER, for
16 the opportunity to make public comments before the Blood
17 Products Advisory Committee.

18 ABC members urge FDA to modify the current
19 lifetime deferral of men who have sex with men since 1977
20 from donating blood. We believe science supports limiting
21 deferral to a year to make it consistent with other
22 deferrals related to risk behavior; for example, sexual
23 contact with a prostitute.

24 ABC members have expressed this position before
25 BPAC and at FDA-sponsored workshops. The lifetime MSM was

1 introduced in the early '80's when little was known about
2 the epidemiology of HIV and the screening assays required
3 further refinement. ABC members are comfortable with this
4 recommendation because the last 17 years of donor health
5 history and fifteen years of laboratory screening have seen
6 major changes.

7 Donors receive clear and unambiguous information
8 about risk behavior, HIV transmission and why they would not
9 donate to obtain an HIV test results. Individuals with one
10 HIV-positive test in their lifetimes are permanently
11 deferred. Medical history became more rigorous with direct
12 questions about risk behaviors, venereal diseases, contact
13 with individuals with infectious diseases, use of I.V.
14 drugs, and so on.

15 More important, significant technological
16 developments led to a reduction of the window period for HIV
17 assays from an average of 56 days at the beginning of HIV
18 testing to an average of eleven days with the introduction
19 of NAT. There is evidence from animal models that this
20 window is even shorter, and we heard this from Dr. Busch
21 today.

22 ABC members are concerned about the unintended
23 consequences of the current deferral criteria for MSM,
24 lifetime deferral for a man who had sex with another man
25 once, even once, since 1977. The question focuses attention

1 on events that took place more than twenty years ago instead
2 of events that occurred within the currently known window
3 period of days or weeks.

4 Donors may repress or deny these old events. The
5 question is inconsistent with similar risk behaviors that
6 are deferred for one year. The logic of the current
7 deferral is difficult to justify because risk of exposure to
8 HIV and other infectious diseases is associated with
9 behavior, not sexual preference.

10 Individuals who respond affirmatively to risk-
11 behavior questions use confidential unit exclusion, use
12 post-donation callback, and they do not present additional
13 risk to the blood supply because they are deferred,
14 permanently or temporarily. I didn't put in the statement,
15 those who do not reveal risk during medical history and have
16 risk will not change regardless of the changes that we make
17 in questionnaires or in what we do.

18 Obviously, individuals who indicate that they had
19 a positive HIV test in the past are deferred permanently.
20 Actually, this assumption that has been discussed and the
21 1,200 HIV-positive individuals that could potentially donate
22 is an assumption that is made assuming that 100 percent of
23 those individuals will come and they will pass through
24 medical history, they will pass through the entire screening
25 system, which many of them do not pass today.

1 So I, again, do not believe that this number--I
2 believe that the number is at least an order of magnitude
3 smaller than that. Medical history can be improved in order
4 to become more effective. We are happy to note that an AABB
5 task force with participation of ABC, FDA, ARC and other
6 organizations is addressing this issue.

7 In our opinion, the change in deferral will not
8 increase risk. Thus, we request that FDA modify the current
9 lifetime deferral of males who have had sex with males since
10 1977 from donating blood to a one-year deferral.

11 Thank you.

12 DR. HOLLINGER: Thank you, Celso. Any questions
13 for Dr. Bianco?

14 We have one final person who asked to speak. It
15 is not specifically this topic, but it was earlier today and
16 he was promised that he would be given a position. So we
17 are going to do that. Derrick Robertson for the Hemophilia
18 Treatment Center.

19 **Presentation**

20 MR. ROBERTSON: Good afternoon, Mr. Chairman and
21 members of the committee. Thank you very much for allowing
22 me this time to present to you. I am especially
23 appreciative since this is somewhat off the topic which is
24 under consideration currently.

25 My name is Derrick Robertson and I represent

at

1 fifteen hemophilia treatment centers across the country. We
2 are particularly concerned about the current supply
3 situation or recombinant Factor VIII products. This
4 situation is reaching critical levels as we speak with
5 centers not being able to get product as they would normally
6 be able to.

7 We have centers that are down to four vials for
8 recombinant product and they are having to take steps to
9 switch patients from recombinant product back to plasma-
10 derived products. We have centers that are changing
11 treatment protocols and this is very concerning.

12 There are a number of events that have taken place
13 over the past few months which we feel has contributed to
14 this situation. Genetics Institute, who recently got a
15 product licensed, Refracto, by the FDA has decided that they
16 will not be able to bring that product to the U.S. market
17 until sometime in 2001. Baxter Hyland Immuno has had
18 difficulties in getting a new suite license and that has
19 limited their supply.

20 Bayer has decided to launch its newly approved
21 product through a direct marketing program and we are not
22 sure exactly what the availability of the Aventis product is
23 going to be in the coming weeks.

24 We are particularly concerned with the Bayer
25 direct program which is going to be launched because we feel

1 that that will exacerbate the supply situation in two major
2 ways. The program, as it has been outlined, intends to
3 allocate to specific patients specific amounts of product to
4 the exclusion of those that are not able to enroll due to
5 the limited availability that Bayer may have.

6 This we feel might create a situation where
7 product is allocated to one particular patient where there
8 is another patient who would need product but is not able to
9 get it. The second way we feel this will adversely affect
10 supply relates to the inpatient market. Bayer direct, as
11 proposed, does not intend to sell to hospitals and we feel
12 that that is going to put an added burden on the other
13 manufacturers to make sure that large hospitals have a
14 supply of clotting factor.

15 As you are aware, in the treatment of hemophilia,
16 it is extremely important to treat the bleeds as quickly as
17 possible. We do not want to have a situation where patients
18 end up in an emergency room or in a hospital and are not
19 able to get immediate treatment because they do not have
20 product.

21 While it might seem that the hospitals may have
22 other alternatives to go to, over the years, the market for
23 recombinant product has always fluctuated. One thing that
24 has become clear is that we need all the manufacturers to be
25 participating in the marketplace so that there is some

1 amount of product available for everybody.

2 We are concerned that if one manufacturer is not
3 doing its part to be a part of the supply, that that will
4 adversely affect the situation. We are concerned about what
5 will happen if this does continue in this way. We are
6 concerned that patients will be forced to go back to plasma-
7 derived products which will go against a 1995 recommendation
8 by the National Hemophilia Foundation's Medical and
9 Scientific Advisory Council which recommended recombinant
10 treatment, a recommendation which was endorsed by the Public
11 Health Safety Blood Safety and Availability Committee.

12 We are asking that this committee look at this
13 issue to make sure that if, indeed, there is a shortage,
14 that this is a real shortage and that there is not product
15 out there in the distribution channels that are somehow not
16 getting to the patients or, if the product is tied up with
17 the manufacturers, that the FDA work with these
18 manufacturers to expedite this product getting into the
19 marketplace.

20 I think another critical thing for the FDA to look
21 at and understand is the decision-making process that goes
22 into deciding how much product is coming into the U.S.
23 market vis-a-vis the international marketplace.
24 Understanding that will probably give a better determination
25 and an understanding of how much product will be available

1 and when it is available.

2 We do not want to go back to a situation--even
3 though the plasma-derived products have had an excellent
4 record over the past many years, I think it is of concern,
5 especially to the new patients, not to have to go back to a
6 plasma-derived product.

7 Again, I thank you for your time and I am
8 extremely appreciative for giving me this opportunity to
9 present our position. Thank you very much.

10 DR. HOLLINGER: Thank you, Derrick.

11 We are going to move back to the topic at hand.
12 Before we close the open public hearing, is there someone
13 else that would like to say a word? Yes? Please give your
14 name.

15 **Presentation**

16 DR. JACKMAN: My name is Dennis Jackman. I am the
17 Executive Director for the Plasma Protein Therapeutics
18 Association for North America. I wanted to take a moment
19 here to address the questions about recombinant supply,
20 Factor VIII supply.

21 First, I would like to state that the industry is
22 working diligently to meet the needs of consumers and
23 medical professionals recombinant factor VIII. We are
24 concerned about making sure there is adequate supply to meet
25 those needs.

1 We want to state that, over the longer-term
2 period, supply has remained relatively steady or, in some
3 cases, improved in certain months with periodic variation.
4 We do recognize there is some variation right now during
5 this period and we also recognize that demand is increasing.
6 I actually have a chart that could be shown on an overhead,
7 if we had a moment, that would show the supply for the last
8 eighteen months. But, in any case, it shows a relatively
9 steady situation and we know that, right now, we have a
10 tightening situation as well.

11 But investments have resulted in recent increased
12 capacity and new formulations. First of all, Baxter's
13 Thousand Oaks facility was approved earlier. That increased
14 their capacity by up to 40 percent. That helped the supply
15 situation. Bayer's new formulation was approved as well--
16 that is Cogenate FS. That has increased the overall supply
17 of factor in the U.S. market as well.

18 Baxter is working diligently to try to get
19 approval of a new suite which will also increase the supply
20 of recombinant factor VIII. All those things combined
21 ultimately will have a result of improving the supply
22 situation and ameliorating the situation over the long term.

23 We also cannot forget that we do have high-quality
24 plasma-derived products available as well for those patients
25 who want to utilize them.

1 Thank you very much.

2 DR. HOLLINGER: Thank you.

3 If there are no other comments on the MSM topic,
4 then we are going to close the open public hearings for
5 right now and open this up to the committee discussion.

6 **Committee Discussion and Recommendations**

7 DR. HOLLINGER: Who would like to start out? Dr.
8 Linden?

9 DR. LINDEN: A couple of things. One is that in
10 the model that Dr. Dayton presented, it seemed like the vast
11 majority of the risk was attributed to post-analytical or
12 release errors. One way to potentially get around that
13 would be if people could basically get pre-screened before
14 the donation so you would have two tests rather than one.

15 I was wondering if Drs. Bianco, Haley and Katz
16 could, perhaps, comment on the idea of pre-screening and
17 whether that is anything that could be feasible.

18 DR. HOLLINGER: Yes; I think these are important
19 questions. The issue would be of asking a question to
20 individuals, have you ever had sex with another male ever---
21 one of the "ever" questions--and then go on from there about
22 some timing if you want, but the question is, if they have,
23 and they fit into that time span, if there is a time span
24 that is chosen, then one issue would be to screen them and
25 then have them come back at another time if they are

1 negative for donation, similar to what, perhaps, is done in
2 the plasma industry and other things.

3 So would somebody like to take on this issue
4 because it could be an important issue.

5 DR. KATZ: We could talk about this for hours.
6 The source-plasma industry has done this as part of their
7 quality plasma program in a certain sense with a 60-day hold
8 to make sure that people come back, a high percentage then
9 been retested before anything is released.

10 Whole-blood collection these days is done in some
11 fixed sites but lots and lots on mobiles, at corporations
12 and elsewhere. So the logistics of a separate visit to give
13 us a sample, be tested and then, at subsequent visits, at
14 whatever intervals, which may be as long as six months or a
15 year, have always been considered daunting and it has not
16 met with great favor.

17 To say it is impossible I think would be wrong,
18 but I do not think it would positively impact the adequacy
19 of the blood supply to essentially not draw donors at their
20 first presentation.

21 DR. HOLLINGER: Dr. Haley?

22 DR. HALEY: I think this has both merit and
23 difficulty attached. This might be the form of the study
24 that we keep talking about. When we keep batting back and
25 forth, well, is this the rate, isn't this the rate, that

1 would certainly answer the question.

2 The other objection to singling out and
3 identifying these donors as labeled or different might,
4 certainly, be valid if we had a group of donors that we drew
5 and a group of donors that we took a tube on in a mobile
6 unit.

7 Again, with the right kind of situation, we might
8 be able to overcome that. I think that our electronic
9 control of donor records may be sufficient that we could
10 probably handle, with some planning and some timing, being
11 able to test a sample and then be able to mark a record as
12 "MSM tested, okay."

13 It is certainly an interesting thought.

14 DR. BOYLE: Dr. Linden, I think that concept has
15 been raised on a couple of occasions but I just want to
16 remind you that approximately 85 percent of the blood donors
17 are repeat donors so they are in that process. They have
18 been screened before. They come back. Actually, one of the
19 efforts that we have in medical history is not to torture
20 them again with all these sets of questions in the same way
21 that we do today every time they come back.

22 The second thing is, in terms of logistics, those
23 donors donate approximately 1.5 to 2 times a year, on
24 average, so that makes it a logistic problem. So we have
25 about 15 percent of the donors that are first-time donors

1 that we try to attract into the donor base with the intent
2 of replacing the donors in the pipeline that will get old,
3 sick or will abandon the idea of donating.

4 So, essentially, the vast majority of the blood
5 donors are repeat donors. They are continuously screened.
6 I think that is the essence of the safety. Actually, Dr.
7 Dayton, maybe we could divide these by 6 again because only
8 15 percent of what is added every year are first-time
9 donors.

10 DR. NELSON: But, Celso, isn't it true that if you
11 change the criteria for people who didn't meet the criteria
12 before, they will all be first-time donors the first time
13 they donate.

14 DR. BIANCO: Yes, but the number of first-time
15 donors will increase from 15 to 17 percent or 18 percent.
16 But, overall, the change in the donor base is going to be
17 small.

18 DR. NELSON: No; but I think it is this population
19 that we are concerned about; right? The 60,000 are the ones
20 we are concerned about.

21 DR. BIANCO: No; it is the 1,200.

22 DR. NELSON: Yes; it is the proportion of the--

23 DR. BIANCO: That is correct. That would come in
24 the first year. That would be part of the first-time
25 donors. But they would be diluted again in the overall.

1 DR. HOLLINGER: Dr. Smith, would you like to
2 respond to that, about how you think the gay or lesbian
3 community would--is she here still? I guess she is not
4 here.

5 Dr. Linden?

6 DR. LINDEN: On a different issue, the issue that
7 Dr. Nelson raised about the prevalence in the people, men
8 who haven't had sex with another man in five years versus
9 the overall population, could somebody from FDA or CDC
10 comment? My recollection is that, at the CDC meeting a few
11 months ago, there were data presented on remote risks and my
12 recollection is it was still quite high.

13 Was that consistent with the figures that you
14 used, Dr. Dayton, in your model or am I completely
15 misremembering that meeting?

16 DR. DAYTON: Maybe somebody else went to that
17 meeting because I was not there. Anybody else from FDA?

18 DR. LINDEN: Dr. McCurdy agrees with my
19 recollection.

20 DR. SIMON: I don't have the exact numbers, but I
21 think they were pushed, the CDC speakers were pushed, if
22 there was a group with remote risk that had lower
23 prevalence. I think the issue was they didn't have such a
24 group, they had no group in their studies of MSMs that had
25 the kind of extremely low prevalence, but they didn't have a

1 sizeable group, as I recall, that was remote enough, I
2 think, to answer this question.

3 DR. NELSON: I think this group with the remote--
4 sex with another man--can be very heterogeneous. Two sort
5 of outlyers, if you will, were, a), an adolescent or young
6 adult who is experimenting and does not continue having sex
7 with me. Another type of population would be one--a person
8 who is in prison or is an all male situation where sex
9 occurs. I would suspect that the risk would be different in
10 those two.

11 DR. HOLLINGER: Dr. Epstein?

12 DR. EPSTEIN: I can't recall specifically those
13 data from the Atlanta meeting, but we do know that persons
14 with remote risk of intravenous-drug abuse have a markedly
15 higher prevalence of certain markers including hepatitis C
16 than persons negative for that history.

17 So there is every reason to believe that, for
18 chronic blood-borne infections, remote risks do matter.

19 DR. NELSON: But I am not sure about the
20 adolescent. I think that is a different situation.

21 DR. HOLLINGER: Dr. Chamberland?

22 DR. CHAMBERLAND: Mine was more of an overarching
23 comment following Dr. Linden's query about trying to, I
24 guess, sort of evaluate certain parameters in the model that
25 FDA has developed. Again, certainly with deference to the

1 statistical consultants that sit on the committee, if FDA
2 wants to continue using this model as a framework for the
3 discussion, and I think that is a very valid thing to do--I
4 am not being critical of using a modeling approach, but my
5 sense is that what you can do in these sensitivity analyses
6 that have been put forward is that you can actually use them
7 to assist you in determining which factors in the model
8 exert the most influence on outcome.

9 If you could pull out of the model--I think, right
10 now, there is a lot of confusion--not confusion, but people
11 are bringing up a lot of questions about either the
12 derivation of these estimates, their reliability, et cetera.
13 So if you could do a sensitivity analysis of each of these
14 factors in the model and maybe, as I said, it could point
15 you in the direction as to what is influencing this risk
16 estimate the most, maybe that at least could pare down
17 whatever studies or research that you might need to pursue
18 to get a better handle on it.

19 It has been presented as a large black box, but
20 maybe it is actually just a couple of parameters that are
21 really going to drive this.

22 I will, as I said, defer to the statisticians to
23 help me clarify that, perhaps, a little bit better.

24 DR. MCGEE: Personally, I think the whole analysis
25 is driven by two numbers, the 1.4 million--this is my

1 intuition and you can disagree if you like--and the other is
2 this assumption of prevalence and then the proportion who
3 self-defer. So they decreased from an 8 percent prevalence
4 to a 2 percent who would actually go in and donate.

5 You can see immediately that if it were really
6 three-quarters who came in, you would multiply the risk
7 estimates by 3. So I think those two numbers need to be
8 pinned down.

9 DR. HOLLINGER: Dr. Simon?

10 DR. SIMON: I will just try to say a couple of
11 words to kind of bring together the industry point of view.
12 I guess, as you have gathered, the blood bankers are divided
13 between the position brought to us by the American Red Cross
14 of not changing and sticking with a deferral of anyone since
15 1977 and the other group has suggested the one year which
16 gets to the like risk being treated like, and that is the
17 12-month period to cover all your various windows with the
18 testing.

19 I think the FDA has tried to come in between those
20 two, and I would actually speak for that approach, or at
21 least for the approach of the question, because Dr. Epstein,
22 I think eloquently, spoke to this issue at the CDC
23 conference about the differences between incidence risks and
24 prevalence risks and the concern about drawing from a high
25 prevalence population.

1 So, from that point of view, one would want to go
2 beyond the twelve months which we apply for the incidence
3 risks to something that would also pick up the prevalence.
4 I think the five years is a reasonably good compromise that
5 I think, intuitively, should protect us safetywise.

6 From the point of view of the plasma industry, I
7 think there is less feeling that this is going to make a
8 significant difference in our number of donors. But I
9 believe the plasma industry would go along with the change
10 to five years. Of course, as has been pointed out with the
11 applicant donor program and the inventory hold, we have
12 dealt with almost all of the potential release problems, so
13 that issue doesn't figure in in terms of test error. I
14 think one can be more reliant on the test results to pick up
15 any window case.

16 So I think if you put the whole thing together,
17 and I know there has been a lot of talk about Dr. Dayton's
18 model--I accept that it is flawed, but I think the problem
19 is it is hard to get much better in terms of numbers or in
20 terms of data that can support a position. I think, given
21 all the data presented by Dr. Busch, I think the model,
22 taking some conservative estimates, the weight of all the
23 information that we have indicates that safety will not be
24 significantly impaired at all by going to the five year.

25 I would speak for that as I think a reasonable

1 approach to the issue. I think it would achieve the
2 concordance between the tissue regs and the blood
3 regulations which I think would be very useful, to have a
4 single standard.

5 I think that it preserves safety and moves us
6 along in the right direction and, perhaps, some small number
7 of individuals who do not present a risk would now be able
8 to donate and contribute.

9 DR. HOLLINGER: I would like, for just a minute,
10 if I could, get a feeling from the committee at this stage
11 so I see sort of where we are a little bit. I am not going
12 to shut off discussion but I would just like to know. I
13 would like to know how many on the committee here, at least
14 at this point, are in favor of a change in the current--I am
15 not going to deal with five years, four years, three years,
16 two years, one year and so on or anything like this, but how
17 many people here on the committee so far feel that there
18 should be a change in the question from having sex even once
19 back through 1997.

20 I would like to see how many are in favor of at
21 least making a change in that question. If you would just
22 give me a feel.

23 [Show of hands.]

24 DR. HOLLINGER: Okay. So a fair number. It looks
25 like the majority are. I wanted to start with that because,

1 if so, then--I don't want us to get bogged down in maybe
2 five years or three years or one year as the question. The
3 question states one thing, but it looks like the majority of
4 the committee are certainly willing to have this question
5 changed.

6 Now I would like to sort of open it up either way,
7 with comments for and against, but other things, too. So I
8 am going to start with Paul McCurdy and then come back here
9 with John.

10 DR. McCURDY: When this issue came before the
11 committee in 1997, I was in favor of making a change,
12 thinking that either two or five years might be quite
13 acceptable and would not result in an issue.

14 In the meantime, however, the HHV-8 situation has
15 come to the fore and it is my belief, at this point, that
16 the HIV question and all the modeling that has been done on
17 that is basically irrelevant, that the issue now is HHV-8.
18 As Dr. Pellett said at the close of his presentation, we
19 just don't know about that.

20 There have been a couple of statements made, one
21 of which is that the absence of evidence is not evidence for
22 absence. I think the data that do not indicate transmission
23 are really relatively few on which to base a decision.

24 I think that another situation, my recollection is
25 that the approach that he took to whether or not HHV-8 could

1 be transmitted by blood carried about the same amount of
2 evidence that rang very, very similar to what was presented
3 in 1982 and 1983 about what turned out to be HIV infection
4 and blood transfusion.

5 Finally, I think HHV-8 poses the greatest risk for
6 immunocompromised patients. I think it is worthwhile
7 considering that there is a rather small class of patients
8 that are routinely immunocompromised and for whom an
9 infection with HHV-8 could be devastating, and that is the
10 premature infant who receives, often, a number of donor
11 exposures as a result of various different testing
12 procedures and their immature hemopoietic system as well.

13 So I am opposed, at the present time, to any
14 change until we have settled, or at least gathered
15 considerably more evidence about what HHV-8, what the risk
16 and what the situation is with that.

17 DR. HOLLINGER: Once again, along these same
18 lines, Paul, again, and perhaps, Mike, you could again help
19 me with this, the highest prevalence is in what groups,
20 again, so far--I know there is a lot of data that still
21 needs to be done, but, so far, where does the highest
22 prevalence seem to be located?

23 I know you talked about injection-drug users and
24 so on, but--

25 DR. BUSCH: HHV-8 seroprevalence rates run around

1 20 to 30 percent in most studies of gay men with much higher
2 rates in HIV-infected gay men. I think a couple of points;
3 one is, as Phil pointed out, it is the HIV-infected gay men
4 in whom people can detect viremia. You need to have
5 immunosuppression as well as HIV, HHV-8, infection not only
6 to manifest disease but to have viremia.

7 So, at least in the studies that have been done,
8 you can't detect circulating virus in HHV-8 seropositive gay
9 men who are not HIV infected. I disagree with Paul. I
10 think HHV-8 is a very--one, I think the evidence is pretty
11 compelling that it is not transfusion-transmitted from the
12 epidemiology that, at a time when there was high prevalence
13 not only of HIV but HHV-8 in the donor pool, there were high
14 rates of HIV transmission to hemophiliacs and to recipients,
15 and yet there is no KS.

16 There is no HHV-8 infection in these individuals
17 who acquired HIV from transfusion. I think that broader
18 epidemiology is much more powerful than the limited number
19 of direct transmission cases that have been studies which
20 only number about 30. So I think the epidemiology is, to
21 me, strongly against transfusion transmission of HHV-8.

22 In addition, the storage effect is a major impact
23 on non-transmission. Again, the attributable risk of male-
24 male donor pool changes--if there is HHV-8 in the blood
25 supply and 1 percent of our donors are infected, we would be

1 screening the blood supply for HHV-8.

2 The contribution of male-male sex-donor prevalence
3 is small because they represent a small fraction of the
4 donor pool, if there is a problem which, personally, I don't
5 think there is.

6 DR. HOLLINGER: Mike, you are saying that it
7 appears to be mostly in the PBMCs or PBSS; is that correct?

8 DR. BUSCH: It is a b-lymphocyte-associated virus.

9 DR. HOLLINGER: Would leukoreduction make a
10 difference?

11 DR. BUSCH: Yes; it certainly would. If there is
12 a transmission effect, it would make a difference. Again,
13 only a small fraction of HHV-8 seropositive people are
14 circulating virus and those tend to be immunosuppressed HIV-
15 infected people.

16 DR. McCURDY: The only thing is that HIV is
17 transmitted by virtually any blood component and any of the
18 derivatives that was not virally inactivated whereas HHV-8
19 would probably be transmitted only by white-cell-bearing
20 components and those that are relatively fresh, primarily,
21 perhaps, platelets, for example, which are fairly fresh and
22 are not inactivated, or they do have white cells.

23 DR. HOLLINGER: Do we know if there is any in
24 platelets? I hadn't heard anything that says that HHV-8 is
25 found in platelets.

1 DR. McCURDY: It might be found in the peripheral
2 blood mononuclear cells that are in platelets. There are
3 fewer in the current platelet concentrates, particularly
4 those that are obtained by pheresis but, on the other hand,
5 I think they are there.

6 DR. HOLLINGER: Dr. Boyle?

7 DR. BOYLE: Thank you. Let me tell you what
8 concerns me, and I have no objection to changes in the
9 questionnaire that would make it better. This morning, we
10 heard a presentation where we were presented a lot of
11 information which made a fairly easy choice about changes in
12 the safety system. In that case, it was the testing
13 standards.

14 This afternoon, we are presented with another
15 change and another aspect of the safety system with donor--
16 in this case, it is donor screening--and, although there was
17 quantification of what could be quantified, the core
18 assumptions were just that; assumptions. There was really
19 no evidence.

20 So I was a little disturbed about being asked to
21 choose between something that we could say with a fair bit
22 of assurity was between the size of a bread box and a barn.
23 But that doesn't bother me as much as the two pieces which
24 is if we are starting to move down the road towards non-
25 evidence-based or differential-evidence-based decisions on

1 things like donor screening, and I hear presentations that
2 some people in the industry would like to move to a one-year
3 standard and there is a lot of research literature that says
4 past-year prevalence of risky behavior is way under
5 reported, that I get very, very concerned that we will be
6 deciding that issue down the line without the evidence put
7 forward.

8 Moreover, the statements that I hear that we don't
9 have this information, we couldn't get this information--I'm
10 sorry; it is a lot cheaper and easier to test reliability
11 and validity of survey instruments than it is to do a lot of
12 these biological markers that you are dealing with.

13 I am just concerned where we are going. So, in
14 the beginning, until we got to a certain point, I was
15 probably just going to abstain for lack of information.
16 Because I don't think there is enough information to make a
17 decision at this point in time because what I am concerned
18 with as a direction, I will oppose this.

19 DR. HOLLINGER: Thank you, John.

20 Dr. Chamberland?

21 DR. CHAMBERLAND: A couple of things. When you
22 asked us if we were open to considering changing the MSM
23 deferral question, I did indicate yes because I am open to
24 that. However, just a couple of thoughts about the
25 information and what we know to push us in one direction or

1 another that we have at the moment.

2 I will reiterate that I think, because FDA has
3 chosen to use a model-based approach for the committee to
4 consider, that I, for one, would like to see the model
5 expanded or amplified in some of the ways that the other
6 committee members have spoken to and to see if there can be
7 any more statistical rigor or robustness brought to the
8 model, or at least point us in a direction, as I said, of
9 what factors seem to be influencing it because I do think
10 that people are sort of responding to this, your bottom
11 line, which is this very, very low, one or less than one,
12 additional unit or donation that would enter the supply
13 annually.

14 So I think it would be actually a more fair
15 estimate if we had at least some range of what that might be
16 and the parameters around that.

17 Be that as it may, I think all of us that have
18 heard the data this morning about the nucleic-acid-testing
19 data I think have to have a lot of confidence that, with the
20 advances in testing that we have seen, that contributions to
21 safety have been tremendous in that my gut tells me that,
22 even if this deferral period was going to be changed in some
23 way, it would not really--the testing is that good that we
24 probably are going to cap the incidence-prevalence arguments
25 that have been put forward could probably be adequately

1 addressed.

2 An additional concern that I have, though, is one
3 that Paul McCurdy started to articulate. I use HHV-8 sort
4 of as an example or a bookmark or a flag, whatever you want
5 to call it. My personal feeling is that it is uncertain if
6 HHV-8 is transmitted in the blood supply based on what we
7 know.

8 I think it is possible. I do, though, agree with
9 Mike Busch that I can't believe that it is happening in any
10 large extent, that it is something that we would have picked
11 up by this time. But that doesn't preclude that it may
12 happen occasionally, and I can't quantify that in any way.

13 But I think, clearly, there are more studies that
14 can be done. I think HHV-8 is just kind of a reminder out
15 there that certain risk behaviors would not--changing the
16 deferral to a floating five-year exclusion would not
17 necessarily protect the supply, the blood supply, from other
18 infectious agents that we know about, don't know about.

19 Most of our discussion today is focussed on those
20 that we know about and have really good tests to detect. It
21 is a bit of a gamble because you don't know which population
22 is going to be affected by the next emerging agent. It
23 could be, as it was, pig farmers in Malaysia that
24 experienced significant morbidity and mortality or other
25 agents, so it is a bit of a crap shoot.

1 In totally agree with the other comments. It is
2 very crude to say it is the MSM population. We all know it
3 is a subset of the MSM population as it is a subset of
4 heterosexuals, et cetera.

5 Unfortunately, the questionnaire that we have been
6 using is just very crude at getting at that. I do think it
7 is amenable to study but I think, John, do not--I don't
8 think that this task force that had been put together, which
9 is very good--it doesn't have resources and tools to set up
10 the very careful studies that would be needed to be done if
11 you wanted to evaluate different ways to ask a question or
12 do population-based surveys of MSMs and find out additional
13 information.

14 I am open to changing the question, but I,
15 personally, don't have all the information at hand to do it.

16 DR. HOLLINGER: Dr. McGee?

17 DR. MCGEE: I just wanted to say that my
18 indication that I wouldn't vote was not that I wasn't
19 interested in changing the question but that nobody has
20 offered me a set of criteria that I could use to judge how
21 to make that decision.

22 If I just accept the FDA, we get a 20 percent
23 increase in the risk. I think somebody threw out that
24 number. That is a sizeable increase in risk for a minor
25 increase in the donor pool. At any rate, nobody has offered

1 me yet what I would consider a criteria that I could base
2 judgement on.

3 DR. HOLLINGER: Dr. Mitchell?

4 DR. MITCHELL: I think I approach this from, well,
5 several points of view. But the main thing is that the
6 current criteria of having MSM contact since 1977, on its
7 face, seems very discriminatory and it seems very arbitrary.
8 And so I feel very strongly that it needs to be changed.

9 Now, the question is what does it need to be
10 changed to? I think that a lot of the things that we were
11 presented today were, again, very, very conservative, very,
12 very high estimates of risk. Again, we know that in the
13 overall blood-donation population, that the risk is much
14 lower than the overall population for a number of different
15 conditions.

16 I would expect that it would also be the same for
17 the MSM population. We know that, again, if somebody is not
18 practicing male sex on a regular basis, or in five years, or
19 have been abstinent--anybody who has been abstinent for a
20 number of years is going to have lower risk than the general
21 population of sexually active people.

22 So I think that, even using the 2 percent estimate
23 is much, much too great. But the question is what should
24 the number be, and I certainly don't have that number. I
25 think it would be difficult to get that number.

1 Part of what I am basing this on is also the 1997
2 presentation and other presentations from the REDS study. I
3 wish that we had had more data on the population from the
4 REDS study. As I remember, the population that did donate,
5 in fact, were people who did not consider themselves to be
6 gay or bisexual. Oftentimes, if they were men, they were
7 married and there were a number of women.

8 So I think that if you change this, you are not
9 going to get the higher-risk population. I think that a
10 one-year time period is a reasonable time period and I think
11 that that will turn out to be a reasonable time period.

12 I don't think that we have had the evidence to
13 support that--I don't know that it has been specific enough,
14 the things that were presented today, to make that
15 determination. But I think that a two- to five-year--to me,
16 the weight of the evidence is clear enough that a two- to
17 five-year deferral would be reasonable.

18 DR. HOLLINGER: Ms. Knowles?

19 MS. KNOWLES: I support the change, but I think
20 this goes hand-in-hand with updating the panel questionnaire
21 and really focusing in on behavior irregardless of sexual
22 orientation because of exactly what you just said, Mark. I
23 mean, there are people who are engaging in high-risk
24 behaviors who may not self-identify as being MSM.

25 DR. HOLLINGER: I need to just take a moment.

1 Colonel Fitzpatrick also sent something, a comment, and he
2 asked if we would read it into the record and I need to do
3 that. So if you will bear with me while I read his
4 comments, I would appreciate it.

5 This is on this issue here and I presume, if he
6 were here, he would have said this very thing. It says,
7 "While the statistical data supports reduction, I am
8 concerned about the logic for requesting it. One of the
9 reasons offered by the FDA is to bring deferrals for semen,
10 tissue and blood donors into agreement. There are a number
11 of other deferrals for high-risk behavior. I.V. drug use
12 even once; that is a permanent deferral. Sharing needles
13 even once, such as for steroids, which is not a high-risk
14 population; permanent deferral.

15 "Yet we only ask about the following behaviors for
16 the past twelve months. 'In the past twelve months, have
17 you received blood, blood products or a tissue transplant
18 including any you may have donated for yourself?' like an
19 autologous. 'In the past twelve months, have you had a
20 tatoo, ear or skin piercing or acupuncture?' 'In the past
21 twelve months have you had an accidental needle stick or
22 come in contact with someone else's blood?'

23 "In the oral questions, we ask about sex with a
24 prostitute in the past twelve months, but also ask, 'Have
25 you ever taken money or drugs for sex?' It seems to me that

1 we have either deferred permanently or for one year for
2 high-risk behavior. MSM is a known high-risk behavior and,
3 while there those donors who have changed their lifestyle,
4 they are relative few and the REDS data confirms these
5 donors are not always truthful and some still donate to get
6 tested.

7 "Will the next step be accepting a male in a
8 monogamous relationship for the past five years with another
9 HIV-negative male? Changing the deferral to five years,
10 based on the statistical model provided, may seem like a
11 reasonable risk but, to me, it says we should change all the
12 other deferrals from one year to five years.

13 "I would like to see the same model run on each
14 high-risk behavior so that we can determine the relative
15 risk of each high-risk behavior before making a
16 recommendation. The FDA has used theoretical models to
17 eliminate donors who spend six months or more in the U.K.
18 when there has never yet been a recorded transmission of
19 mvCJD through human transfusion.

20 "This seemed to be a political and scientific
21 response to an unknown problem that could not be easily
22 quantified. Reducing the MSM deferral to five years has a
23 real increase in risk, albeit small. These two policies
24 seem contradictory. We are to accept a theoretical model
25 which indicates the real risk of accepting one additional