

1 reference to 092, 095. Based on the primary measure
2 of efficacy, is there clinically significant
3 improvement of acute symptomatic heartburn in either
4 the ten or 20 milligram omeprazole groups compared to
5 placebo? The options are yes, no, and abstain.

6 All who feel the answer is yes to that
7 question, please raise your hand.

8 (No response.)

9 CHAIRMAN BRASS: All those who feel the
10 answer is no to that questions, please raise your
11 hand.

12 (Show of hands.)

13 CHAIRMAN BRASS: All abstentions, please
14 raise your hand.

15 (Show of hands.)

16 DR. TITUS: Okay. We have zero for yes,
17 12 noes and one abstention.

18 CHAIRMAN BRASS: Can we see the --

19 DR. STEINBERG: But I would like to add
20 that that's not the only question that should have
21 been asked for these studies.

22 CHAIRMAN BRASS: I understand, and
23 hopefully the discussion will have brought out the
24 information on those other points that are not
25 ignored, and I agree they are important.

1 Do you want to count again.

2 DR. TITUS: I think the count must be,
3 unless someone on the committee wants to correct me,
4 one abstention and 13 noes. Anybody want to correct
5 me? Okay. I have to get a count of 14.

6 CHAIRMAN BRASS: Okay. Second question:
7 in studies 005 and 006 the primary endpoint for
8 efficacy was the percentage of subjects heartburn free
9 over the entire four-hour period after a provocative
10 meal. Based on the primary measure of efficacy, is
11 there a clinically significant improvement of
12 heartburn symptoms in either the ten or 20 milligram
13 omeprazole groups compared to placebo?

14 Then we will also specifically discuss are
15 the analyses of the prespecified secondary endpoints
16 supportive of the primary study outcome. Do they add
17 information regarding clinically significant treatment
18 effects?

19 Yes, Doctor.

20 DR. UDEN: The product now that we're
21 going to be approving is a ten milligram product. I
22 am confused why we would be voting on the 20 milligram
23 dose.

24 CHAIRMAN BRASS: I think that to the
25 degree it helps both the sponsor and the agency in

1 future decision making, I think it would be useful
2 information unless the agency would like to withdraw
3 that question.

4 DR. DeLAP: No, I think we're interested
5 in it. The question as it's phrase, I think, refers
6 to both doses, and you can tell us what you think.

7 DR. UDEN: So are we going to be splitting
8 our votes up?

9 CHAIRMAN BRASS: If the discussion so
10 dictates, I am more than happy to split votes.

11 Dr. Geller?

12 DR. GELLER: The second study seems to
13 show a significant difference and the first did not,
14 and I'm kind of curious about the difference between
15 the patients in the first and second study, say, with
16 respect to the frequency of heartburn during the run-
17 in period.

18 CHAIRMAN BRASS: Could you be more
19 specific between first and second? Do you mean --

20 DR. GELLER: I presume 05 preceded 06.

21 DR. ZORICH: They were run concurrently,
22 and just for the matter of convenience designated with
23 two different numbers, and we have asked ourselves
24 that question, of course, and cannot account for why
25 there's a difference in the placebo.

1 The difference in those two studies is not
2 the treatment effect. It's the placebo groups, and we
3 have not been able to account for why there's a
4 difference in placebo response.

5 CHAIRMAN BRASS: Other observations about
6 these two studies?

7 DR. GELLER: Could I get an answer to the
8 question about the number of days of heartburn?

9 DR. ZORICH: Yes, that's what I was trying
10 to answer. We have specifically looked for
11 parameters, and that being one of them. We've looked
12 at every parameter we had available to us to try to
13 account for was there some disbalance, unbalance, and
14 had not found any.

15 CHAIRMAN BRASS: Yes, Dr. Elashoff.

16 DR. ELASHOFF: Well, I would argue that
17 whether significant or not, the actual observed
18 differences are five percent and four percent in one
19 study and nine percent and eight percent in the other
20 study, and if we assume that the truth is somewhere in
21 between, we're talking about maybe a six percent or
22 seven percent difference, which I don't think I would
23 regard as clinically significant even were they both
24 statistically significant, which they are not.

25 DR. STEINBERG: Unless you were one of

1 those seven percent that got relief.

2 (Laughter.)

3 CHAIRMAN BRASS: Dr. Sachs.

4 DR. SACHS: Again, the issue is this is
5 day one initial treatment, and it's very clear from
6 any comparative study against any other form of
7 heartburn or GERD treatment that the incidence
8 improves day two, day three.

9 In terms of patients who can't go see
10 doctors, the 20 milligrams if that becomes available
11 OTC will be the only effective medication for those
12 people in terms of treating any form of GERD relative
13 to H2 RAs. And it's not the first day. It's also the
14 continuation of treatment that really makes the
15 difference.

16 So the six or nine percent that Elashoff
17 sees the first day translates to 20 or 30 percent by
18 third day.

19 CHAIRMAN BRASS: Let me try once more to
20 try to justify why these differentiations are being
21 made explicit in the context of the questions and in
22 the context of understanding the studies.

23 Ultimately if this product is to be
24 approved for over-the-counter use, it will have to
25 have a label indication that will tell a consumer

1 realistically when they can take it and what they
2 should expect to have happen and how likely it is to
3 have happen almost in fact.

4 And to the degree that a comparison to the
5 other labeled products for the same type of relief of
6 heartburn have taught consumers an expectation that
7 when they have heartburn they can take the medication
8 and get relief, if that is not the case for this
9 medication, then we have to both understand that and
10 deal with that in terms of ultimately what the
11 instructions to the consumer might look like.

12 Dr. Cohen.

13 DR. COHEN: The provocative meal is
14 equivalent to a stress test, and the stress test here
15 is to provoke heartburn in a high percentage of
16 patients, which it's done.

17 The very low placebo response would
18 indicate that it was a very, very aggressive stimulus
19 and that the drug at this dose was not adequate to
20 overcome that, but as Dr. Sachs said, perhaps if the
21 patient was treated longer, it probably would have
22 prevented it.

23 But what we were given here with this very
24 low placebo response or very provocative meal, it
25 didn't have a clinically effective response.

1 CHAIRMAN BRASS: Dr. Johnson.

2 DR. JOHNSON: I guess I am having a little
3 trouble following some of the arguments from the other
4 side of the table because, as I understand it, this
5 isn't something that is going to be recommended to be
6 taken day after day after day. So I accept that maybe
7 on third day they would have good benefit, but that's
8 not how we're asking these people to use this drug.
9 So I'm not quite sure what the relevance to continuous
10 use is in the over-the-counter setting because we
11 don't want them to use it continuously, unless I've
12 missed something and it is supposed to be used
13 continuously.

14 DR. COHEN: That was just an editorial
15 comment. It was a negative study.

16 CHAIRMAN BRASS: Dr. Robinson.

17 DR. ROBINSON: Obviously we're sort of
18 doing this in a peculiar order because it seems to me
19 that the bottom line, of course, is what kind of label
20 if this product were to be approved will it have, and
21 it's quite likely, it seems to me, knowing what we do
22 about the pharmacology of omeprazole, that any label
23 that made any sense for this product is not going to
24 be for single, one time use.

25 So if we are looking at the question of

1 should it be approved for single, one time use, the
2 answer does appear to be no.

3 CHAIRMAN BRASS: Yes, Dr. Geller.

4 DR. GELLER: Once again, I need to remind
5 the people on the other side of the table that the
6 trial --

7 (Laughter.)

8 DR. GELLER: -- the trial was designed as
9 a single dose, meal induced heartburn trial. We
10 didn't tell them what to feed them. We didn't tell
11 them what does to use. These are decisions they made.

12 And, of course, if they had had a winner,
13 if this had been overwhelmingly successful in both
14 trials, then we would not be having this discussion,
15 of course.

16 But we only can evaluate what we're
17 presented with, and I think the rest is extrapolation
18 beyond the data.

19 CHAIRMAN BRASS: With that I'm going to
20 call that part of Question B. So specifically for
21 study 005 and 006, is there clinically significant
22 improvement of heartburn symptoms in either the ten or
23 20 milligram omeprazole groups compared to placebo?

24 All who feel the answer to that question
25 is yes, please raise your hand.

1 (Show of hands.)

2 CHAIRMAN BRASS: All who feel the answer
3 is no, please raise your hand.

4 (Show of hands.)

5 CHAIRMAN BRASS: Abstentions, please raise
6 your hand.

7 (No response.)

8 DR. TITUS: Thirteen noes, one yes, zero
9 abstentions.

10 CHAIRMAN BRASS: We would like to now have
11 some discussion on the secondary endpoints that were
12 used in 005 and 006. Are the analyses of the
13 prespecified secondary endpoints supportive of the
14 primary study outcome? Do they add information?

15 And, again, here we would like to get
16 insight into what can be learned from those
17 assessments that were made that might be useful in the
18 context of the therapeutic use of this in the OTC
19 setting.

20 Dr. Johnson.

21 DR. JOHNSON: I have a question that I've
22 been sort of waiting, and it looks like this might be
23 a good time to ask the company, and that is the
24 decision to go from 20 to ten, and I understand
25 there's this sort of historical perspective, but,

1 again, if you look down the list, if I'm looking at
2 the right Table 5.9, clear down the list there's
3 efficacy at 20, and there's clear down the list,
4 except for one or two exceptions, not efficacy at ten.

5 So I'm curious if you can tell us a little
6 bit about that decision apparently fairly late in the
7 game.

8 DR. ZORICH: Okay. Thank you.

9 It is a unique opportunity to come in
10 after many precedents have been set. For instance, I
11 think the last vote just set a very interesting
12 precedent because when you look, in fact, at the meal
13 induced study for H2 RAs, and Dr. D'Agostino who has
14 lived through those can help me remember, because my
15 recollection is that, in fact, for the H2 RA meal
16 induced trials these differences have never been
17 overwhelmingly clinically kind of knock your socks
18 off.

19 So I think what we are working with is
20 really looking at the over-the-counter appropriateness
21 for drugs for heartburn within the context of
22 everything that has come before, and in that context,
23 it seems to me that if you look at the considerations
24 that were given to the H2 RAs, you'll see very similar
25 kinds of discussions about efficacy vis-a-vis dose,

1 and sometimes there were dose effects; sometimes there
2 were not.

3 And at the end of the day it all came down
4 to what is the most appropriate, lowest effective dose
5 that you would put into an OTC environment, and so we
6 felt, as you said, that if you just look at the
7 numbers in the absolute, 20 often is numerically
8 superior, but then when you start really to ask a more
9 comprehensive question, as but does that mean that ten
10 wouldn't be an appropriate dose, we found ourselves
11 unable to give an affirmative answer.

12 And so in keeping that many of these same
13 questions had been asked and wrestled with I'd say
14 sincerely and still remembered five years later, that
15 in that context ten was an appropriate dose for
16 serious consideration, and we thought it had to be,
17 and in consultation with the agency, we thought we
18 really had to talk about both.

19 And so I think that's what we're doing
20 today.

21 CHAIRMAN BRASS: I would just like to
22 comment about the dose issue because I think it's one
23 that has a lot of impact in how we think about these
24 issues.

25 From our perspective, the rationale for

1 picking the minimally effective dose for an OTC
2 preparation is in the context of dose related
3 toxicity, and to balance the risk to benefit into the
4 OTC setting, and that if presented with a product that
5 had little or no dose related toxicity, in fact, the
6 interest would be in maximizing efficacy, not
7 minimizing efficacy, and so that the concept that we
8 always need to have the lowest dose that bumps at all
9 may be doing everybody a disservice, including you by
10 making it more difficult to demonstrate statistically
11 significant effects.

12 So I think that is a blanket concept, is
13 not one I am comfortable saying is a biblical law, and
14 I don't know if I've just committed a sin.

15 (Laughter.)

16 DR. DeLAP: No, we are very interested
17 that consumers get benefits from products, and I think
18 you don't want to focus on a low dose unless there's
19 really a good reason for doing that. I mean, there's
20 no reason for someone to get 20 if ten does the job,
21 but on the other hand, if 20 looks better and there's
22 not some real big safety issue that makes it clear
23 that that's not a good idea, then I think we have to
24 look seriously at the 20.

25 CHAIRMAN BRASS: Dr. D'Agostino.

1 DR. D'AGOSTINO: I don't recall the exact
2 numbers of the previous products and so forth, but one
3 of the things that we did and did quite consistently
4 is before we talked about clinical significance, we
5 had to assure ourselves that there was statistical
6 significance, and also you've separated nicely the
7 primary from the secondary. There was lots of
8 multiple testing, and so I think the answer to the
9 first question, the first part of this question has a
10 lot to do with the fact that differences are small
11 clinically, but they're also carrying with them some
12 statistical insignificance, and from that point of
13 view we'd have to say that the difference could
14 possibly be zero.

15 So I think that we are being consistent,
16 and I do think that there were larger differences,
17 but, again, they were statistically significant. Then
18 the question became how big must the difference be.

19 CHAIRMAN BRASS: Dr. Johnson.

20 DR. JOHNSON: I agree with Dr. Brass'
21 comments about dose, and I'm just wondering. I really
22 haven't heard anything about dose related toxicities.
23 I mean this is really a very unique compound in terms
24 of its kinetic dynamic relationship. Can you tell us
25 more about --

1 CHAIRMAN BRASS: Can we save that for the
2 safety questions?

3 DR. JOHNSON: Oh, sure.

4 CHAIRMAN BRASS: Thank you.

5 Dr. George Sachs.

6 DR. GEORGE SACHS: Yeah, I absolutely
7 agree with what Dr. Brass said. This type of drug
8 when it came on to market, the issue was 20 versus 40.
9 At that time there were safety issues. Every
10 subsequent drug has been introduced -- annexium
11 (phonetic) that just had its approvable letter is
12 either 30 or 40 milligrams. Ten milligrams in study
13 after study when it was being put on the market, you
14 know, not as a prescription drug showed very, very
15 little, if any, benefit over OTC Zantec. I think it
16 would be a mistake for the consumer to be offered ten
17 milligrams when 20 milligrams is clearly to me the
18 minimal effective dose for treatment of GERD.

19 CHAIRMAN BRASS: Dr. Waldum.

20 DR. WALDUM: I would support George Sachs
21 and that comment because I think that --

22 DR. GEORGE SACHS: No, no, no, please.

23 (Laughter.)

24 DR. WALDUM: A large proportion of
25 patients do not get any effect at all on ten

1 milligrams. I think the company should come up with
2 that because I think more than 50 percent do not have
3 any inhibition of gastric acid secretion at all
4 because you have such a steep concentration response
5 curve for omeprazole. It's a drawback because you
6 have this virtually none or complete inhibition of
7 gastric acid secretion, but ten milligram, many
8 patients without an effect at all.

9 CHAIRMAN BRASS: And again, you want to
10 remember this is in the context of looking at those
11 secondary endpoints in these trials which had
12 suggested that relationship which Dr. Johnson pointed
13 out.

14 Yes.

15 DR. ROBINSON: Just to remind people here,
16 actually our data which came to the agency for
17 omeprazole at the time that omeprazole got its
18 original approval showed that ten and 20 milligrams
19 were, in fact, different, and the problem was that
20 although ten milligrams did work, it didn't work in as
21 large a number of people. That is, each time you
22 elevate the dose, you decrease the number of people
23 that failed to respond, and we showed, and that was
24 one of the reasons that 20 milligrams was chosen, that
25 20 milligrams was clearly pharmacologically superior

1 to ten milligrams. So I would agree that if I were
2 the company I'd pick the 20 milligram dose as well.

3 CHAIRMAN BRASS: Other discussion of the
4 secondary endpoints that were used.

5 Dr. Geller.

6 DR. GELLER: I would fee much better able
7 to assess the differences shown on page 52 of the
8 white book if I had actual P values, not just that the
9 P values were less than .05.

10 CHAIRMAN BRASS: Do we have those
11 available?

12 PARTICIPANT: We have a subset of it.

13 CHAIRMAN BRASS: Well, I guess any actual
14 P values would be helpful. Is that fair?

15 DR. ZORICH: Then I would say study 006
16 then would -- an overall assessment even at the .025.

17 CHAIRMAN BRASS: I'm sorry. Table 5.9 on
18 page 52 has a number of endpoints which are not on it.

19 DR. ZORICH: Right, and the only ones I
20 have here are the last two in that list of five.

21 CHAIRMAN BRASS: Okay.

22 DR. ZORICH: Overall and back-up, and
23 study 006, even with the consideration as you said of
24 a more stringent P value, both doses, and 005 only 20
25 milligrams.

1 This is overall assessment. This is a
2 secondary variable. You asked about it. It's the
3 fifth. ON page 52, it's the bottom.

4 CHAIRMAN BRASS: Dr. D'Agostino.

5 DR. D'AGOSTINO: I agree 100 percent with
6 the notion of the P value and the size, but there is
7 a consistency, and I think it should get into the
8 transcript that there is a consistency across the
9 secondary endpoints, which is quite pleasant to see
10 and so forth, and I don't think that it -- it doesn't
11 support -- I think the way the question is worded it's
12 kind of hard to answer. We said we don't buy the
13 primary and now we're supporting something that we
14 don't buy, but I do think the secondary showed that
15 something is going on with the drug, and maybe it's a
16 bad design. Maybe the requirements of having the
17 relief within an hour and sustained for two hours, all
18 of those things are working against the drug and the
19 study, but I do think that we can't ignore the
20 consistency of these secondary endpoints.

21 CHAIRMAN BRASS: And just for the record,
22 that is a consistency towards drug benefit.

23 DR. D'AGOSTINO: Towards drug benefit,
24 yes.

25 CHAIRMAN BRASS: Other comments about the

1 secondary endpoints? Yes, Dr. Johnson.

2 DR. JOHNSON: Well, my only comment would
3 be that the consistency of benefit is only at 20
4 milligrams.

5 DR. GELLER: There's no question about
6 that.

7 CHAIRMAN BRASS: Okay. I don't think we
8 need to take a vote. I think the discussion on that
9 point addressed the issues.

10 We now move to studies 171 and 182. The
11 primary endpoint revocacy was the complete prevention
12 of heartburn between the first two doses of therapy.
13 Based on the primary measure of efficacy, is there a
14 clinically significant improvement of heartburn
15 symptoms in either the ten or 20 milligram omeprazole
16 groups compared to placebo?

17 (Pause in proceedings.)

18 CHAIRMAN BRASS: Thank you, Dr.
19 D'Agostino.

20 DR. D'AGOSTINO: Nobody wants to say it.
21 The primary endpoint is quite significant,
22 and I think clinically significant.

23 CHAIRMAN BRASS: Would somebody like to --
24 since this is looking at the first episode, would we
25 infer something about the pharmacology or biology

1 here, why this one was positive compared to the
2 previous negative results? Is this expected,
3 unexpected, logical, illogical?

4 Dr. Neill.

5 DR. NEILL: My understanding is that this
6 is daily dose not in response to meal; take it first
7 thing in the morning. You've had it on board for
8 several hours, and certainly if the assessment is 24
9 hours in, then that's for reasons that have already
10 been explained -- do I have that wrong?

11 DR. ZORICH: The assessment is whenever
12 heartburn occurred, covering a full 24-hour period
13 with a specific assessment of nocturnal. So any
14 heartburn that is occurring is collected in real time,
15 and then of course, within 24 hours there is a final
16 assessment, and there was also an assessment of the
17 nighttime experience.

18 So there actually is ongoing assessments
19 throughout the full period. So, for instance, there
20 was time to heartburn, and it was on a continuum, not
21 just a static assessment at the end of 24 hours.

22 DR. NEILL: So thanks for clarifying that.

23 So my presumption, and maybe you could
24 confirm this -- I think you did earlier -- is that the
25 majority of the benefit that's seen within that first

1 24 hours, given the studies that we've already seen,
2 does not come from the first four hours.

3 DR. GEORGE SACHS: Sorry. Can I ask for
4 clarification as well?

5 DR. ZORICH: I would like to suggest that
6 the only thing that we can say with clarity, and I
7 think, Dr. D'Agostino, I would ask you to see whether
8 or not you see this fits any kind of test of
9 reasonableness. Since we agree that at 20 milligrams
10 there was something in that previous one hour before
11 the provocative meal study and ten -- I think we had
12 debate, but at least in one study there was
13 significance at one hour before a meal. Then I would
14 say that if the drug was taken prior to an hour, I
15 think that that's about the most I think we can limit.

16 So I don't think we could say three hours,
17 four hours. It seems to me that one hour is just
18 about where you're on the edge.

19 DR. D'AGOSTINO: I'm going to throw that
20 over to the other side of the table and let them
21 answer it.

22 CHAIRMAN BRASS: Dr. Cohen?

23 DR. GEORGE SACHS: Can I get
24 clarification? With the previous studies, the patient
25 had heartburn and swallowed omeprazole.

1 DR. ZORICH: Not with the ones we just
2 talked about.

3 DR. GEORGE SACHS: No, no. I'm just
4 talking about the previous ones.

5 DR. ZORICH: Oh, nine, two and 095.

6 DR. GEORGE SACHS: Yes.

7 DR. ZORICH: Yes.

8 DR. GEORGE SACHS: Now, here I assume the
9 patient wakes up, takes his capsule, then has
10 breakfast, and then records his heartburn for the next
11 24 hours.

12 DR. ZORICH: They were instructed to take
13 it in the morning, yes.

14 DR. GEORGE SACHS: So your dosage system
15 is different.

16 DR. ZORICH: Yes.

17 DR. GEORGE SACHS: Here you're following
18 what we would prescribe omeprazole and how you take
19 it, half an hour before breakfast to give you the
20 maximal effect day one and then day two and day three.

21 Your previous studies sort of on the spot
22 swallowing omeprazole have a different design.

23 DR. ZORICH: Right.

24 DR. GEORGE SACHS: And your
25 pharmacokinetics of the drug as your acid is switching

1 on are completely different. So this is the way the
2 study should be done.

3 CHAIRMAN BRASS: Dr. Cohen.

4 DR. ZORICH: This study was done that way.

5 DR. COHEN: This is a very positive study,
6 and it mimics the way patients take the drug in real
7 life, and the ten milligram dose to my looking at this
8 is highly efficacious. It's the way patients would
9 take it.

10 The provocative meal, although very sexy,
11 is also very artificial, but this is the way patients
12 live, and they take the medication, and in this dose
13 range you also have an appropriate placebo response
14 which you would expect in the 30 percent range, not 60
15 or 70 percent.

16 So to me this is a very positive study and
17 a very real life circumstance as how a ten milligram
18 does would be taken.

19 CHAIRMAN BRASS: Was there a dose ranging
20 analysis, not necessarily statistical significance
21 between the doses, but just a dose ranging analysis
22 between -- a trend analysis -- in either 171, 183 or
23 in the combined population to look at whether there
24 was a dose response relationship?

25 DR. ZORICH: Could you please put up the

1 first day and be ready for 14 days?

2 We actually saw in both of these
3 studies -- in one study, if applying statistical test
4 -- and, of course, this is now after the fact. I
5 don't want to, you know, pretend it was planned in.
6 These were both separately compared to placebo, and
7 then after we had the results, we did see differences
8 in 171, but as you can see and not surprising, there
9 are not differences in 183.

10 Now, when you look across all 14 days,
11 what you see is in 171 there really are not
12 differences, and there's a suggestion of difference in
13 183.

14 So this is what I was talking about in
15 reference to wrestling with this question of dose. In
16 this design, ten and 20, you'd be hard pressed to say
17 definitively 20 was better.

18 CHAIRMAN BRASS: Well, I wouldn't be
19 surprised if a trend analysis, not a two arm
20 comparison, but really a three point trend analysis
21 would not have confirmed that there was some kind of
22 dose relationship across that range.

23 Ms. Cohen.

24 MS. COHEN: Being as we tend to eat all
25 kinds of foods that are bad for ourselves, did you

1 take the worst case scenario in foods and what people
2 might eat? They take the dosage, and then they eat
3 something that's pretty "gucky" and pretty bad. Did
4 you test it under the worst conditions, not under the
5 best, but under the worst?

6 DR. ZORICH: The previous studies 005 and
7 006, I think it was pointed out here that the low
8 placebo response rate -- these were a highly -- these
9 diets were really design to provoke reflux, and so,
10 yes, we did test it under high fat, other stimulants
11 of reflux, caffeine, and these people were living
12 freely in their homes. So we did do both, free
13 living, but as you know, abusing themselves
14 nonetheless, but just on their own time.

15 CHAIRMAN BRASS: Dr. Neill.

16 DR. NEILL: I'll preface my comments by
17 saying that I think that the answer to the question
18 is, yes, there's some efficacy shown here.

19 Having said that, I have to disagree that
20 this is how people take this medicine in real life.
21 This is how people take -- let me correct myself.
22 This is how physicians think patients take the
23 prescription medicine, but the studies of that show
24 that even for single dose medicines, patients at best
25 comply only 75 percent of the time, and when the

1 instruction is something like 30 minutes before a meal
2 and that meal is morning time, trying to keep people
3 from drinking their coffee, putting food in their
4 mouth for 30 minutes, and insuring that they get the
5 medicine beforehand doesn't happen.

6 Now, so as not to sound overly negative,
7 the nice thing about this medicine is once a patient
8 has been on it, it doesn't matter because the
9 pharmacodynamic effect will remain.

10 My concern is that in the OTC setting,
11 none of the current parameters apply. It's not
12 prescription. It's not continuing therapy. There are
13 others that we'll get to later.

14 And so I just felt the need not to let
15 stand the comment that this is a study of how people
16 take it now because I have no data to support that
17 assertion.

18 DR. ZORICH: Let me say though that I
19 think I would just like to make a correction, that I
20 think we're over applying instructions to the patients
21 that were, in fact, not applied. They were told to
22 take in the morning before breakfast, and if they
23 didn't eat breakfast or if it get late, just take it
24 before noon.

25 So, in fact, this is not a study that is

1 a strict clinical design, and I think we tried to make
2 it more naturalistic and take it in the morning, and
3 the reason we did that was not to try to force, again,
4 a situation where you're being very prescriptive, but
5 because we had built in -- and I didn't show you the
6 data -- but we specifically built in a nighttime
7 heartburn endpoint. We felt that we wanted to have
8 some idea that at least since noon people had taken
9 it. So I just want to make sure that we don't over
10 interpret the instructions to the patient.

11 CHAIRMAN BRASS: Dr. D'Agostino, you had
12 another question?

13 DR. D'AGOSTINO: I'm not sure that the
14 other side of the table answered the question you
15 addressed to me from what I heard them saying
16 previously, that the explanation you gave sounds
17 reasonable, and I gather that's also in agreement with
18 other people at the table.

19 CHAIRMAN BRASS: And that's what I assumed
20 by the discussion.

21 Dr. Johnson.

22 DR. JOHNSON: You can shut me off if you
23 want because this may not fit with this question, but
24 I guess I'd like to go back to --

25 (Laughter.)

1 DR. JOHNSON: -- slide ZC6 where it's sort
2 of the final proposed labeling slide.

3 DR. ZORICH: Yes.

4 DR. JOHNSON: Treatment of frequent
5 heartburn and prevention due to food and beverage.
6 When would they be taking these to accomplish the --
7 I'm very confused about how the product would be
8 taken.

9 DR. ZORICH: Well, to be honest, I mean,
10 there's no criticism here intended because truly what
11 we're asking for is, you know, something that hasn't
12 been done before, but when you think about it, the
13 whole -- I think the comment came over here -- is that
14 the meal induced model was that. It was a model, but
15 because it helped the consumer get clear direction on
16 when to take it in reference to what they predicted
17 would be a provocative meal, and, Dr. Neill, with due
18 respect, ever since '95, we've been treating
19 predictable heartburn because you have to predict that
20 a meal is going to be provocative.

21 And so prediction of heartburn is
22 operative, I would say, but so what we're saying is
23 that the data supports that as long as it's an hour
24 before, we're very clear that the pharmacodynamics of
25 this particular drug, you can't get real close to when

1 your heartburn is going to happen. You can't get
2 closer than an hour. That 005 and 006, I think,
3 showed us that no closer than an hour.

4 Backing up from an hour is a good idea.
5 So let's say that if you're a consumer who is not
6 going to have a provocative lunch but will have a
7 provocative dinner, then dosing in the afternoon is
8 okay, but dosing in the morning is okay.

9 It's unfortunate, and I'm sure that you
10 can understand from the side point that someone who
11 really thinks this is a benefit for a person, having
12 the luxury of knowing that today is going to be a
13 stressful day for a lot of reasons, it's a good thing
14 to be able to take it in the morning and have a 24-
15 hour prevention.

16 So what we would be telling the consumer
17 is to take it on the day provided it's at least one
18 hour before the meal that would provoke symptoms. So
19 it would have to be more than an hour removed.

20 But other than that, there really isn't
21 any reason to specify exactly when, and then if the
22 person who is the 18 percent of the people that Dr.
23 Castell showed to us with the weekly heartburn who's
24 currently right now taking the drugs approved for
25 episodic heartburn, it's just that those episodes

1 happen to be two or three times within a week. Our
2 data, we believe, shows that for that targeted
3 consumer, not the person taking Mylanta once every
4 three months; for the person who identifies with the
5 term -- and grant you, we have to test this for
6 clarity -- but for someone with frequent heartburn,
7 more than once a week, this person we believe is the
8 targeted population. They currently are taking anti-
9 secretory therapies now, and this would be the group
10 that we think would benefit from this product.

11 And in fact, perhaps our hope would be
12 that they would even have to take fewer products in
13 combination.

14 CHAIRMAN BRASS: I want to come back to
15 171, 183.

16 Dr. Geller.

17 DR. GELLER: I wanted to know what the
18 frequency of heartburn during the run-in period was in
19 these studies.

20 DR. ZORICH: One, seventy-one and 173?

21 DR. GELLER: Yes.

22 DR. ZORICH: Do we have a slide for that?

23 I think over 50 percent of these folks
24 were having heartburn at least half of the time, but
25 we probably have a number.

1 DR. GELLER: Then is it correct that this
2 line between GERD and heartburn is, again, blurred?

3 CHAIRMAN BRASS: Let's hold that question.
4 Let's hold that one. That one will come up later.

5 Dr. Cohen.

6 DR. COHEN: I'm not going to get a chance
7 to vote, but I want to say that this is a real life
8 indication, and I know there's some contention across
9 the table here, but I see patients, and this is the
10 way patients take a drug. They know when they're
11 going to get heartburn, and if you go out and you go
12 to Tuscany for a week or you go out for dinner and you
13 have a cigar and a couple of drinks, people can
14 predict this, and in real life circumstances, this is
15 a reasonable indication.

16 I don't think it's artificial at all. So
17 I think that -- and let me just make one other comment
18 -- I think it's better to prevent heartburn than to
19 treat established heartburn. Once you've had
20 heartburn, you've already had mucosal exposure and
21 possibly mucosal damage. This can prevent it. This
22 is a reasonable indication, in my opinion.

23 CHAIRMAN BRASS: Do you want to show
24 those? Do you have that data?

25 DR. ZORICH: Can we show that histogram?

1 I have something that I think -- no, that not the
2 right one. I've got the actual number of episodes.

3 CHAIRMAN BRASS: Well, if you can just --

4 DR. ZORICH: I'm sorry. I apologize
5 because I'm not being clear.

6 You know the one that plot 114, 092 and
7 095? Ah.

8 What I've plotted for you here, I think
9 this is separate from the studies because I'm only
10 plotting people on placebo, but it tells you about
11 that population of people that we recruited.

12 No, no. You had the right one in. Hello.
13 I was happy. I was fine.

14 (Laughter.)

15 DR. ZORICH: Okay. We'll start again.
16 What I'm plotting here are the percent of subjects,
17 and -- 092 --

18 DR. GELLER: This is 092 and 095, and I
19 guess in trying to understand why this trial was
20 successful and the success with the others is more
21 mixed, I was wondering about how much heartburn these
22 patients had compared to the other set.

23 DR. ZORICH: In general, it's a fair
24 statement that because of the way they were recruited,
25 they're all pretty comparable. There weren't any

1 particular studies where they were very severe and the
2 other ones were very mild. These, in general, were
3 tracking in about -- I think were they about 1.5?

4 DR. GELLER: That's the severity, which is
5 clear in the book, but the number of days of heartburn
6 in the run-in period isn't.

7 DR. ZORICH: Well, they would have had at
8 least two minimum to qualify.

9 DR. GELLER: That's part of your
10 eligibility criteria.

11 DR. ZORICH: Right.

12 DR. GELLER: I think it was far higher.

13 DR. ZORICH: It's okay. How about if I go
14 off line and I'll try to get that, and I'll come back?

15 DR. GILLIAM: If you look at Table 5.4, it
16 says that 70 percent -- heartburn frequency of days
17 during run-in, and it's running 73, 74 percent.

18 DR. GELLER: That's five days. Is that
19 what that means? Does that translate to five days out
20 of the seven days of run-in?

21 DR. ZORICH: Yes.

22 DR. GELLER: Thank you.

23 DR. ZORICH: That's right. We just
24 confirmed that, five days.

25 CHAIRMAN BRASS: Okay. I -- oh, Dr.

1 Cohen, did you want to make a last comment?

2 Okay. I'd like to call for the vote on
3 Question C(1). In studies 171 and 183, is there a
4 clinically significant improvement of heartburn
5 symptoms in either the ten or 20 omeprazole groups?

6 And I propose to keep them lumped, not
7 bother with separating dose. So I think we discussed
8 that issue. Okay?

9 All in favor of yes for that question,
10 please raise your hand.

11 (Show of hands.)

12 CHAIRMAN BRASS: Noes, please raise your
13 hand.

14 (No response.)

15 CHAIRMAN BRASS: Abstentions, please raise
16 your hand.

17 (No response.)

18 DR. TITUS: There were 14 yeses.

19 CHAIRMAN BRASS: We're now asked to
20 comment on the pre-specified secondary endpoints and
21 whether they are supportive of the primary study
22 outcome. Do they add information regarding clinically
23 significant treatment effect?

24 And I would just kind of ditto Dr.
25 D'Agostino's previous remarks that there does appear

1 to be consistency in favor of drug for those secondary
2 endpoints.

3 Would you like to add anything else?

4 DR. D'AGOSTINO: No, that's fine, but this
5 withdrawal, are we going to talk about that in this
6 context?

7 CHAIRMAN BRASS: Yes, please, go ahead.

8 DR. D'AGOSTINO: Well, I'd like some
9 discussion on what that actually means. The drug
10 obviously holds itself up for a few days, which is
11 interesting, and is there any implication in terms of
12 interpreting the study?

13 There were discussions this morning.
14 Should something get into the transcript on that?

15 CHAIRMAN BRASS: Do any of the -- yes, Dr.
16 Cohen.

17 DR. COHEN: I would just comment that I
18 think clinician knows that once you stop the drug, the
19 symptoms come back, and that was the whole rationale
20 for long-term maintenance trial, and anybody who looks
21 at the usage of the drug, it's chronic usage. So I
22 think that's one of the issues that comes up. They
23 feel so good that they don't want to stop.

24 CHAIRMAN BRASS: That point will become
25 very important when we talk about the labeling and the

1 long-term toxicity issues.

2 Dr. Sachs.

3 DR. HARI SACHS: I really was just going
4 to mention that, you know. It seems to me that
5 there's no question this will be used chronically
6 because we're talking prevention, and if you stop it,
7 you feel bad.

8 CHAIRMAN BRASS: Other comments on the
9 secondary endpoints for 171 or 183?

10 If not, we will move on to Question D.
11 Based on the types and frequency of adverse events
12 reported in the clinical trials and in the post
13 marketing adverse events database, are the safety
14 concerns for the OTC marketing of omeprazole able to
15 be addressed solely by labeling, identifying risks to
16 consumers for short-term or chronic intermittent use?

17 And let me editorialize. That question is
18 whether or not you believe it will actually be used
19 that way. So I want to focus the discussion on issues
20 related to short-term toxicity or intermittent use
21 that is repetitive short-term use, and I want it
22 answered that way because I want to separate the
23 issues without any short-term toxicity from the issues
24 with the longer term toxicity, and regardless of how
25 we think it may ultimately be used.

1 In answering this question, please
2 consider the reports of anaphylaxis, angioedema,
3 urticaria, liver toxicity, white blood cell disorders,
4 and severe skin reactions.

5 DR. SHAPIRO: Mr. Chairman, just on a
6 point of order, are you asking us first to confine the
7 discussion to short-term effects?

8 CHAIRMAN BRASS: Yes, short term as
9 defined with ten days of exposure.

10 DR. SHAPIRO: Okay, but you're talking
11 about effects within ten days of exposure.

12 CHAIRMAN BRASS: Or later effects that
13 would result from only ten days of exposure.

14 DR. SHAPIRO: Well, that could be things
15 like aplastic anemia and --

16 DR. GANLEY: Eric, let me just interject
17 here. I think that he's picked up on some of these
18 things. I may require longer use than ten days in,
19 for example, hepatitis. You know, I think there was
20 some discussion of when that occurred in the course of
21 chronic therapy. So if you take it in the context of
22 someone using it for short-term use or for this, you
23 know, chronic intermittent use, you know, there may be
24 differences in these various --

25 DR. SHAPIRO: Could I make a suggestion?

1 CHAIRMAN BRASS: Please.

2 DR. SHAPIRO: Could we consider short-term
3 effects to be things like nausea, vomiting, diarrhea,
4 and long-term effects to be cancers of various kinds,
5 agranularcytosis, toxic epidermal necrolyzers
6 (phonetic) and so on?

7 CHAIRMAN BRASS: I was hoping to avoid the
8 cancer issue until the next set of questions, but I'm
9 open to doing it either way, and I was trying to
10 simplify things because I was trying to avoid the
11 definition of intermittent in terms of -- because
12 intermittent use becomes chronic use.

13 Yes, you want to try and help?

14 DR. RACZKOWSKI: Yes, I think that the
15 focus of this question is really on some of this
16 uncommon, but some of the serious adverse events that
17 have been associated with omeprazole use. I think
18 both the company and the agency would agree that there
19 have been serious events associated with the drug, and
20 that they are uncommon.

21 But in a prescription setting, we've made
22 the determination that the benefits exceed the risks
23 because the potential benefits are greater, and so the
24 thrust of this question really focuses on serious
25 adverse events that are uncommon.

1 Are those acceptable in an OTC setting,
2 where the benefits may not be as great?

3 CHAIRMAN BRASS: Okay, but what I really
4 want to do is separate the issues that are defined in
5 Question E, for those of you who have read ahead, from
6 those that are going to be discussed now in the
7 context of Question D.

8 So whatever language is useful to separate
9 those two I accept immediately.

10 Yes, Dr. Shapiro.

11 DR. SHAPIRO: Thank you.

12 The specific effects that are referred to
13 here are anaphylaxis, angioedema, urticaria, liver
14 toxicity, white blood cell disorders, and severe skin
15 reactions. I think there are secure data concerning
16 anaphylaxis to indicate that, first of all, this is a
17 rare outcome; secondly, that its most common causes,
18 apart from bee stings and wasp stings, are actually
19 blood transfusions, plasma infusions, and the use of
20 radiopaque materials, and a few selected drugs, such
21 a penicillin.

22 Apart from that, the incidence of true
23 anaphylaxis is exceedingly low, and even if this
24 product were to increase the risk of anaphylaxis, the
25 incidence would still be low. If you take a very rare

1 disease and you multiply it by a relative risk of
2 three, it remains rare.

3 So I wouldn't have anxieties about
4 anaphylaxis even if there have been reports and even
5 if there have been reports of the occurrence of
6 anaphylaxis on rechallenge.

7 With regard to urticaria, there isn't a
8 drug on the market that doesn't cause urticaria,
9 either over the counter or a prescription, and if one
10 really wants to know whether urticaria or hives or
11 conditions of that kind are a problem, one would need
12 to do a randomized controlled trial and compare
13 incidence rates. Without doing that, we couldn't
14 answer the question.

15 But urticaria itself is not serious, and
16 if a patient experiences urticaria and if it recurs on
17 rechallenge, that patient can stop. So I don't think
18 that's major.

19 Major hepatic toxicity, liver failure,
20 which rather than transaminitis, I think, again is so
21 uncommon that whether or not there should be a causal
22 relationship, there would not be a public health
23 problem.

24 White blood cell disorders, if there's a
25 neutropenia, which is asymptomatic, it doesn't matter.

1 If there is agranularcytosis, this is an exceedingly
2 rare disease. If this drug were commonly causing
3 agranularcytosis, like some of the other agents on the
4 market, we would know about it.

5 And for toxic epidermal necrolysis and
6 Stevens-Johnson Syndrome, there are, again, acute
7 conditions. If they were a major public health
8 problem, we would know about that, too.

9 So what I take from all of this is what we
10 currently know is reassurance. If some public health
11 problem were to arise, there are already data banks in
12 which these questions can be adequately examined in
13 well formulated case controlled studies.

14 CHAIRMAN BRASS: Dr. George Sachs.

15 DR. GEORGE SACHS: I think the issues are
16 twofold. One, are the adverse events, the
17 idiosyncratic events under omeprazole more frequent
18 than placebo, or, secondly, are these adverse events
19 more frequent than any other controlled clinical
20 trial?

21 And I think careful surveillance on
22 omeprazole 12 years post launch worldwide, I don't
23 think anybody can point to any instance of where
24 omeprazole per se has resulted in adverse events more
25 frequent than those found certainly in the second

1 category in terms of any other drug trial that's going
2 on in this area or any other area.

3 So I don't believe there's any issue
4 whatever about the safety of omeprazole relative to
5 any other drugs, OTC or prescription.

6 CHAIRMAN BRASS: Ms. Cohen.

7 MS. COHEN: If you describe the
8 contraindications here, would consumers know what they
9 are, number one? And, number two, I wouldn't trust a
10 label till I saw it.

11 CHAIRMAN BRASS: We're not talking about
12 the label right now. Labeling will come up later.

13 Dr. Cantilena.

14 DR. CANTILENA: Yeah. I guess I would not
15 sort of share the opinions just expressed concerning
16 the toxicity to the liver. I think there seems to be,
17 albeit very small, but a clear signal, and I guess we
18 have some fairly well documented cases, and I was
19 going to just ask the FDA one follow-up question that
20 I didn't get a chance to ask this morning from the
21 safety presentation.

22 Your slide number 11 talked about some of
23 the cases were classified as Category A, which means
24 that you're fairly tight in terms of causality. In
25 looking at those reports, can you tell us in terms of,

1 you know, genetic, you know, polymorphism or anything
2 that might suspect, you know, mechanisms in terms of
3 the toxicity?

4 And as you're thinking about that, but if
5 you just look at that list, I mean, there's a
6 significant number of, you know, fatal cases and, you
7 know, nonfatal, serious, not just, you know, trivial,
8 and I guess I'm not as comfortable as you seem to be
9 in the issue of hepatic toxicity, especially for
10 treatment of, you know, a condition that is not, you
11 know, life threatening.

12 So just to sort of put that out there, but
13 I am extremely interested in finding out whether or
14 not we know more, you know, about these apparently
15 well documented cases.

16 DR. STEINBERG: If I'm looking at that
17 same slide you are, you said slide 11. You said there
18 are two cases of fatal that were A rating and two and
19 four of nonfatal. Are those the numbers that you're
20 talking about that I find disturbing?

21 DR. CANTILENA: Right. Overall, you know,
22 33 fatal, 227 nonfatal, serious. But I was just
23 asking if we knew more about the cases that had the A
24 rating.

25 CHAIRMAN BRASS: Yeah, let's let the FDA

1 response.

2 DR. CANTILENA: right.

3 DR. AVIGAN: The point I would make is
4 that the A cases generally have had the luxury of
5 rechallenge in some cases at least, two of the four
6 cases that were the nonfatal cases, with clear
7 association on rechallenge, and I think that you have
8 to understand that there is a limitation in this kind
9 of analysis because the information is given in a
10 voluntary way, and when you sort through the various
11 kinds of cases, the problem is not just the A cases.
12 It's the B, C, and D cases, where if you look at what
13 is given to you in the narrative, there are a number
14 of potential explanations which cannot be dissected
15 through because of limitation information. In fact,
16 there may be in the total aggregate more that in
17 reality or in truth are attributable.

18 So you could look at it both ways, and the
19 second point is that this is presumably still a very
20 rare event, but we don't know the true incidence
21 because this is voluntary.

22 DR. CANTILENA: So just for a specific, if
23 you look at that aggregate of serious and fatal, have
24 you examined in terms of, you know, race, if it's a
25 high, you know, percentage of like Asian, you know,

1 population that have that?

2 DR. AVIGAN: Yeah, these are idiosyncratic
3 reactions that are not predictable a priori by any
4 measure that we currently have.

5 CHAIRMAN BRASS: Dr. Shapiro.

6 DR. SHAPIRO: Even when a case occurs on
7 rechallenge, adverse reaction reporting systems do not
8 contain the requisite clinical information that you
9 really need to make a judgment about causality. You
10 want to know about exact timing. You want to know
11 about other drugs that were used. You want to know
12 about whether that person previously had
13 hepatotoxicity. You want to know whether the person
14 had Hepatitis B positivity or Hepatitis A or Hepatitis
15 C. Without that information, you can reach no
16 conclusions.

17 The other added difficulty here is that if
18 there are two patients who have hepatic failure, both
19 of whom die, one of whom was exposed to a drug and
20 another was not, the one that was exposed will be
21 reported to the Food and Drug Administration. The one
22 that was not exposed will not be reported.

23 The bias is 100 percent the data are not
24 interpretable.

25 CHAIRMAN BRASS: Dr. Waldum.

1 DR. WALDUM: I tried to raise an issue
2 previously today, but that is concerning the
3 biological effect of gastric acid. It seems to me
4 that nobody is interested in that. After all, we have
5 preserved the production of gastric acidity in the
6 upper part of the alimentary tract throughout
7 phylogenesis, and I can't understand why you want to
8 take it away from a large million of people.

9 Aren't you afraid of that? What could
10 happen with viruses and prions, as I said? Do you
11 have that information that there is this enormous,
12 long incubation times for these diseases?

13 So I'm not -- I'm asking for biological.

14 CHAIRMAN BRASS: Dr. Cohen.

15 DR. COHEN: Yeah, the thing that impressed
16 me at the ten milligram dose, which is the recommended
17 dose was that it was really only a very modest effect
18 on acid inhibition. I mean it was like a 20 percent
19 reduction, and the pHs did reach acidity at some time
20 during the day.

21 So in a way, I'm pleased and surprised
22 that the beneficial effect without having a major
23 impact on acid inhibition is very minimal or modest,
24 I should say.

25 CHAIRMAN BRASS: Dr. Hari Sachs.

1 DR. HARI SACHS: In a clinically relevant
2 question, if these drugs actually cause relevant
3 neutropenia and perhaps make you at risk for infection
4 because of gastric acid effects, is there any data on
5 infection, you know, incidence in people with this
6 drug, you know, that it's higher than the average?

7 DR. CANTILENA: The only thing that I
8 know, and perhaps other people can comment, is that in
9 patients on high dose proton pump inhibitors, they're
10 somewhat more susceptible to getting enteric
11 infections, like E. coli or campylobacter, salmonella,
12 and even there the association isn't that great, but
13 that's the only thing that I know of, but that's at
14 pretty high doses where they're virtually
15 achlorhydric.

16 CHAIRMAN BRASS: Yes. Would sponsor like
17 to make a comment?

18 DR. LEVINE: I believe Dr. Cohen as made
19 the right comment.

20 DR. SHAPIRO: Could I answer that
21 question? The incidence of agranularcytosis in the
22 population at large is about six per million per year.
23 These would be the people who are uniquely susceptible
24 to developing infections.

25 With such a low incidence, it would be

1 impossible in any study that I can conceive of to show
2 an increased incidence of infection among omeprazole
3 users and nonusers.

4 Even if there were an increased incidence
5 it would be of no public health importance. It might
6 be 12 per million per year.

7 CHAIRMAN BRASS: Dr. George Sachs.

8 DR. SACHS: In relation to infection and
9 the use of PPIs, there have been studies done in
10 people where the incidence of overgrowth by E. coli or
11 other enteric organisms has been followed as a
12 function of treatment with acid inhibitory medication,
13 i.e., Zantec at a clinically effective dose of 300
14 milligrams b.i.d., omeprazole 20 milligrams. o.d.

15 And you can show in both instances a
16 transient overgrowth which then disappears, and
17 remember that at this dosage nobody except for the
18 very slow metabolizers ever shows anything close to
19 achlorhydria.

20 So the benefits that you see in terms of
21 the 20 milligrams of omeprazole are limited by the
22 fact that you're not getting complete acid
23 suppression, and the pH does go down to one at night,
24 and you don't get this sort of effect at nighttime
25 GERD that you'd like to see.

1 So you're not generating achlorhydria.
2 You're not generating gastric infection by treatment
3 either with omeprazole or b.i.d. 300 milligrams
4 Zantec.

5 CHAIRMAN BRASS: Dr. Waldum.

6 DR. WALDUM: Yes. There's the question of
7 the highest pH that you have in the stomach does not
8 allow us. When you eat something, when you drink
9 something, if you happen to have a high pH, that is
10 what matters, not the lowest.

11 CHAIRMAN BRASS: I'd like to come back to
12 an issue on the drug interaction question because I
13 think it impacts the consumer's ability to
14 discriminate.

15 What is -- I'm sorry. Do you have
16 specific data on omeprazole-warfarin interactions,
17 specifically using PT or prothrombin time or INRs as
18 the endpoint?

19 And I'd much prefer not to see mean data,
20 but data on the number of patients who had their INR
21 bumped by a certain amount. In other words, I'm
22 actually interested in the outliers from a safety
23 perspective, not the mean response.

24 DR. LEVINE: I can't provide outliers. We
25 have mean data and did not show any differences in

1 coagulation parameters.

2 CHAIRMAN BRASS: Yeah, I think that's just
3 always how it's shown, and it's really not helpful if
4 you're looking for a subset of patients who may be at
5 risk or have an exaggerated response when you expose
6 a large population. So I think having confidence
7 that there are less than X percent of the population
8 who will bump their INR more than 25 percent or
9 something like that is much more useful than mean
10 data.

11 Obviously if mean data shows a change,
12 it's important, but when it doesn't show a change, I
13 think for safety purposes the outliers matter a lot.

14 DR. LEVINE: May I just make one point?

15 CHAIRMAN BRASS: Oh, please.

16 DR. LEVINE: Just it's important to
17 recognize that warfarin is a racemate. It has two
18 inantemers (phonetic), and in fact, the most active
19 inantemer that affects coagulation is not -- it
20 doesn't go through the same metabolic pathways so that
21 what we measure is with the less active warfarin. So
22 although we don't have all of the data, there are
23 reassuring bits of information.

24 CHAIRMAN BRASS: Also, I remember at some
25 point there being discussion of omeprazole being an

1 inducer of certain P450s. Did I confabulate that?

2 DR. LEVINE: I would have to defer to my
3 pharmacologist, but that's data that I'm not aware of.

4 DR. LAM: Omeprazole definitely can induce
5 1A2.

6 DR. LEVINE: Could I ask Dr. Andersson to
7 respond to that, please? He's one of our internal
8 experts in pharmacology.

9 DR. ANDERSSON: That issue has been
10 thoroughly studied in many, many different ways with
11 different doses and from different labs, and there is
12 a German group that showed that in poor metabolizers
13 actually 40 milligram omeprazole were given over one
14 or two weeks, had an induction of some 30 percent 1A2,
15 which in rapid metabolizers, they had to give 120
16 milligram to obtain the same degree of induction.

17 But those data were very variable and
18 going from negative induction, so to say, to some 60
19 percent induction, and we have tried to reproduce
20 those data at our own company, and almost as I'm
21 speaking right now, we are going to submit a study
22 using 60 milligram omeprazole in poor metabolizers
23 with an N of five, and there is no induction at all in
24 that study.

25 So I would tend to say that there is

1 really no induction at least that has no clinical
2 relevance, 1A2, of omeprazole treatment.

3 CHAIRMAN BRASS: Dr. Elashoff.

4 DR. ELASHOFF: With respect to the
5 proportion of people who might be in some extreme
6 area, although these studies are probably small, they
7 also probably have standard deviation in addition to
8 mean, and one could make some initial approximation to
9 how many people might be extreme, using, say, two to
10 three times the standard deviation.

11 CHAIRMAN BRASS: That's assuming if it's
12 a normal population. If you're looking for a bimodal
13 subset, you'll miss it that way.

14 DR. ELASHOFF: It just -- it just gives a
15 place to start. I'm not saying that it gives an
16 answer.

17 CHAIRMAN BRASS: Dr. George Sachs.

18 DR. GEORGE SACHS: Yeah, again, with this
19 induction of 1A2, the issue was initially brought up
20 as converting things to a more dangerous form, and
21 therefore, induction of 1A2 by implication due to
22 omeprazole increased the patient to the risk of
23 carcinogenic metabolites.

24 But traditionally, historically all P450s
25 are protective, and animal studies with increased 1A2

1 shows actually protection against carcinogenicity, not
2 promotion of carcinogenicity.

3 CHAIRMAN BRASS: Dr. Johnson.

4 DR. JOHNSON: Well, I disagree. I think
5 there's pretty clear literature for certain cancers,
6 and I can't remember off the top of my head, with
7 higher 1A2 activity or induction, but I don't believe
8 that that's probably clinically relevant in this
9 situation.

10 I guess I'd like to come back to the
11 question I asked earlier, which is the data that you
12 might have on the relationship between toxicity and
13 dose.

14 I mean, I think one of the luxuries we
15 have with OTC products is that we've got experience
16 with millions or billions of prescriptions being
17 filled, and I don't see really any safety concerns,
18 but, again, to go to this issue of ten versus 20, is
19 there any reason we should be more concerned about 20
20 than ten other than the acid inhibition?

21 DR. LEVINE: I've tried to make the point
22 that what we've been talking about is risk potential
23 with particular reference to something that the agency
24 has mentioned, which is specific subpopulations. We
25 can monitor head to head ten milligrams, 20 milligrams

1 in controlled trials and obviously not show any
2 difference, and the issue, of course, is what's
3 happening in an at risk subpopulation or what's
4 happening with very, very rare events.

5 One of the issues that we've been talking
6 about is the potential for drug-drug interactions. We
7 think that the profile is safe, but the potential if
8 you want to stack the deck to really assure any lack
9 is to simply lower the dose.

10 But with regard to a lot of the adverse
11 events that we've been talking about, if there is any
12 relationship as best as we can determine it's
13 idiosyncratic. If we wish to think about theoretical
14 risks that have to do with disruption of gastric
15 homeostasis, we know that there's clearly a dose
16 difference between ten and 20, and you know, from our
17 view looking at the studies that we've seen where we
18 did not see substantial differences between ten and
19 20, that's why we've come to the recommendation for
20 ten milligrams.

21 DR. JOHNSON: But it seems in terms of
22 side effects, in particular, if there was really clear
23 dose related relationships, the people who are poor
24 metabolizers would jump out and have all kinds of
25 toxicities because they have fivefold concentrations.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 My impression is they don't. Is that
2 correct?

3 DR. LEVINE: That we've not observed that.
4 You're correct.

5 DR. GELLER: How many slow metabolizers
6 have you studied?

7 DR. LEVINE: I can't cite an absolute
8 number. We have chosen a number of, you know,
9 subjects as part of our pharmacology studies. We have
10 conducted studies in Japan. We have adverse event
11 profiles from that country, and the distribution of
12 AEs resemble exactly what we've observed in the United
13 States and worldwide.

14 CHAIRMAN BRASS: Dr. Cantilena.

15 DR. CANTILENA: Yes. I was wondering if
16 you can actually show the drug-drug interaction with
17 your product and, say, phenytoin at, you know, ten or
18 higher dosages of the omeprazole, if you have that
19 data, and again, obviously if you have the individual
20 data, that would be idea, but I think even for us to
21 see the mean with phenytoin would be helpful for me.

22 DR. LEVINE: Could we pull slide 55,
23 please?

24 These are the data that we've been able to
25 accumulate, and what has been done is studies both in

1 healthy subjects here, as well as in patients with
2 epilepsy who required phenytoin and to whom we added
3 omeprazole dosing. The dosing in these studies as you
4 can see were high in these, 40 milligrams over seven
5 days, which is steady state concentrations, and we're
6 seeing this magnitude of change. There are some
7 differences here, and in fact, in the epileptic
8 patients we couldn't demonstrate a change.

9 So we feel pretty comfortable that
10 particularly even if there were magnitudes of changes
11 that are, you know, in the vicinity of 15 to 20
12 percent, that's not going to be a clinically relevant
13 issue with regard to phenytoin levels, but actually
14 when we went and looked at patients, we couldn't
15 demonstrate a change in phenytoin levels.

16 I'm sorry I don't have individual data.

17 CHAIRMAN BRASS: Dr. Sachs, do you have a
18 question?

19 DR. HARI SACHS: I just can't see -- I
20 apologize for my eyes -- the dose in the phenytoin
21 patients with seizures, of phenytoin.

22 DR. LEVINE: That was 20 milligrams for 21
23 days.

24 DR. HARI SACHS: The dose of phenytoin.

25 CHAIRMAN BRASS: It was whatever they were

1 taking, but they were at steady state. So it was an
2 individualized study.

3 DR. LEVINE: Yes, yes, I'm sorry. I
4 misheard you.

5 CHAIRMAN BRASS: Okay. Do you want to
6 vote on D?

7 PARTICIPANT: I'd like that.

8 CHAIRMAN BRASS: Okay. So what I'd like
9 to do is call the question for D. Specifically are
10 the safety concerns for the OTC marketing of
11 omeprazole able to be addressed solely by labeling to
12 consumers for short-term or chronic intermittent use?

13 All who feel the answer to that question
14 is -- and, again, we're putting aside the issue of
15 whether they're going to use it longer or not -- all
16 those who feel the answer to that question is yes,
17 please raise your hand.

18 (Show of hands.)

19 CHAIRMAN BRASS: All those who feel the
20 answer to that question is no, please raise your hand.

21 (Show of hands.)

22 CHAIRMAN BRASS: All those abstaining,
23 please raise your hand.

24 (Show of hands.)

25 DR. TITUS: There are seven noes, four

1 yeses, two abstentions and one member absent.

2 CHAIRMAN BRASS: Dr. Neill.

3 DR. NEILL: Safety is shown. I'm not sure
4 whether the yes or no reflects that, but my vote is
5 cast in favor of safety having been demonstrated.

6 CHAIRMAN BRASS: That was a yes.

7 I think we're going to take A Cantilena
8 break and --

9 (Laughter.)

10 CHAIRMAN BRASS: -- reconvene promptly at
11 3:00 p.m. because I don't think we're going to get
12 through for another little while, and I don't want
13 people getting up and walking out.

14 (Whereupon, the foregoing matter went off
15 the record at 2:50 p.m. and went back on
16 the record at 3:04 p.m.)

17 CHAIRMAN BRASS: I'd like to continue our
18 deliberations, and I think that the last question may
19 have engendered considerable confusion, and based on
20 what comments I have received actually in both
21 directions so maybe it was worded perfectly, that
22 people felt that there may not have been clarity in
23 what this question was trying to ask.

24 So I'm going to try to reread the question
25 with clarification, ask for verification if my

1 interpretation is correct, and if so, redo the vote,
2 and if everybody understood it before, the vote will
3 come out exactly the same and we will see.

4 So specifically, based on the types and
5 frequency of adverse events reported in the clinical
6 trials and in the post marketing adverse events
7 database, are the safety concerns for the OTC
8 marketing of omeprazole able to be addressed solely by
9 labeling to consumers?

10 Notice it does not say has the sponsor's
11 label demonstrated that. It does not say whether you
12 have been provided a randomized controlled trial on
13 that point. It simply says based on your
14 understanding of these safety profiles and your
15 understanding of labelology, whether or not it is
16 reasonable to expect that a label could be designed to
17 insure that short-term use could be done safely.

18 And if our answer comes out the same as
19 no, I'm going to ask the noes for suggestions on what
20 would be necessary to alleviate their concerns.

21 Is that fair, Dr. Ganley?

22 Is that clarified, Dr. Blewitt?

23 DR. BLEWITT: I would only add that that
24 question be considered in the context of existing
25 products on the OTC market.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 CHAIRMAN BRASS: I think the issue is not
2 one of comparison necessarily to other agents, but in
3 terms of whether or not there are safety concerns,
4 whether the label is able to convey and does not even
5 imply a risk to benefit analysis having been made.

6 So I don't want to get into that kind of
7 nuance if it's clear without it.

8 DR. GANLEY: Eric, I just want to --

9 CHAIRMAN BRASS: Dr. Ganley.

10 DR. GANLEY: It's not only the noes we've
11 mentioned, but the yes because the question would be
12 then if they do think there's some problems here,
13 could you address it in labeling, and their answer
14 could be yes or they don't think that these are a
15 significant enough problem to even worry about them.

16 CHAIRMAN BRASS: I understand the point
17 exactly.

18 Dr. Shapiro, did you have a clarification?

19 DR. SHAPIRO: I'm one of the people who
20 apparently voted against his own opinion a short while
21 ago.

22 (Laughter.)

23 DR. SHAPIRO: So I wanted to be sure I
24 understand you.

25 CHAIRMAN BRASS: If you vote yes, you

1 believe the drug can be labeled safely for OTC use,
2 for short-term OTC use.

3 DR. SHAPIRO: What if I believe the
4 adverse reactions may not even exist?

5 CHAIRMAN BRASS: Then you can raise both
6 hands, but only one will be counted.

7 (Laughter.)

8 CHAIRMAN BRASS: Okay. Are we ready to
9 vote?

10 All those who believe the answer to that
11 question is yes, please raise your hand.

12 (Show of hands.)

13 CHAIRMAN BRASS: All those who believe the
14 answer is no, please raise your hand.

15 (Show of hands.)

16 CHAIRMAN BRASS: Abstentions, please raise
17 your hand.

18 (Show of hands.)

19 DR. TITUS: Is somebody missing? I have
20 to see the noes again. The noes? Thank you.

21 Three for no, meaning they can't do it in
22 the label; nine for yes, meaning the label can do it;
23 and two abstentions.

24 CHAIRMAN BRASS: So apropos of trying to
25 clarify this issue further, I'd be interested from the

1 people who abstained or voted no what are their major
2 concerns and what would it take to reassure them on
3 this issue.

4 Dr. Geller.

5 DR. GELLER: I'll give this a try. I am
6 concerned about the slow metabolizers and the fact
7 that you didn't show us much data on that, and it
8 seems like we infer that you don't have much data.
9 So, in particular, in Asian populations in the U.S.,
10 I'm concerned about that.

11 And I'm concerned about that, and I'm
12 concerned about seeing -- I'd like to see more data
13 about drug-drug interactions and whether -- I don't
14 know how you can show that such people will read the
15 label carefully.

16 I guess one of my concerns is that there
17 are so many things going on, albeit in a very low
18 proportion of people, that that gives me a concern
19 about being able to cover all of this in the label.

20 CHAIRMAN BRASS: Are there particular
21 populations or patients who you think are at risk that
22 would be critical to identify in the label?

23 DR. GELLER: Well, I think the possibility
24 is raised somehow that Asians may be at higher risk.

25 CHAIRMAN BRASS: Dr. Cantilena, did you

1 want to comment?

2 DR. CANTILENA: Yeah, I think actually my
3 concerns were quite close to that. First of all, we
4 only studied, I believe, 48 out of 8,000 some odd, you
5 know, Asians. So the issue of, you know, PM for CYP
6 2C19 is an issue.

7 Then the other issue with the drug
8 interactions, you know, my question, you know, was not
9 answered. When you show me averages without even
10 standard deviations, I actually can't interpret that.
11 So it was not answered. You really need to see
12 individual data, and I think that obviously is
13 available. There's no clear reason why that's not
14 shown.

15 And so then the question that I was faced
16 with is I have a concern about drug interactions, and
17 we're not going to talk about the labeling now, but
18 I'm not sure, and it certainly wasn't clear in terms
19 of what we've seen for labeling whether or not we can
20 handle that appropriately. So it left me with sort of
21 enough uncertainties not only in the amount of sort of
22 lack of information for the magnitude of drug-drug
23 interactions, particularly in the poor metabolizers,
24 but whether or not that could be handled by labeling.

25 CHAIRMAN BRASS: Yes, Dr. Steinberg.

1 DR. STEINBERG: I can't answer it with
2 relation to drug-drug interaction, but in terms of
3 slow metabolizers, it's my understanding of what must
4 be going on with these rare events is that they're
5 idiosyncratic reactions. That's why it occurs one in
6 a million.

7 And even a slow metabolizer who may have
8 more drug on board would not be expected to have a
9 greater frequency of these rare reactions because
10 they're still idiosyncratic and it's not dose related.
11 Just because you have more drug floating around in
12 your system doesn't mean you get a rare side effect
13 any more frequently.

14 DR. GELLER: You know what the
15 statistician says to that. Show me the data.

16 CHAIRMAN BRASS: Well, again, the
17 suggested data was the post marketing experience in
18 Japan, which is the large cohort. Now, again, it
19 clearly has limitations.

20 DR. GELLER: Actually, we did see it,
21 number one. And, number two --

22 CHAIRMAN BRASS: Do you have that data?

23 DR. GELLER: -- I'm not sure of its
24 relevance to the American population.

25 CHAIRMAN BRASS: That's why they didn't

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 show it to you.

2 DR. GELLER: I just don't know.

3 CHAIRMAN BRASS: Would you like to
4 comment? Only turn on your microphone.

5 MS. COHEN: All right. First of all, in
6 listening to the scientists around the table, they
7 can't even agree what goes on the label, and if you
8 can't agree, then how does anybody know what goes on
9 the label?

10 Secondly, I'm concerned about drug
11 interaction, and more than that, I would like to know
12 in a long-term study about the people who took these
13 Prilosec and what happens later on. You didn't ask
14 the symptoms. Did you follow them? Did you come back
15 six, seven, eight months later after they took it?
16 And was it masking some other kinds of symptoms?

17 So I'm not satisfied with the information.
18 I think it has to be more long term.

19 CHAIRMAN BRASS: Dr. Johnson.

20 DR. JOHNSON: I really consider myself a
21 pharmacokineticist by training. So I feel fairly
22 confident in making some of these statements.

23 I really don't see much reason for concern
24 about drug interactions. There's two kinds of drug
25 interactions here. One is inhibition of other drugs

1 by omeprazole, and there are really not a lot of
2 substrates for CYP 2C19. They've covered most of
3 them, and albeit they are mean data, they don't really
4 appear to be important effects in that direction.

5 So the other concern then is effects of
6 other drugs, which is going to be those same diazepam,
7 et cetera. There's not really good evidence of that,
8 which to me really leaves one category for potential
9 drug interactions, which is poor metabolizers so that
10 they don't have CYP 2C19 activity, which means the
11 major enzyme is CYP 3A4, and then if they have an
12 interacting drug on board, and again I'm not convinced
13 because the poor metabolizers have fivefold elevations
14 in their concentrations, and it doesn't appear to
15 matter. I just don't think we're going to see drug
16 interactions of a magnitude similar to what we see
17 with the genetic abnormality.

18 CHAIRMAN BRASS: I think there is one drug
19 interaction that we all agree is important, and that's
20 the ketoconazole-itraconazole (phonetic), and I think
21 that in terms of labeling, it's clearly incorporated
22 on the label or the proposed new label, but I think
23 it's an example where a different kind of
24 comprehension study is very important.

25 We have talked about asking patients with the

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 label in front of them whether they know if they're
2 taking itraconazole or ketoconazole and whether or not
3 they can take this drug, and 90 percent say, "No, I'm
4 not supposed to."

5 I don't think we know what percentage of
6 consumers who are taking ketoconazole know and could
7 identify whether or not they're on ketoconazole so
8 that even if they read the label they would know not
9 to take it, and I think that link for any of the drug
10 interaction warnings on the OTC products to my
11 satisfaction have never been cemented.

12 And because we're talking about a from
13 mild to moderately severe fungal infection, I think it
14 would be very important to know with some confidence
15 that a patient using one of those products was not
16 going to lose the efficacy of their antifungal agent
17 by buying this over-the-counter product.

18 Please.

19 DR. LEVINE: May I just address one
20 question that's been raised in the poor metabolizers?

21 What hasn't come across in the
22 presentation is what happens in them, and it's the
23 concept of affinity. Omeprazole has a strong, very
24 strong affinity for 2C19 and a weak affinity for 3A4
25 so that in a poor metabolizer who doesn't have 2C19

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 function and who undergoes -- has the omeprazole
2 metabolized by 3A4, what's more likely is that other
3 drugs that go through 3A4 may inhibit the metabolism
4 of omeprazole and not the other way around.

5 That's obvious to some people, but may not
6 be to others.

7 CHAIRMAN BRASS: Dr. Cantilena.

8 DR. CANTILENA: Yeah, if I could just
9 follow that, so have you done a study in a poor
10 metabolizer for CYP 2C19 to look at the effect of your
11 compound on, say, cyclosporin?

12 DR. LEVINE: That has been done, and my
13 understanding is that there is no interaction. What
14 I thought you were going to ask is whether that's been
15 done with diazepam, and there's no effect on the
16 diazepam levels in a poor metabolizer.

17 DR. CANTILENA: In the presence of
18 omeprazole?

19 DR. LEVINE: In the presence of
20 omeprazole.

21 DR. CANTILENA: There's no change in the
22 individuals or in the mean?

23 DR. LEVINE: In the mean, but it's because
24 of the metabolism. If you don't have 2C19 function,
25 the diazepam is going to be metabolized normally as if

1 the omeprazole isn't there because diazepam isn't
2 metabolized. You know, its dominant metabolism is
3 2C19.

4 DR. CANTILENA: Right, but, again, when
5 you talk about the mean information, I'm uncomfortable
6 with that, and I think that you need to know that I'm
7 used to looking at individuals, and the outliers as,
8 you know, the chair, you know, suggested. So I
9 appreciate that.

10 When you look at the overall effect and
11 where you're obviously for your statistical analysis,
12 but it is important, and I think just, again, I'm just
13 commenting in response to, you know, the reasons why
14 I voted the way I did is in that there is information
15 out there that when you're using this as an alternate
16 pathway, it's possible for you to have interactions,
17 and there's a whole host of interactions that we
18 haven't explored on the 3A side.

19 And if, indeed, the data show that those
20 are extremely, you know, small and there are not
21 outliers, then I'm a lot more comfortable, but I
22 haven't seen that data and, you know, when I ask for
23 it, I only hear about averages, and so that's the
24 reason I voted the way I did.

25 CHAIRMAN BRASS: Dr. Robinson.

1 DR. ROBINSON: Not to cast aspersions on
2 any part of the table, but it does seem to me that
3 this all sounds very remarkably like what I heard when
4 I was here with omeprazole the first time. Only the
5 difference now is the 380 million prescriptions that
6 we've heard about, and if all of these low
7 metabolizers and people with other problems existed,
8 doctors have been thinking about drug-drug
9 interactions. We are all focused on that. All the
10 other pharmaceutical companies have told us to be
11 focused on it. We are looking for it, and if it
12 existed, we would have seen it.

13 So looking for the one or two cases that
14 might exist under some extremely peculiar set of
15 circumstances doesn't strike me as a very productive
16 enterprise.

17 CHAIRMAN BRASS: I guess we don't all
18 share your opinion of the conscientiousness of the
19 surveillance system.

20 DR. CANTILENA: And I would also say in
21 addition to that that you're now shifting into an
22 uncontrolled environment, and that's really the issue.
23 So it isn't quite the same.

24 CHAIRMAN BRASS: Is there anybody who
25 would like to keep Question D on the table or shall we

1 move on to Question E?

2 Dr. Ganley.

3 DR. GANLEY: Yeah, for the people who said
4 yes, was there a specific adverse event that they
5 believe a consumer should know about?

6 CHAIRMAN BRASS: Well, that's why I
7 mentioned the drug interaction education as a yet vote
8 or as something that I think it's important to verify
9 that the label can communicate effectively to that
10 population who's been prescribed ketoconazole,
11 itraconazole, that they know not to take it.

12 Dr. Sachs?

13 DR. HARI SACHS: I would probably add some
14 stop use, which probably seems obvious to people at
15 the table, but, for example, if a rash develops and
16 persists, abdominal pain, nausea, vomiting, things
17 like that.

18 CHAIRMAN BRASS: Dr. Neill.

19 DR. NEILL: There aren't other things that
20 I would add to the label, but I think that's different
21 from whether they should be added to a package insert
22 that's included and whether or not information that
23 would be included on the label is going to influence
24 a decision to buy or not.

25 For many of the drugs that are already

1 available over the counter, I can't imagine that
2 adding agranularcytosis, some of these extraordinarily
3 rare things, agranularcytosis, some of which patients
4 aren't going to be able to monitor for anyway -- that
5 there would be any value in doing that both because
6 they occur so rarely; also because they occur very
7 rarely in comparison to the drug that's sitting right
8 next to the Prilosec on the shelf.

9 DR. GANLEY: Yeah, I think if you were
10 worried about agranularcytosis, you would label it
11 that if you developed fevers or chills or things like
12 that or, you know, if you were worried about
13 hepatitis, if your eyes got yellow. I think that's
14 how we have to label it for consumers. If you think
15 it's so rare that we don't have to tell -- and believe
16 me, it's amazing what you do have to tell people
17 sometimes, although you're probably aware of that --
18 but that's what I'm trying to get at.

19 If there was one there, a concern, that we
20 need to incorporate in labeling, that's what I was
21 trying to address.

22 CHAIRMAN BRASS: Dr. Neill?

23 DR. NEILL: I'm trying to imagine any
24 circumstance where as a family doctor I would want you
25 telling more of my patients to call me if they get

1 chills or a fever.

2 (Laughter.)

3 DR. NEILL: And I can't.

4 CHAIRMAN BRASS: Moving on to Question E.

5 DR. GANLEY: Would you be asking them
6 whether they'd be taking OTC drugs?

7 CHAIRMAN BRASS: Both in the context of
8 the charts you're amused, but because there will
9 undoubtedly be discussion about more chronic use of
10 this product if it is made available in the OTC
11 setting, there are a series of issues that have been
12 identified in Question E which we would also like to
13 hear discussed because of their relevance in how much
14 we should be concerned about that chronic use in terms
15 of its OTC use.

16 So specifically, do other safety concerns
17 affect acceptability of the OTC marketing of
18 omeprazole? In answering this question, please
19 consider the questions raised by the FDA reviewer
20 regarding:

21 One, the making of serious disease;

22 Two, the potential for genotoxicity,
23 tumorigenicity, and fetal and developmental toxicity;

24 Three, rebound hyperacidity reported in
25 the literature with discontinuation of therapy;

1 And, four, hypergastrinemia that may be
2 associated with the chronic or chronic intermittent
3 use of omeprazole.

4 And I would add to that list any sequelae,
5 including alterations in cobalamin metabolism that
6 might be associated with chronic suppression of the
7 gastric environment.

8 Dr. Shapiro.

9 DR. SHAPIRO: Mr. Chairman, one of the
10 reasons I'm here is that I was contacted by the Food
11 and Drug Administration in August asking me to look at
12 fetal and developmental toxicity, and I was provided
13 with three papers and then subsequently with an
14 unpublished paper which I reviewed for the FDA.

15 These papers were not perfect by any means
16 in terms of their methodology, and they suffered from
17 weaknesses, particularly in terms of their statistical
18 power and their informatively, but collectively they
19 showed no increase, no overall increase in the risk of
20 major malformations, although some increase could not
21 be ruled out. They gave no indication of any evidence
22 to suggest delayed development or I'm trying to think
23 of -- small for deaths. There was no evidence of an
24 increased risk of spontaneous abortion or whether
25 there were major methodological problems concerning

1 that.

2 And also when I reviewed the evidence of
3 which they provided relevant papers, this drug causes
4 fetal loss and fetal death at high doses in rabbits,
5 and it causes fetal loss at even higher doses in mice.
6 It does not cause birth defects. So there's no
7 plausible biological evidence to suggest that there
8 should be an increased risk of birth defects.

9 Now, of course, in principle any drug is
10 capable of being a teratogen, and sometimes the
11 correct experimental model for observing the
12 teratogenic effect is the human being as with, say,
13 thalidomide, which was more effective in producing
14 birth defects in human beings than in any other
15 species that I know of.

16 But beyond that general caveat, this
17 product ought to be monitored for birth defects as
18 thoroughly as any other drug on the market. I could
19 find no special reasons to single out omeprazole, and
20 my conclusion was that this drug poses no greater
21 public health hazards in terms of the risks of birth
22 defects than any other drug which is currently on the
23 over-the-counter market.

24 With regard to tumorigenicity, we've
25 already this morning discussed the findings which have

1 been found regarding the H2 blockers, which have shown
2 quite substantially and quite unanimously that there
3 is initially an increased incidence of gastric cancer
4 which appears to be due to misdiagnosis, people being
5 labeled as having some indication for the drug who, in
6 fact, already have gastric cancer, and that as the
7 follow-up continues of long-term use, the relative
8 risk declines to one and stays there after a year or
9 two.

10 And I think the unanimous opinion now is
11 that there's no increase in risk. If one extrapolates
12 and says that suppression of acid secretion does not
13 appear to increase the risk of gastric cancer, that
14 presumably would apply to omeprazole, but it could
15 also be proven.

16 In addition, there do exist databases that
17 if there was some hypothesis concerning an increased
18 risk of tumors, those databases could be examined and
19 one could assess whether there's an increased risk, as
20 well.

21 And also if there were some specific birth
22 defect which was alleged to be caused by omeprazole as
23 opposed to just an increase in the risk of all birth
24 defects, once that hypothesis exists and appears to be
25 reasonably based, that, too, could be explored in

1 existing databases.

2 CHAIRMAN BRASS: Dr. Cohen.

3 DR. COHEN: I don't have great concerns
4 about any of the questions raised here in E, but now
5 at almost 3:30 in the afternoon, we've talked about a
6 dose of a drug of ten milligrams, and we've talked
7 about a whole bunch of peripheral effects, but there's
8 been no discussion of what the effects of ten
9 milligram is on the esophagus, which is the organ that
10 we're treating, and I would like to know if ten
11 milligrams of omeprazole taken for two weeks or longer
12 has any healing effect on the esophagus. Does it heal
13 partially, does it heal completely, does it lead to
14 more Barrett's epithelium, or does it protect against
15 Barrett's epithelium?

16 And that to me is the critical issue. I
17 think these peripheral issues are obviously important,
18 but I think the critical issue is the esophagus.

19 CHAIRMAN BRASS: I'm going to hold that
20 question because we can stay focused on E and
21 hopefully it will come up in some of the final
22 efficacy.

23 Dr. Elashoff.

24 DR. ELASHOFF: Yes. With respect to fetal
25 problems, I'm always especially concerned with a drug

1 that sounds like it's good if your stomach is not
2 feeling good in pregnancy because I think people are
3 more likely to take this kind of drug in pregnancy
4 because of the common stomach problems.

5 The issue of whether the data are
6 reassuring or not has to do very, very strongly with
7 sample size because unless you study 1,000 people or
8 rats or whatever it is, you're not very likely to see
9 events that occur one in 1,000 or two in 1,000.

10 So I personally am not reassured unless
11 studies have been very, very large in this area.

12 CHAIRMAN BRASS: Dr. Shapiro, I assume
13 those studies you referred to are registry type
14 studies, combined exposure in them?

15 DR. SHAPIRO: One of them was from the
16 GERD database in England. That's an automated
17 database. The numbers were small nevertheless.

18 Another one was from the combination of
19 data from Canada and Italy. This was more ad hoc.

20 But just to answer your comment, I think
21 it's inconceivable that a prospect of study could ever
22 be large enough to answer these questions.

23 There was earlier talk about case cohort
24 studies and about nested cohort studies. A nested
25 case control study is one in which the cases and

1 controls are drawn from a follow-up study and one just
2 samples a proportion of the non-cases, a small
3 proportion.

4 But they could never be large enough. One
5 has to use case control methods.

6 There are case control data in existence
7 in this country concerning omeprazole. The prevalence
8 of omeprazole exposure is high enough that if there is
9 any specific birth defect, let's say, an encephaly
10 (phonetic) or spina bifida or cleft anomalies or
11 phocomelia that could be evaluated quite rapidly and
12 quite efficiently in existing databases or that could
13 be evaluated pretty soon in case control studies.

14 DR. ELASHOFF: But apparently has not
15 been, according to your comments.

16 DR. SHAPIRO: You really need a prior
17 hypothesis first. I mean there is a whole array of
18 10,000 different birth defects, and you can't go just
19 fishing for one of them because if you fish you'll
20 find something. Just on ordinary probability theory,
21 you need a plausible hypothesis, and as far as I'm
22 aware none exist.

23 CHAIRMAN BRASS: Dr. Uden.

24 DR. UDEN: Given your comments about the
25 risk in pregnancy, right now as the NDA label has on

1 it, the one that has been submitted, if pregnant or
2 breast feeding, ask a health professional before use.
3 then it says, "May cause damage to your unborn or
4 nursing child."

5 Those two, it would seem to me that that
6 first statement shouldn't go along with the second
7 statement, that it would be "do not use if you are
8 pregnant."

9 DR. SHAPIRO: Yeah, there's been reference
10 to the one. So this is another reference. No one
11 today would dare market a drug without a warning to
12 pregnant women even if there's no evidence that it's
13 dangerous for pregnant women.

14 CHAIRMAN BRASS: Dr. Waldum.

15 DR. WALDUM: I will make some comments.
16 First, comparing H2 blockers and PPI, I think there is
17 a great difference between these agents, although they
18 both inhibit acid secretion. It's a question of
19 efficacy and also a question of tolerance. You have
20 a tolerance of an H2 blockers that in a way is
21 protected for long-term use. You have not the same
22 degree of acid inhibition. That was my first comment.

23 Then I will comment on what this danger
24 is, hypergastrinemia. I think that it was published
25 in the late '80s by a Finnish group concerning the

1 occurrence of ECL tumors in patients with pernicious
2 anemia, and it was not so that patients having higher
3 gastrin values than 500 picomolar had more frequent --
4 higher frequency of ECL tumors. They occurred already
5 at 100 picomolar. It was a question of the degree of
6 hypergastrinemia after a certain level and the
7 duration of the hypergastrinemia.

8 Then I would say to Dr. Sachs again that
9 in the eight --

10 CHAIRMAN BRASS: I called on you because
11 he wasn't in the room.

12 (Laughter.)

13 DR. UDEN: Okay. It was a study in the
14 late '80s showing that loxtadin (phonetic), a so-
15 called unsurmountable H2 blocker, induced ECL tumors
16 in mouse. So it is not correct that you don't see
17 such tumors during hypergastrinemia in rat species.

18 And then my last comment will be on
19 rebound acid hypersecretion because I was the first to
20 describe it. It may be rather high acid
21 hypersecretion, and one of the patients had also an
22 increase in acid secretion from 40 to nearly 70
23 micromolar mL, which is values that you only see in
24 patients with Zollinger-Ellison Syndrome.

25 CHAIRMAN BRASS: I'm sorry. Are you

1 talking about one patients from these studies or one
2 patient from your previous experience?

3 DR. UDEN: I have described this patient
4 in my paper.

5 And the duration of acid hypersecretion,
6 it seems we have done a study, but we haven't
7 published it. It lasts for about two months. It is
8 declining from two weeks to two months.

9 CHAIRMAN BRASS: Dr. Steinberg.

10 DR. STEINBERG: My only concern as a
11 gastroenterologist is the issues of the masking of
12 disease, and that is Barrett's esophagus, and clearly
13 the data have shown that there are going to be a
14 certain percentage of these patients who get efficacy
15 who will stay on this drug and not according to the
16 labeling.

17 And so the question I have is to the
18 esophagologist. Perhaps Dr. Castell can address this.
19 What is the danger if a large number of users of ten
20 milligrams of Prilosec over a long period of time;
21 what is the danger, the public health danger of not
22 diagnosing a certain small number of Barrett's
23 patients who might then not be found to have
24 dysplasia, and so forth and so on there? Do you have
25 any feeling for this?

1 DR. CASTELL: Thank you, Bill.

2 As you know, this is from my approach is
3 the issue about masking as Barrett's and whether we'll
4 miss something, and it's as you know a highly
5 controversial area. There are some people who say
6 that we shouldn't even bother to look for it because
7 it doesn't make any difference anyway in what you
8 find.

9 We know that it's out there. We know that
10 we miss it in people that don't get in for endoscopy,
11 and you and I would like to endoscope everybody that
12 has any heartburn because we think it's important to
13 find it, and that's why I said earlier I would hope
14 that is a drug like this were available over the
15 counter that we could label it in such a way that it
16 would hopefully get more people that we're missing now
17 into the gastroenterologist. I don't know if that
18 will work.

19 Now, I haven't answered your question yet.
20 Whether it will make a difference or not, I don't
21 know. Ten milligrams doesn't do much in terms of acid
22 control, but it does a little more probably than the
23 already available over-the-counter drugs.

24 But as I showed you from that Duke study,
25 many patients with Barrett's have milder symptoms than

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 patients with erosive disease. We're already missing
2 them with the available over-the-counter medications.

3 CHAIRMAN BRASS: Well, I think this also
4 makes Dr. Cohen's question now very germane because,
5 in fact, if you're exposing these patients at ten
6 milligrams and they either heal if they have an
7 erosive process or have the same treatment that they
8 would get if they went to a gastroenterologist, you're
9 masking nothing.

10 The question is whether there is an in
11 between situation.

12 DR. STEINBERG: The question I have, Don,
13 though is: would more of them come in to see a
14 gastroenterologist or would fewer because a certain
15 percentage will go on to take this medicine? Over 50
16 percent did not follow the labeling advice and won't
17 ever come in because now they have a drug to help
18 them.

19 DR. CASTELL: Yeah, and again, obviously
20 I don't know the answer to that because I don't know
21 what I don't know, and only time would tell us that.

22 I think, first of all, I want to
23 acknowledge the agency for even being willing to
24 discuss this question of a drug like this for treating
25 GERD, if you will, because that's what we're talking

1 about here, and the sponsors for bringing the question
2 to the agency.

3 I would hope that we could find a way if
4 the drug is going to be approved to make the labeling
5 effective so that it would, in fact, result in what I
6 think should happen: more patients coming for
7 evaluation rather than less.

8 DR. LEVINE: Could I just introduce Dr.
9 Brian Reed (phonetic), who is another Barrett's
10 esophagus expert?

11 DR. REED: Hi. I'm Brian Reed from the
12 Fred Hutchinson Cancer Center.

13 I would like to suggest that the situation
14 would get better. We would see more patients with
15 Barrett's esophagus than we do under the existing
16 circumstances. Why do I say that? Because the
17 published data now say we only see five percent of the
18 patients with Barrett's esophagus who are out there
19 who can be detected by autopsy studies.

20 We only see at most five percent of
21 curable cancers. They usually come in with incurable
22 cancers, and so there's a huge 95 percent that aren't
23 coming in, and I think that an appropriate labeling
24 could increase that.

25 So relative to what we're doing now,

1 there's very little down side risk and a lot of
2 potential with good labeling.

3 DR. STEINBERG: But people aren't
4 following the labeling. That's what the study shows
5 so far. A greater percentage of those that are using
6 it to prevent illness are not following the labeling.

7 DR. REED: Are you referring to these
8 studies here?

9 DR. STEINBERG: Yeah, the study that was
10 shown here.

11 DR. REED: Just two comments. Even if the
12 percentage were what it is and they did follow the
13 labeling, that would be an enormous increase over five
14 percent, and I think people are talking about revising
15 the labeling, but I'm not involved in those
16 discussions.

17 CHAIRMAN BRASS: Well, maybe I can pose
18 the issue a slightly different way as a standard of
19 care issue. Let's say a primary care physician or
20 gastroenterologist had a patient with heartburn that
21 was suppressed with a pump inhibitor. When they went
22 off the pump inhibitor they had recurrence. Put them
23 back on the pump inhibitor, they're fine.

24 Would that patient require any additional
25 therapy other than continuation of the pump inhibitor?

1 DR. CASTELL: Are you suggesting after
2 they've been seen by a physician?

3 CHAIRMAN BRASS: Yes, or a physician has
4 seen them and says, "Here's your piece of paper. Go
5 take your" -- you know, if it makes it go away, make
6 it go away.

7 So if the patient feels better on a pump
8 inhibitor, clearly doesn't feel good when they're not
9 on a pump inhibitor; so they have some disease,
10 whatever label you want to call it. But if they are
11 symptomatically relieved by a pump inhibitor, is that
12 fine? Would a physician simply continue the chronic
13 use of the pump inhibitor, or does the standard of
14 care require further diagnostic evaluation of that
15 patient?

16 Because to me that's the central question.
17 If there's chronic use in the out-patient setting, you
18 get better and you recapitulate what's seen by a
19 physician. If you're seen by a physician, no problem.
20 And if you're not relieved, then you'll go see the
21 physician.

22 My concern is if we're denied standard of
23 care by giving symptomatic relief or not.

24 Dr. Cohen.

25 DR. COHEN: And that's really one of the

1 important issues, and I don't think you can answer
2 that question at this point without knowing the effect
3 of the ten milligram dose, which is very efficacious.
4 It's very good in preventing the symptom of heartburn
5 at that dose for the two-week period.

6 But what I'm asking is what is the effect
7 on the mucosa in the 30 to 40 percent of patients that
8 Dr. Castell said may have erosions, and that would
9 help us know what the standard of care is, and that's
10 an easy study to do.

11 CHAIRMAN BRASS: But you wouldn't know
12 that as a practitioner unless you did an endoscopy.
13 If the patient felt better on a trial of pump
14 inhibitor regardless of dose --

15 DR. COHEN: But you would know if at that
16 dose that's a healing dose and you can leave the
17 patient on, or you would know at that dose you're
18 getting continued chronic erosive disease with only
19 relief of symptoms, and you can have a discrepancy or
20 a separation of symptom relief and healing, and that's
21 the issue.

22 CHAIRMAN BRASS: We saw some data at 20
23 that showed a healing rate, but it wasn't 100 percent.

24 DR. COHEN: No, 20 heals and maintains
25 healing, is excellent in that effect, and it's used

1 that way. But the question is does ten heal and can
2 ten maintain healing or does the physician have to do
3 something different at the end of the two weeks or
4 four weeks.

5 CHAIRMAN BRASS: Does sponsor have data
6 from your earlier development program for healing
7 intent?

8 DR. LEVINE: Based on the development
9 program, we did not test ten milligrams in erosive
10 esophagitis.

11 DR. CASTELL: Dr. Brass, can I give you
12 another perspective on what I think you were asking?

13 From my point of view, if, in fact, this
14 were to occur and it brought the patients to the
15 gastroenterologist, what did you call us, informed
16 intermediaries or something like that? Thank you very
17 much. I didn't know that.

18 If it brought the patient to the
19 gastroenterologist and an endoscopy was performed that
20 ruled out Barrett's -- and, by the way, we're very
21 close to being able to do that with a skinny endoscope
22 through the nose, a nasogastric endoscope -- and we
23 ruled out Barrett's, then I would be absolutely
24 comfortable telling the patient if that dose of the
25 drug ws working for your symptoms, you can stay with

1 it.

2 CHAIRMAN BRASS: But that seems to imply
3 that the standard of care requires a physician
4 evaluation to make that decision. Symptom relief is
5 not adequate to meet the standard of clinical care.

6 Yes?

7 DR. ROBINSON: As a person who knows what
8 physicians are doing in the community because I spend
9 my life teaching them and speaking to them, I can tell
10 you that although perhaps a lot of gastroenterologists
11 wish that physicians in the community were sending all
12 of their patients who don't do well or who do well on
13 therapy and then relapse to have endoscopy, that, in
14 fact, is not the case.

15 In fact, what doctors do is some of them
16 send their patients for endoscopy, and I would suspect
17 the great majority do not. The great majority of
18 doctors continue therapy that is symptomatically
19 effective, whatever therapy it may be, and never
20 investigate the patients unless there is some alarm
21 symptom.

22 So I don't think we're going to be
23 changing that by adding another drug which also blocks
24 acid secretion.

25 DR. COHEN: But, Dr. Robinson, they're

1 using a dose of the drug that heals the mucosa. So
2 they may use it. Empirically it's still healing the
3 tissue.

4 DR. ROBINSON: Well, far be it from me to
5 tell Dr. Cohen anything about the esophagus, who,
6 after all, wrote the book, but I would say that I at
7 least am not so sure I care whether patients are
8 healed or not because I know of no data that shows
9 that people who have tiny, little erosions that remain
10 unhealed have any untoward consequences.

11 That is, they don't seem to develop
12 cancer, Barrett's or anything else, and in fact, the
13 data I'm aware of suggest -- I think Don could address
14 this -- that people who feel well, who are symptomatic
15 over the long haul as a group do very well, and that
16 people who are not controlled symptomatically are the
17 ones who do not do well.

18 CHAIRMAN BRASS: Dr. Gilliam.

19 DR. GILLIAM: I guess my concern is just
20 adding another class of drugs for heartburn that's
21 going to be available over the counter. You know,
22 from a primary care standpoint I would hate for
23 somebody that's tried Maalox and then tried another
24 antacid and then has tried an H2 receptor blocker to
25 have another choice that's available over the counter

1 that might delay them coming in and getting scoped or
2 talking with someone else about it.

3 And then to follow up on what Dr. Brass
4 said about having somebody that's on Prilosec and then
5 they go off and then they're put back on it and they
6 get relief, does that necessarily show that they don't
7 have H. pylori or something else that's going on that
8 we should be treating?

9 CHAIRMAN BRASS: Dr. Uden?

10 DR. UDEN: If you want to finish this
11 discussion, mine is going to be about rebound
12 hyperacidity.

13 CHAIRMAN BRASS: Dr. Steinberg.

14 DR. STEINBERG: Back to your question, I
15 don't think there is a standard of care. It really
16 depends on the referring doc. Some internists and
17 family physicians are very aggressive about sending to
18 the gastroenterologist, who is the consultant, and the
19 gastroenterologist winds up taking a look down, and
20 some are not. And so I don't think there's a standard
21 of care, nor should there be a standard of care
22 because we don't have the data to even decide who we
23 should scope, when we should scope.

24 We're worried about Barrett's, but having
25 scoped a lot of people over many years, I have yet to

1 pick up, except for the initial endoscopy when they
2 present with dysphasia, somebody who gets into
3 trouble. You have to read the big studies to show a
4 few cases.

5 CHAIRMAN BRASS: Well, at an internist,
6 that's certainly been my impression of the literature,
7 that the justification for a screen endoscopy
8 particularly in young people is just really low.

9 DR. COHEN: I want to clarify my point.
10 I am not as concerned as Dr. Gilliam about patients
11 having a ten milligram dose to take. All I would like
12 to know is if they're taking it what the outcome is
13 going to be, and I think the sponsor -- if I knew that
14 from the sponsor, it would be reassuring, and it would
15 be helpful, but I'm not concerned about giving
16 somebody that dose to take if I knew what was going on
17 with the mucosa.

18 CHAIRMAN BRASS: Okay. Dr. Uden.

19 DR. UDEN: I have a question about the
20 clinical picture of rebound hyperacidity. Let's say
21 somebody takes either ten or 20 milligrams of
22 omeprazole for 25 days that I saw in the study and
23 they discontinue it. If they have rebound
24 hyperacidity, does that present then as heartburn, and
25 is that then a reason for them to use more omeprazole?

1 How does rebound hyperacidity present clinically?

2 DR. LEVINE: May I address that? We have
3 data from our studies if I could share that with you.

4 If I could please have slide 40. Could I
5 have the mic on, please? Can you hear me?

6 Okay. This is my back-up 40. That's the
7 wrong slide set.

8 What I want to be able to present is just
9 what the baseline heartburn severity was. As part of
10 the studies where patients had the option to dose on
11 multiple days, what we then did at the end was do a
12 placebo run-out, if you will, a two-week follow-up to
13 assess what happened to their symptoms.

14 The existing literature, just for
15 background, looks exclusively at acid secretion with
16 no effort to correlate to symptoms. Our studies have
17 the weakness because we do not have pH data to look at
18 acid hyper secretion, but we do have the ability to
19 look at that. We may not be able to get the slide.

20 But the point that I wanted to make was
21 that at baseline we measured heartburn on a four grade
22 scale. About 30 percent of the individuals at
23 baseline before treatment had severe. So there would
24 be no way to assess them at run-out as to whether or
25 not they got worse.

1 So about two-thirds of the patients had
2 mild or moderate heartburn, and what we did
3 evaluate -- I think we can just close the slides down,
4 please -- what we were able to do is look at across
5 the 20 milligram, ten milligram, and placebo group,
6 look to see whether or not there was any evidence
7 within the group for worse heartburn than baseline
8 after treatment. We could not demonstrate that.

9 What we also looked at was the number of
10 episodes that they had, again, following treatment
11 withdrawal versus baseline, and again, across groups
12 we could not demonstrate any difference.

13 DR. UDEN: But logically rebound
14 hyperacidity does occur, correct? And -- it does not?

15 CHAIRMAN BRASS: Microphone, microphone.

16 DR. LEVINE: I can speak to that as well.
17 I won't try with another slide, but the data that we
18 have from the literature is that at doses of 20
19 milligrams one cannot measure that. So it's usually
20 you're getting to at least 40 milligrams for sustained
21 periods of time when you actually elicit that
22 phenomenon.

23 DR. UDEN: Thank you.

24 DR. STEINBERG: How long does it last when
25 you do get rebound? Is that just a very transient

1 phenomenon lasting a day or two or is that something
2 that persists?

3 DR. LEVINE: You know, I think it's a
4 field that, first of all, you can argue whether or not
5 additional work should be done because it's of
6 uncertain clinical relevance, but the available data
7 I would say are limited. So we don't know that.

8 In one of the studies where we looked at
9 acid hypersecretion following two years of very, very
10 high doses of omeprazole, 80 milligrams a day for a
11 year followed by 40 milligrams, there was a follow-up
12 done approximately three months after the conclusion,
13 and the acid hypersecretion had normalized, which had
14 been demonstrated within the first week, but we don't
15 know when it stopped.

16 CHAIRMAN BRASS: Dr. Waldum.

17 DR. WALDUM: As I said, it lasts up to two
18 months, and then a question of dose. It is a question
19 of degree of acid inhibition and hypergastrinemia. So
20 if you use a low dose, fewer patients will have
21 rebound acid hypersecretion, and it's a question of
22 duration of the treatment. The shorter the time the
23 less the rebound hypersecretion.

24 But if you examine enough patients, you
25 will see it also at the short periods, I think.

1 CHAIRMAN BRASS: I'm going --

2 DR. COHEN: I would just comment that a 20
3 percent acid inhibition for ten days, you're not going
4 to get very much acid rebound. I just think it's a
5 non-event.

6 CHAIRMAN BRASS: I think what I'd like to
7 do is now call the question, please.

8 DR. DOUGLAS: As a non-voting member, I
9 guess my job is to be a devil's advocate, and I'd just
10 like to be a little devilish if you don't mind.

11 I don't think there's been enough
12 discussion about the potential for genotoxicity and
13 tumorigenicity. The question has been raised by the
14 FDA, and as a genetic toxicologist, I look at the
15 animal toxicology data that was given in this package
16 from FDA. In fact, it's not data. It's just a
17 description of the data, and it raises a number of
18 questions in my mind.

19 And I presume this data was presented in
20 support of the original request for application for
21 prescription use by the sponsor, and I think had it
22 been today rather than whenever it was, ten or 12
23 years ago, that there would be a much better data
24 package and there would be fewer questions left in my
25 mind.

1 And I think despite the fact that there
2 has been millions of prescriptions, it's always true
3 that if you're not looking for the right thing,
4 absence of evidence is not evidence of absence.

5 Now, this chemical causes most bone marrow
6 micronucleus and chromosomal aberrations, and it's
7 been shown through a survey of the literature in a
8 published paper that chemicals that cause that effect
9 have a 75 percent chance of causing germ cell effects,
10 causing domino lethal effects, for example.

11 Now, there was a study, a reproductive
12 toxicity study that was cited here where male and
13 female rats were treated at 5.6 to 60 times the human
14 dose. I don't know exactly what that -- whether it's
15 20 or ten or 40. So these animals are treated prior
16 to conception and then post conception and on into the
17 next generation.

18 But there was no effects in the treated
19 animals, but in their offspring it produced fetal
20 toxicity, postnatal developmental toxicity as
21 evidenced by dose related increase in post
22 implantation losses, decreases in the number of viable
23 fetuses, decreases in the number of viable pups,
24 decreases in survival of pups, and so on, and in a
25 further study, postnatal behavioral development

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 effects as well.

2 So the fact that there is limited evidence
3 here of some sort of effect, you can't specify in that
4 type of a study, in a one generation reproductive
5 toxicity study. You can't specify exactly what the
6 effects were due to, and I didn't have the benefit of
7 seeing the data that was given to Dr. Shapiro. So I
8 can't really comment further.

9 But taken together the fact that we have
10 a bone marrow micronucleus study which suggests, but
11 only suggests, that there could be germ cell effects,
12 and there's effects in this reproductive study that
13 have been shown. I would think I would be concerned
14 about unrecognized pregnancy loss. So not just if
15 you're pregnant don't take it, but pregnancies that
16 you don't know you're pregnant but you lose the
17 conception.

18 CHAIRMAN BRASS: Dr. Shapiro, would you
19 like to provide some context or alternative?

20 DR. SHAPIRO: Yes. The experimental data
21 that have been referred to were extraordinary high
22 doses in the rat and doses which were many multiples
23 of the human dose in the rabbit.

24 The sponsor argued, I think, correctly
25 that there was a mechanistic explanation for the

1 increased fetal loss, which was that these animals at
2 these doses became profoundly sick, didn't eat
3 properly, and were unhealthy animals, and unhealthy
4 animals have increased fetal loss.

5 That explanation struck me as plausible,
6 and they may want to add to it.

7 The epidemiological evidence, as I've
8 said, is inconclusive, but gives no evidence of an
9 increase of an increased risk. If one is talking
10 specifically about fetal loss, the issues are
11 spontaneous abortion, inhibited development or
12 premature birth or stillbirth.

13 For spontaneous abortion, at least 50
14 percent of spontaneous abortions have major
15 chromosomal anomalies, well over 50 percent, which is
16 probably why they abort. This means that if one wants
17 to study this issue, it would call for an ad hoc case
18 control study of women who abort and the controls, and
19 such a study could be done, but it's up to this panel
20 to decide whether the evidence is persuasive to
21 warrant such a recommendation.

22 For the other outcomes, I don't think that
23 the animal data provides sufficient grounds to
24 recommend that studies be done in human beings at the
25 moment, but others might disagree with me.

1 On the issue of birth defects and germ
2 cell effects, it would be necessary to mount case
3 control studies concerning the specific defects that
4 are alleged to be placed at increased risk by this
5 drug.

6 CHAIRMAN BRASS: If I could just let the
7 sponsor -- if there's any information I could provide
8 and then I'll come back to that.

9 DR. LEVINE: Let me introduce Dr. Lewis
10 Kinter, who's our preclinical scientist lead.

11 DR. KINTER: First, we would completely
12 concur that the results in this repro. tox. battery
13 that has been conducted, a very extensive repro. tox.
14 battery at very high multiples of dose and exposure in
15 both rabbits and rats, there is only evidence of
16 increased abortion, and these developmental effects
17 that have been alluded to only occur in the presence
18 of significant and substantial maternal toxicity.

19 This is a bioassay system, and
20 unfortunately because it's a bioassay system, when you
21 introduce the confounding variables of significant
22 maternal toxicity, it's now simply not possible to
23 separate the two, and in this particular case we know
24 that these effects of material toxicity with the loss
25 in body weight and the decrease in food consumption