

1 | problem of what additional burden do you add if you say you  
2 | want to see the whole dose range for the response. There  
3 | is the adverse effect stuff. There is certainly the  
4 | problem with no placebo group, how are you going to tell  
5 | what the adverse effect is that's related to the drug.

6 | I just wanted you to get a feeling for the fact  
7 | that if you wanted to say I really want to know the dose-  
8 | response curve, that you're not telling people they have to  
9 | do another 3,000 patients. That's all I wanted to do.

10 | DR. KONSTAM: Can I just ask another question  
11 | about it? Let's say you do this and you still haven't  
12 | found an Emax.

13 | DR. LIPICKY: Yes. Well, then you drop back to  
14 | that simulation business and you top load the top arm  
15 | because then you have most of your data in the higher  
16 | doses, which is simulation 4, and you say, I'm going to  
17 | analyze prospectively. You say, I'm going to analyze this  
18 | data with the Emax model. You get your estimate of the  
19 | Emax, your point estimate, and the standard error and 95  
20 | percent confidence limit, and I will believe it.

21 | DR. LINDENFELD: Milton?

22 | DR. PACKER: JoAnn?

23 | DR. LINDENFELD: Just for clarification, I have  
24 | a dose-response curve, and then I have an individual  
25 | patient. How will I know that there might be specific

1 covariates that would affect the dose-response curve --

2 DR. LIPICKY: Well, Lew is going to tell you  
3 about that. He says no.

4 (Laughter.)

5 DR. LIPICKY: He wasn't prepared to do that.  
6 This won't tell you anything about that,  
7 unfortunately. Well, it could. So, he'll tell you then.  
8 Don Rubin will tell you.

9 DR. PACKER: Let's move on. Does anyone have a  
10 specific clarification from Ray's supplemental  
11 presentation?

12 If not, let's go on to Lew's presentation.  
13 Ray, my sense is that it will take both Lew's and Don's  
14 presentations before we can get into the meat of the  
15 matter. Is that correct?

16 DR. LIPICKY: Probably true.

17 DR. PACKER: So, let's go on to Lew's  
18 presentation and see if there are any issues that come up  
19 here.

20 DR. SHEINER: Well, thank you very much. A lot  
21 has been promised about what I'm going to say, and I'm not  
22 going to actually give you a technical talk. Part of the  
23 reason I'm not going to give you a technical talk is in one  
24 of my slides, because I really do think we need to keep  
25 these things separate. So, I'm taking the title that Ray

1 | gave me, which is what do we want to know, and I'm going to  
2 | present a somewhat theoretical point of view and with an  
3 | example. But in trying to clarify exactly what are the  
4 | issues, the assumption I'm making, of course, is that there  
5 | is something that we want to know.

6 |           I just wanted to start out with a slide  
7 | referring to a paper I wrote a number of years ago, which  
8 | tries to say about the whole drug development process  
9 | exactly what Tom was saying, that there are things that we  
10 | have in exploratory mode or what I call here learning, and  
11 | there are things that we want to confirm, and that the  
12 | entire drug development process can be seen as two cycles  
13 | of learn/confirm, one early one that gives you the  
14 | confidence to go ahead and spend all the money that you're  
15 | going to spend in the later one. In this process, phase  
16 | IIb and III, as everyone has said, is the phase in which  
17 | one is mostly interested for our purposes today in figuring  
18 | out the optimal use of the drug.

19 |           Now, just as Tom said, there is no reason why  
20 | you can't learn while confirming. I actually had that over  
21 | here. It requires a different view and possibly different  
22 | portions of the data, but there is generally no reason why  
23 | you can't do both and that's probably the most efficient  
24 | way to do things.

25 |           But the point that I wanted to make about this

1 | slide is that exploratory analysis, learning as opposed to  
2 | confirming, confirming meaning I have something that I  
3 | believe is true and I want to test it, learning meaning I  
4 | want to understand a whole set of relationships -- that  
5 | this learning activity is quite different and is what can  
6 | be applicable to the dose finding. Let me elaborate on  
7 | that somewhat.

8 |           First, let me get these three questions. As I  
9 | said before, there isn't one. There are three. The first  
10 | is, what do you want to know? Maybe Don will expand on  
11 | this more. That's not data. That is to say, the answer to  
12 | that question is something that exists in your mind in  
13 | principle that you use data to try to learn about, but you  
14 | don't want to know the average in this group of people.  
15 | What you want to know is something about the underlying  
16 | mean of the population perhaps or something like that. So,  
17 | what do you want to know is a theoretical construct that  
18 | you need to figure out what that is.

19 |           Then you need to know how certain you need to  
20 | be. This is where, again, what Tom was saying earlier  
21 | comes in. There's a tradeoff between certainty and  
22 | assumptions, and that's the next question, which is what  
23 | are you willing to assume. What do you think you know  
24 | already on which you're going to base your data gathering  
25 | to learn some more? It turns out that questions 2 and 3

1 | have a very, very strong interaction, which I will  
2 | elaborate on more.

3 |           I want to make a couple of points about these  
4 | three questions, though. These are questions that have to  
5 | be answered, by domain-specific experts, by regulators, by  
6 | physicians, by clinicians. Technical people, people who  
7 | know how to run clinical trials, people who know how to  
8 | analyze clinical trials really have very little to  
9 | contribute to the answers to these questions. Mainly the  
10 | contribution, for example, of the statistician to these  
11 | questions is to tell you when you have stated how certain  
12 | you need to be and what you're willing to assume, that that  
13 | will take you 40,000 patients and 50 years, and you might  
14 | want to think about that again. But the technical people  
15 | who are not the domain experts don't really have anything  
16 | to say about these fundamental questions that are  
17 | scientific or clinical questions.

18 |           The second is that I said before. The second  
19 | and third questions interact strongly. The more you're  
20 | willing to assume, the less certain in a sense you can be  
21 | unless those assumptions are rock solid. But if those  
22 | assumptions are open to question and if your conclusions  
23 | are going to depend on them, if you're using those  
24 | assumptions to gather less data or more specific data and  
25 | not gather other data, then clearly, if the assumptions are

1 | wrong, the conclusions may be wrong.

2 |           Once you answer these questions, to turn the  
3 | statement I made a moment ago around, study design and data  
4 | analysis decisions are purely technical within technical  
5 | constraints. That is to say, that's when you bring in your  
6 | experts on clinical trials. That's when you bring in your  
7 | statisticians. With all due respect to Ray and what he's  
8 | saying, a number of the things that Ray has been saying  
9 | have been these technical issues. What I want to assure  
10 | you of is that there are plenty of technologies around that  
11 | will allow us, when you give us a statement about how  
12 | certain you need to be and what you're willing to assume,  
13 | to be able to minimize the cost of learning those things  
14 | with that degree of certainty. And there are ways to do  
15 | that that I think we need to understand only because we  
16 | need to have some confidence in them. But they're  
17 | fundamentally technical questions that come to us from  
18 | other experts.

19 |           So, I don't think, for example, that the domain  
20 | expert should be someone who says, I want to use a t test  
21 | or I want to use a model-based analysis or I want the  
22 | design to look like this. That's not actually a domain  
23 | expert question. These are the domain expert questions,  
24 | and how you get the answers come to us through people who  
25 | are experts in answering those kinds of things.

1                   So, what are the answers to those questions for  
2 dose selection? And these are personal positions.

3                   What do we want to know? I say we want to know  
4 something called the response surface and utilities, and  
5 I'll elaborate on these more. But that's what we need to  
6 know.

7                   How certain do we need to be? I say, not very.

8                   What are we willing to assume? I would say  
9 that, given that we don't need to be very certain and the  
10 interaction between those two questions, then what we want  
11 to do is use as much as possible valid scientific knowledge  
12 of the relationship between dose, concentration or  
13 exposure, and effect.

14                   So, let's get to what do I mean by these  
15 things. Well, a very quick course in decision theory.  
16 Decisions should maximize expected utility. I'm going to  
17 use a little notation. I'll say  $D_{sub\ i}$  are the possible  
18 decisions so that decision 1, decision 2, decision 3, I'll  
19 give dose 1 or dose 2 or dose 3. I'll give drug 1 or drug  
20 2 or drug 3. Those are decisions.

21                   Then those are possible outcomes. The simplest  
22 case, I get blood pressure below a certain level or I  
23 don't. But there are many possible outcomes, toxicity and  
24 so on. So, each one of them is indexed and there are many  
25 of those.

1                   Utility is the subjective value of an outcome.  
2                   So, utility of an outcome is what it's worth to you or what  
3                   it costs you, negative utility. What's the cost of a  
4                   toxicity? What's the value of getting your blood pressure  
5                   lowered? Those are subjective in the sense that they are  
6                   issues for individuals to decide. If I'm going to have a  
7                   side effect, it's for me to say, given that you described  
8                   that side effect, what that's worth to me or what that  
9                   costs me. For some people, having a limitation on their  
10                  mobility might be a real tragedy. For other people, they  
11                  may not feel that way. So, that's subjective, and there's  
12                  not a lot we can do then as scientists about knowing about  
13                  those. We have to know about utilities, but they are not  
14                  something that we can do as a public activity.

15                  Expected utility is the average of the utility  
16                  across all possible outcomes, each weighted by its  
17                  probability. So, there's a formula for it, but it  
18                  essentially says that if you're going to get a given result  
19                  that you want, and one treatment will give you a 20 percent  
20                  probability of getting that result and another treatment  
21                  will give you an 80 percent probability of getting that  
22                  result, then the value of the first one is 20 percent times  
23                  the value of the result, and the value of the second  
24                  treatment is 80 percent times the value of the result. The  
25                  second one obviously is better, not because the result is

1 | better, but because it's more likely.

2 |           So, to finally get down to the bottom line of  
3 | this line of argument, we said, the optimal decision, what  
4 | dose you should give, what drug you should give, is  
5 | whatever decision maximizes expected utility, gives you the  
6 | best average payoff. The necessary empirical information,  
7 | that which we can concern ourselves as scientists and  
8 | regulators, that we can ask drug companies to give us or  
9 | other sources are those distributions of outcomes given  
10 | decisions. What's the probability of lowering your blood  
11 | pressure a certain amount at a given dose? What's the  
12 | probability of given side effect given that you use a  
13 | certain drug? Those are the empirical things that, in the  
14 | process of drug development or, in general, the process of  
15 | drug use, it's designed to learn about.

16 |           So, a simple example of optimal dose is let's  
17 | just say it's a binary decision, treat or not; and a binary  
18 | efficacy, we get efficacy or not; and a binary toxicity, we  
19 | get toxicity or not. Nothing could be simpler than that.  
20 | Life is much more complicated.

21 |           I'm now even going to make it simpler and get  
22 | rid of utilities. I'm going to say that the utility of  
23 | toxicity is the negative utility of efficacy. I'm  
24 | essentially saying whatever this side effect is, this  
25 | toxicity, getting that is about as bad. In a sense it

1 | cancels out the value of getting whatever the efficacy is.  
2 | That's no so unrealistic if a drug can produce, for  
3 | example, a very serious side effect and if the drug is used  
4 | to treat a very serious illness -- and let's say death is  
5 | the issue here -- then presumably the utility of death by  
6 | either means is approximately equal and so it's not  
7 | unrealistic to think that there are treatments that have  
8 | this case.

9 |           But I'm not trying to make that point. I'm  
10 | trying to say, let's take the very simplest case. If this  
11 | were true, then it would be very simple. We would treat,  
12 | if the probability of efficacy given that we treated was  
13 | greater than the probability of toxicity. They've got the  
14 | same utility. So, if this is more likely than that, then  
15 | we treat, and if it's the other way around, we don't. It  
16 | looks very simple in this very simple case.

17 |           The point I bring up here is even then here's  
18 | the problem, that the probability of efficacy, given  
19 | treatment, is a function of the patient and the dosage.  
20 | The probability of toxicity, given treatment, is a function  
21 | of the patient and the dosage, not just of the decision to  
22 | treat, and this is complicated. This is what I mean then  
23 | by the response surface.

24 |           That probability function, if you will, in this  
25 | particular case, for example, talking about efficacy, this

1 | would be the probability of efficacy. This is an axis that  
2 | I've designed to talk about patient factors. Obviously,  
3 | there are many such factors, so it's a great simplification  
4 | to just have one axis on which I'm going to put all the  
5 | patients.

6 |           Then there are multiple dosage decisions, as  
7 | Tom pointed out. There's the length of treatment. There's  
8 | the frequency of doses. There's the magnitude of each  
9 | dose. I'm just summarizing them all and saying that's  
10 | dose.

11 |           Then there is some kind of a surface here that  
12 | describes relationship between the probability of efficacy,  
13 | the kind of patient you are, and the dose you get. In this  
14 | particular example, a patient down at the left-hand axis  
15 | gets efficacy very quickly and it flattens out rather  
16 | rapidly; whereas, a patient at the right-hand end has a  
17 | little flat area where he's not getting efficacy as we  
18 | raise the dose, and then he gets it and flattens out at a  
19 | lower level. So, for this kind of a patient, the drug is  
20 | less probable, even in its maximal effect on this  
21 | individual, to cause the good effect. Well, that's one  
22 | side of the equation.

23 |           Here's another side of the equation, same kind  
24 | of a picture. I just made this up, a picture of toxicity  
25 | which says a person at this level tends to not get toxicity

1 until the dose rises a fair amount; whereas, a person at  
2 this level -- maybe it's an old person or somebody like  
3 that -- starts to get toxicity earlier. Notice that this  
4 kind of a person seems to get more toxicity at the range  
5 studied here, or a higher probability of toxicity, than  
6 this kind of person ever gets.

7 So, we can slide this curve over to there, and  
8 then we've got the net expected utility in this very, very  
9 simple situation. That would be the maximum expected  
10 utility for somebody at this end of the scale. It's the  
11 maximum distance between that curve and this curve in this  
12 very simple case. There it is. That says that that's the  
13 optimal dose for that person.

14 So, the whole thing is all very nice, and the  
15 only reason I'm showing you this is not because you could  
16 ever do these computations, but because it's focusing on  
17 what do we need to know. What we need to know are those  
18 two surfaces in this simple case.

19 Another point to be made about this is that for  
20 this kind of a patient, there isn't any optimal dose.  
21 Toxicity always exceeds efficacy, so you don't want to use  
22 the drug in that kind of a person. So, if we could know  
23 this kind of thing, we could, in principle, settle the  
24 problem. That's what we want to know.

25 How certain do we want to be? I say, not very.

1 Why? Well, we've already heard why. Not very certain is  
2 already the current standard. Often only three or four  
3 doses are tested pre-release, and one of these is almost  
4 invariably chosen. As Carl has pointed out to you, a lot  
5 of drugs require relabeling, so it's clear we're not doing  
6 very well on this. So, we only have to do a bit better to  
7 justify what we're doing.

8 Also, it's true that for reasonably safe drugs,  
9 a wide dose range is tolerable, so you don't have to get it  
10 exactly right. And unpredictable inter-individual  
11 variation is always going to make it uncertain for any  
12 individual. Even if you know how to adjust the dose for  
13 age or sex or weight or renal function, there will still be  
14 unpredictable inter-individual variation, so that we'll  
15 never know the perfect dose for anybody until we treat that  
16 person.

17 That's the last point, which is that dose  
18 titration is a standard part of medical practice so that,  
19 in effect, we limit the harm of a wrong initial dose by  
20 adjusting it later.

21 So, these are all reasons why we don't have to  
22 be perfect here, but we certainly can do a lot better than  
23 we're doing and that will have a payoff not only for  
24 individuals but, of course, for society as a whole. A  
25 small increment in benefit relative to risk multiplied by

1 the number of people who tend to get antihypertensives can  
2 be a considerable benefit to society.

3 Finally, the last question is, what are we  
4 willing to assume? I say, valid scientific knowledge of  
5 dose response or dose concentration response. I guess the  
6 serious question here is why am I talking about what we're  
7 willing to assume.

8 Let me first set up the problem, which I have  
9 already been setting up. There's something called the  
10 curse of dimensionality and this response surface. I made  
11 a very nice little picture there. But there are obviously  
12 a large number of distinct dosage decisions, as we've  
13 already mentioned, each with multiple options. There are a  
14 large number of distinct patient variables that affect the  
15 relationship between concentration and response, and they  
16 each have multiple values.

17 So, here's the claim. It's impossible to study  
18 all possible combinations of dosage by patient type  
19 variables. It can't be done. Empirically it simply cannot  
20 be done.

21 So, response surface estimation then requires a  
22 parsimonious representation of that surface that's going to  
23 interpolate and extrapolate between and beyond what have  
24 got to be limited data. We have got to be able to describe  
25 that with less than every single type of patient given

1 every single size of dose and duration of therapy, et  
2 cetera.

3 These interpolating and extrapolating functions  
4 are the assumptions. These are the models that we use to  
5 cause the surface to be described in a parsimonious way,  
6 and if we can't make those assumptions, we cannot proceed.

7 The certainty assumption tradeoff then hinges  
8 on the scientific validity of those assumptions. So, to  
9 quote one of my heroes here, in other words, nothing is  
10 wrong with making assumptions. On the contrary, they're  
11 the strands that join the field of statistics to scientific  
12 disciplines. The quality of those assumptions, not their  
13 existence, is the issue. That's the certainty assumption  
14 tradeoff. That was a quote from a paper by Rob Little and  
15 Don Rubin that came out this year.

16 Now, how do assumptions help us? They provide  
17 the required parsimonious representation. We've talked  
18 about that already. They increase the amount of  
19 information we can recover from the data, and I'll have  
20 more to say about that in a moment. And they allow us to  
21 do what you might call meta-analysis. They allow us to  
22 synthesize information from multiple sources so that all of  
23 those various trials that Ray is talking about can be put  
24 together and all analyzed together and you can get the dose  
25 response. Ray has just made a proposal for one trial that

1 | has several arms that are escalated in different ways, but  
2 | of course, you could have different trials. There's no  
3 | reason why you can't combine them, but you need models to  
4 | do that. Again, I'll show you very briefly why.

5 |           Another very important part of this is that  
6 | they can adjust for missing data and other deviations from  
7 | protocol that make exploratory or explanatory analyses  
8 | difficult because you're trying to learn what's really  
9 | going on despite the fact that people didn't take the doses  
10 | you asked them to or people didn't show up at the clinic  
11 | for being measured when you wanted them to. So, let me  
12 | just say a few words about those two so that you're clear  
13 | about that.

14 |           Models increase the information recovered.  
15 | Well, information is basically signal to noise, and in any  
16 | given study the signal is the total variability in the data  
17 | and that's kind of fixed. But the signal is the variation  
18 | due to identifiable and interesting causes such as  
19 | differences in dose, and the noise is the residual or  
20 | unexplained or uninteresting variation that, in fact, make  
21 | it more difficult to figure out what the signal is.

22 |           As I say, the total variation, which is what  
23 | information is, in any given data set is fixed. So, models  
24 | can't add information. They increase information by  
25 | turning noise into signal. If information is the ratio of

1 signal to noise, if I take some noise and I move it up into  
2 the numerator, I'm getting sort of a double benefit. I'm  
3 making the noise less and the signal greater, and I'm  
4 getting more information out of this.

5 A simple example. The noise in a dose-response  
6 relationship due to inter-individual variation in  
7 pharmacokinetics can turn into signal regarding the  
8 concentration-response relationship if we measure  
9 concentrations and if we try to make some kind of a model  
10 that relates concentrations to effects.

11 Well, what's the assumption, what's the model  
12 that allows us to turn that noise, that pharmacokinetic  
13 variability, into signal about concentration response?  
14 It's simply the assumption that drugs act through  
15 concentrations, not doses. If you don't believe that, then  
16 this concentration information can never be used to get  
17 signal. If you believe that, then this is a sensible thing  
18 to do.

19 Turning to the other thing, models adjust for  
20 design problems. Explicit modeling of covariate effects,  
21 obviously, sex, age, et cetera, allows pooling of data from  
22 different patient groups because now we know how to adjust  
23 or we can estimate how to adjust for the different groups.  
24 But as long as we don't explicitly model the effects, then  
25 we're comparing old people to young people and we know it's

1 | apples and oranges.

2 |           Serial observations and explicit modeling of  
3 | system dynamics. In other words, making time be a  
4 | covariate that's a part of our model. It shows up in our  
5 | model. The time of the dose, the time of the onset of  
6 | response. When we make that be a part of our model, then  
7 | we can pool data that comes from studies with different  
8 | treatments and different designs. And that's very  
9 | important. If you observe at different times in different  
10 | studies, you can't average the response at 1 week with the  
11 | response at 3 weeks unless you can have somehow time in  
12 | there and correcting for those features. So, you have to  
13 | make time be a covariate. A model is required to do that.

14 |           Explicit modeling of variation in error  
15 | structure allow pooling of data with different precision.  
16 | That's a subtle point that I don't want to go into, but  
17 | it's important for pooling data from various studies.

18 |           Finally, explicit modeling of deviations from  
19 | protocol -- adherence and dropout being the major ones --  
20 | can avoid biases that such deviations might otherwise  
21 | cause. I'll give you an illustration of that in a moment.

22 |           So, here's the illustration. Everybody who has  
23 | heard me talk has heard me talk about this example, but  
24 | it's a good one. Modeling in the case of Ketorolac and  
25 | dose finding allows pooling of several trials. I just

1 mentioned that that was a feature of modeling. Allows us  
2 to avoid some bias due to deviations from protocol. In  
3 this case, it's dropout. And allows rational dose-finding  
4 which I want to end with and focus on a little more than  
5 the others.

6 So, the standard analgesic trial design is a  
7 parallel dose, placebo versus two to four active treatment  
8 levels. You have a pain-initiating event, for example,  
9 surgery. Analgesic dose is given when the patient says  
10 they hurt. Pain relief, which I'm going to call Y at time  
11 t, is measured on a categorical scale. Often 0 is no pain  
12 relief, 4 is complete pain relief, and 1, 2, 3 are in  
13 between.

14 The difficult part, the part that produces the  
15 potential bias is that if somebody is not getting enough  
16 pain relief, they can demand rescue medication, and then  
17 they're effectively dropping at some time t. That's the  
18 last scheduled observation time because after that,  
19 although of course they could be observed, the pain relief  
20 is not necessarily due to the agent you're testing but now  
21 it may be due to the rescue medication.

22 The Ketorolac trials. There were three of  
23 them. So, we're pooling trials here. They had different  
24 designs, but across all of them, we have these doses being  
25 tested and pain relief being elicited at these times. For

1 example, in one of the trials, it was at a quarter of an  
2 hour. In most of the trials, pain relief was first  
3 inquired about at either a half an hour or 1 hour. So, as  
4 I say, these are differences in designs, but we can put  
5 them together because we're going to have a model that  
6 models the time course of pain relief. So, we don't have a  
7 problem with that.

8 There were a total of 254 patients. As I said,  
9 our data are pain relief score and the time of rescue.

10 Here's just a picture of what the data look  
11 like. These are each histograms. I have six of them.  
12 This is for placebo, 2.5 milligrams, 10, 12.5, 100, and  
13 200. Each bar is broken up into dark segments and light  
14 segments. Just look over here for an example. The dark  
15 segment down at the bottom. These are people with no pain  
16 relief, and then, for example, at this time point here,  
17 which is 1 hour, you have some people with a little bit of  
18 pain relief, more, more, and then finally dark again when  
19 you have a lot of pain relief. So, these are people with  
20 complete pain relief up here.

21 What we can see is as we go to higher doses,  
22 that the black up at the top tends to get more. So, the  
23 drug is working and certainly at later times. Maybe in the  
24 early times it doesn't seem to be doing very much, which is  
25 okay. There's a delay of action.

1                   But a key point here is if you look over here,  
2 you see this placebo. What I've done is drawn the width of  
3 these bars to be proportional to the number of people who  
4 are still there at the time they're being inquired of.  
5 What you see is that in the placebo group people are  
6 dropping out. These bars are thinner than, for example,  
7 here in the 200 or the 12.5 or whatever. What's happening,  
8 of course, is the people who are not getting pain relief  
9 are dropping out. So, if you look at the people who are  
10 left, they actually have pretty decent pain relief. That's  
11 almost as large a fraction of complete pain relief there as  
12 it is for clearly efficacious dose. So, you can't just  
13 take the average. That's the problem of bias that I talked  
14 about.

15                   Well, we have an analgesic model. There's a  
16 picture of it, and it's got really basically the following  
17 parts. It's saying that the drug dose comes in and turns  
18 into concentrations, which eventually become a  
19 concentration at some active site, and that influences the  
20 pain relief. At the same time, we've got some kind of a  
21 placebo or time evolution of pain that's causing pain, and  
22 these two are conflicting with each other. So, we get to  
23 observe the green pain relief. This model also entails the  
24 notion that there are individual effects, this gray bar  
25 here for randomness, that individuals differ with respect

1 to their pharmacokinetics and with respect to their pain  
2 course.

3 Then the model says that the degree of pain  
4 relief will influence whether or not you drop out, and I've  
5 got dark gray here to suggest that there's variability in  
6 this part too. These are probabilistic models rather than  
7 deterministic models.

8 What are the key assumptions that we require to  
9 analyze the data with a model like that? That drug acts  
10 only through its concentration. Notice that there's no  
11 line from dose to effect. It goes through concentrations.

12 Individuals are consistent over time. The idea  
13 here is that individual effects are a characteristic of  
14 individuals, but they are persistent for that individual.

15 Individual differences affect baseline and drug  
16 kinetics and dynamics. That's just saying that the  
17 individual effects here affecting this part, the natural  
18 time course, and they're affecting various parts of the  
19 kinetics.

20 The important assumption here is that this  
21 pharmacokinetic model is linear. So, if I double the dose,  
22 I double the concentration. That will allow me to  
23 extrapolate to other concentrations, but it doesn't say  
24 that the pharmacodynamic part is linear. It's not true  
25 that if I double the dose, I double the effect.

1           The dropout depends only on the observed level  
2 of pain relief. This is a technical point which allows me  
3 to deal with this bias. So, I have no other arrows to drop  
4 out from these individual effects, for example, or from  
5 anything else. It just says, you're going to drop out  
6 depending upon whether or not you have adequate pain  
7 relief.

8           So, here's the basic result. On the left-hand  
9 side, I've got the predictions and the observations of the  
10 pain relief. So, these are the data that are observed.  
11 Each of these four pictures is a kind of response surface,  
12 but it's a probability. So, this is the picture of the  
13 probability that you'll have some pain relief, that is,  
14 pain relief greater than 0, and this is that you have  
15 greater than 1, so on, all the way out to here, that pain  
16 relief is greater than 3, or in other words, this is the  
17 complete pain relief model. The z direction, the vertical  
18 direction, is the probability that you will have pain  
19 relief, as I say, here greater than nothing, some pain  
20 relief here, complete, and there in between. Along this  
21 axis I have dose and along this axis time. So, this is the  
22 response surface for dose and time against probability of  
23 pain relief.

24           The circles are an attempt to estimate these  
25 things directly from the data. They are simply, take the

1 number of people who had pain relief 2 at dose 100 and take  
2 the fraction of people who had some pain relief and plot  
3 that along that probability axis.

4 The surface is what's estimated by the model.  
5 But this is estimated for the observed data. So, this is  
6 the probability, for example, here of some pain relief  
7 given that you're still in the study, that your dropout  
8 time is greater than the time at which you're being  
9 observed. What you can see here is that you have generally  
10 sort of a flat curve. That's not the curve we're  
11 interested in. What we want to know here is what would  
12 happen if you didn't drop out. What is the drug actually  
13 doing, and that's estimated from the model. I can't put  
14 the data on this curve because the data don't conform to  
15 this situation of no dropout, but you can pull that out of  
16 the model.

17 For example, it shows you, if we just take one  
18 of the faces here, the placebo effect, which looks like  
19 it's rising in time. You saw that on the histogram. Is it  
20 really rising in time? According to the model-based  
21 analysis, it's staying flat. It's just that these are  
22 people who are dropping out. So, that's why the level is  
23 going up here. When we get rid of the dropout factor in  
24 our predictions, then we can see that there is a placebo  
25 effect, but then it flattens out.

1                   Similarly, if you look over here, you can't  
2 really discern a dose-response curve, a difference in  
3 response at 6 hours depending upon the dose you receive,  
4 because we flattened everything out because of these  
5 dropouts. But here it's pretty clear that there is a dose-  
6 response curve and, interestingly, the dose at which we  
7 seem to get this sort of a maximum response, about 50  
8 milligrams. So, here's a case in which the trials were  
9 done at doses well in excess of what turned out to be the  
10 maximum effect.

11                   Now, let me just end with the dose-finding  
12 part. The optimal dose is often considered to be the  
13 minimal effective dose, and that's generally defined to be  
14 the smallest tested dose such that the null hypothesis of  
15 efficacy equal to placebo or no efficacy is rejected.

16                   Now, Ray said we can just forget about that  
17 definition, and maybe it is a straw man, but it is  
18 certainly one that has been used a great deal in the past  
19 and I wouldn't be surprised if it continues to be used.

20                   Well, the problem is that the minimum effective  
21 dose, defined in this way, is design dependent. It isn't  
22 something that depends on the science. It isn't something  
23 that depends directly upon the underlying situation. It  
24 depends upon the design. It depends on study size. If I  
25 make a bigger study, I can obviously find a significant

1 difference with a smaller dose. But why should the dose we  
2 suggest depend upon the size of the study we use to  
3 determine it? It must be one of the tested doses. You  
4 can't find some dose that you didn't test. So, again, why  
5 should the best dose be one that we happen to choose to  
6 test? It must be a single dose because that was the design  
7 of all the studies, one single dose.

8 But why should it be a single dose? Maybe the  
9 best way to give this drug is a dose, and then a couple of  
10 hours later, another dose, and a couple of hours later,  
11 another one.

12 So, this is an inappropriate use of the  
13 confirming paradigm of testing a hypothesis when we should  
14 be learning. And what is a rational approach to this?

15 Well, let's define these things we talked about  
16 earlier, efficacy and benefit and harm. So, let's take the  
17 outcome that we want to maintain a certain minimum  
18 probability of pain relief greater than some value. So,  $K$   
19 is some value. That could be 3, in which case we want to  
20 say we want to maintain a minimum probability we have  
21 complete pain relief. But if  $K$  is 0, which is the example  
22 I'll show you, then we're saying we want to maintain a  
23 certain minimum probability that we have some pain relief  
24 between certain times and we're going to put a large  
25 utility on that.

1           Our "toxicity," since we didn't have any  
2 toxicity in this study is just dose magnitude. What we're  
3 going to say is the bigger the dose, the more it costs us.  
4 We're not going to big disutility on that, so that the  
5 combination of these two things will essentially yield us  
6 the smallest dose that will produce the minimum relief that  
7 we want between those times.

8           Patient factors. There won't be any. No  
9 difference between men, women, or whatever. But we could  
10 have put them in there.

11           The response surface that we're going to use is  
12 the one that I showed you a picture of. It's the efficacy  
13 outcome obtained by a simulation from the model of the  
14 probability of pain relief being greater at any given  
15 level, and we have that model because we fit it to our  
16 data.

17           To make things clear that we don't necessarily  
18 have to use that minimum effective dose kind of idea, we  
19 say, let's find the two-dose regimen where we give one dose  
20 at time 0 and we give another dose at some other time.  
21 What we want to find is that other time and the value of  
22 that dose plus the value of the time 0 dose. So, there are  
23 three things we need to find out. Two dose sizes and the  
24 time of the second dose, something we never studied. And  
25 we want to find it such that the probability that we have

1 | some pain relief at all times between 1.5 and 8 hours is no  
2 | less than some minimum.

3 |           Before I get to that minimum, let me just talk  
4 | about the 1.5 to 8 hours. There's a time lag in the  
5 | effect, so we don't see any pain relief before an hour.  
6 | So, it doesn't make sense to make that earlier, and I chose  
7 | 8 hours just to go beyond the data. The data in this study  
8 | only went to 6 hours. I just want to show extrapolation.

9 |           Now, what's the level of pain relief? I want  
10 | to have a greater than 70 percent probability that I have  
11 | some pain relief. Why? Because that's the value that you  
12 | get between that time from the model for a single dose of  
13 | 200 milligrams given at time 0. That was the largest dose  
14 | given. So, I want to match the efficacy of a single dose  
15 | given at 200 by giving two doses at different times.

16 |           Here's kind of a picture of the initial dose  
17 | versus the second dose. What this says is if I give 60  
18 | milligrams to start with and 60 milligrams at 6 hours  
19 | later, I'll get just a good a result as if I get 200 at  
20 | time 0 over 1.5 to 8 hours. If I give about 50 milligrams  
21 | at my initial dose or 40 and then 30 or 20 at 5 hours  
22 | later, I get the same result. And if I give 20 at time 0  
23 | and 40 at 3 hours later, then I'm giving a total of 60  
24 | milligrams, and I'm getting just as good a result as if I  
25 | give 200 at time 0. The winner actually is 4 hours. If I

1 give 20 at time 0 and 20 at 4 hours, I get just as good a  
2 result at a total dose of 40 milligrams versus 200  
3 milligrams if I use it that way.

4 Now, if you think it's better to give 40 than  
5 200, here's an example of a rational approach to dosage.  
6 You don't have to use these same criteria, but this is the  
7 idea. What do you want to know? What are you trying to  
8 achieve? Now, let's use the model to tell us how we get  
9 it.

10 So, optimal dosage decisions maximize expected  
11 utility. Utilities are subjective values of outcomes.  
12 Expected utility is an average over outcomes, each weighted  
13 by the probability. And the set of probabilities is the  
14 response surface. It's a function of dosage regimen and  
15 patient features. It's derived through experiment and  
16 observation.

17 I have not shown you, as Ray promised that I  
18 would, how you can analyze an escalating dose trial and  
19 find out about those models. I showed you an arrow dose  
20 trial. But again, that's a technical issue. It can be  
21 done. You use these things called mixed-effects models,  
22 and you can do it.

23 Response surface estimation is best viewed as a  
24 learning, not a confirming exercise. You can't study all  
25 the possible combinations of regimens and patient types, so

1 we have to interpolate and extrapolate. I showed you  
2 interpolating and extrapolating as an example of doses for  
3 the Ketorolac. We went beyond the time of the experiment  
4 and we used two doses rather than one.

5 PK/PD model-based learning designs analysis can  
6 do so by making scientifically valid assumptions.

7 Assumptions reduce inferential certainty because if the  
8 assumptions are wrong, the conclusions are wrong, but  
9 fortunately dose-finding doesn't require great certainty.

10 Thank you.

11 DR. PACKER: We'll take questions from the  
12 committee. Any points of clarification specifically  
13 focused on this presentation or any residual questions to  
14 Ray's supplemental presentation? Tom?

15 DR. FLEMING: I've got a number of thoughts. I  
16 think much of what Lew has said I find myself in agreement  
17 with. I would maybe refine some of the perspectives, at  
18 least as I think about them.

19 Just as a quick aside, even this isn't the main  
20 focus, Lew had pointed out the importance of three  
21 questions -- what do we want to know, how certain do we  
22 need to be, and what are we willing to assume -- and  
23 pointed out the distinction between domain-specific experts  
24 and technical experts and more or less indicated that the  
25 domain-specific experts are the key people for answering

1 those three questions, and once those answers are in, the  
2 technical experts are then charged with essentially the  
3 scientific modeling and analysis of data.

4 In a real sense, I would agree with how I would  
5 allocate primary responsibilities, but I would argue that  
6 the domain-specific experts need to have at least a given  
7 level of understanding of what the technical experts are  
8 about so that they have at least a general sense of the  
9 reliability of the analyses by the technical experts and  
10 how much the model assumptions can impact on that  
11 reliability.

12 Conversely, I would say the technical experts  
13 really need to have a base understanding of the clinical  
14 situation since they're the ones who really understand the  
15 robustness of the results from the modeling, they have a  
16 general sense of what makes reasonable justification in  
17 terms of the answers to those questions. In fact, I've  
18 often heard it said that in an ideal situation if you sat  
19 down at a meeting of the technical experts and the domain-  
20 specific experts addressing issues such as this, you ought  
21 not be able to tell initially which is which because both  
22 disciplines should have a given understanding in order for  
23 this synergy to really achieve its maximal.

24 Relative to the --

25 DR. SHEINER: Can I just make a quick response

1 to that? Because I think we are pretty much in line.

2 There are two best of all possible worlds. One  
3 of them is the last one you described, which is that you  
4 can't tell the difference. I don't know that that's  
5 achievable. The other best possible world, of course, is  
6 that everybody is absolutely clear on just where their  
7 expertise is and makes completely clear to their  
8 counterpart just what their assumptions are.

9 Because that's also impossible, that's why you  
10 have to have what you were talking about, Tom. That is to  
11 say, you need to be in the same room together because there  
12 are always hidden assumptions that we just kind of go on  
13 and don't even know we're making that the domain expert  
14 will make or the statistician will make. Each one has to  
15 be able to say to the other one, how come we got there from  
16 there? What did it take to do that? But as I say, there  
17 are these two alternative best possible worlds, and if we  
18 could be in either one, that would be fine.

19 DR. FLEMING: To move to the other aspects of  
20 the presentation, it is exactly as you point out, Lew,  
21 critical to be able to augment the actual data with  
22 modeling in order to try to glean as much insight as  
23 possible from the data.

24 I would agree very much with what I think I  
25 heard you say toward the end, and that is the amount of

1 | reliance that we're able to make on modeling depends on  
2 | whether we view ourselves to be in an exploratory versus a  
3 | confirmatory stage of the overall development. I see,  
4 | exactly as you pointed out, the IIB stage as a critically  
5 | important stage for exploration and, in turn, an invaluable  
6 | contribution to that is modeling.

7 |           Of course, not all modeling is the same.  
8 | Certain models carry with them stronger assumptions and  
9 | certain types of modeling assumptions are stronger. It's  
10 | important to distinguish those models that are making very  
11 | strong assumptions that in fact in a certain sense can give  
12 | us broader insights, but those insights may be at some risk  
13 | in terms of their reliability.

14 |           So, to be more specific, if we're talking about  
15 | modeling covariate effects or modeling for dose response, I  
16 | think many of us are more comfortable if our conclusions  
17 | are based on interpolations as opposed to extrapolations.  
18 | So, for example, if we're looking at the effect by age and  
19 | we're really interested in the effect in 70-year-olds and  
20 | our data is only on effects in 20- and 30-year-olds, then  
21 | that extrapolation puts us at much greater concern than if  
22 | the data is looking at results in 20-year-olds and 70-year-  
23 | olds and we're interested in 50-year-olds. We're  
24 | interpolating rather than extrapolating.

25 |           And same issue occurs in dose response. If

1 we're trying to understand this dose-response surface and  
2 we fit a model and we only have information on lower doses,  
3 I'm very reluctant to extrapolate to a high dose, whereas  
4 I'm much more willing to interpolate.

5 And this same issue arises for looking at  
6 models over time as well.

7 This issue is actually something that occurs  
8 across a wide range of challenges that we face. Do we need  
9 to randomize? Well, technically we can adjust for  
10 differences between intervention and control groups based  
11 on baseline covariates that we would have. Unfortunately,  
12 those baseline covariates are just the tip of the iceberg  
13 for what explains the differences, and hence, that's the  
14 concept of extrapolation. That's the reason we argue we  
15 have to randomize because the covariates that we have for  
16 adjustment are just a fraction of the totality of  
17 covariates that we would need.

18 Is missing data important? Is it informative  
19 missingness? Well, if we can explain the mechanism of  
20 missingness by covariates, then we can fully adjust for the  
21 potential bias. But it's the same situation as  
22 randomization. We only know a tip of the iceberg of those  
23 covariates that truly explain the nature of missingness in  
24 most situations.

25 Surrogate endpoints. Why is it that we often

1 don't accept a surrogate endpoint? Well, if we understood  
2 the causal pathways by which the disease process influences  
3 the outcome and those causal pathways are entirely mediated  
4 through the surrogate marker of interest and there are no  
5 unintended effects of the intervention, then we can rely on  
6 the surrogate. But again, it's an extrapolation problem.  
7 We're concerned that the effects of the disease process are  
8 only partially mediated through the biologic marker of  
9 interest, and there could be unexplained covariates.

10           So, basically what I'm trying to get at is the  
11 fundamental scientific principle here that very often we  
12 have abilities to set up models and those models are models  
13 we feel much more comfortable trusting if we are, in  
14 essence, interpolating as opposed to being forced to  
15 extrapolate. It's the reason I have trouble with non-  
16 randomized trials or with missing data or with reliance on  
17 surrogate endpoints, or in the case of discussion here,  
18 fitting dose-response surfaces or looking at effects over  
19 time or looking at effects by covariates. If I have data  
20 and I can interpolate, I'm going to be more comfortable  
21 than if I have to extrapolate.

22           But my level of comfort is much greater is  
23 you're asking me to do this in an exploratory approach.  
24 So, what you've laid out makes perfect sense for me,  
25 especially in a phase IIb trial where I can, in fact,

1 arrive at the strongest possible conclusions from the data  
2 that you have given to me, the best insights possible, but  
3 then I can come back and confirm that in a confirmatory  
4 trial.

5 DR. SHEINER: All the principles that you  
6 enunciate are ones that I agree with, but I think there is  
7 a disagreement and I would like to sharpen it.

8 I think the basis on which you trust making a  
9 prediction of an unobserved result, interpolation or  
10 extrapolation, is not whether you're interpolating or  
11 extrapolating. The basis is the credibility of the  
12 assumptions required to make that prediction.

13 Now, interpolation requires, especially if it's  
14 narrow interpolation, only the assumption of smoothness.  
15 It doesn't require any knowledge of process. Almost  
16 everything is smooth.

17 DR. FLEMING: Or at least monotonicity.

18 DR. SHEINER: Yes, monotonicity or something  
19 like that.

20 Whereas extrapolation, especially distant  
21 extrapolation, requires that the basis on which that  
22 extrapolation is made have actual credibility in terms of  
23 -- you're remodeling the real world -- the real world. So,  
24 I'd say it's how good the science is, and the fact that you  
25 can rely on smoothness or monotonicity in many fields and

1 | you don't have to think too much about it does definitely  
2 | make you feel better.

3 |           But I think the only solution to this problem,  
4 | which is going as far as you can go with information,  
5 | giving people the best information about how to dose  
6 | patients, the only way you're going to get there is by  
7 | carefully looking at which scientific assumptions you need  
8 | to make, making sure you've got those straight, and then  
9 | letting that make you be comfortable with your  
10 | extrapolations.

11 |           So, again, the distinction between the  
12 | exploratory and confirmatory for me in this particular  
13 | instance is one in which we cannot possibly confirm every  
14 | possible combination of dose and patient. So, we are going  
15 | to have to be left, in the end, making recommendations  
16 | based on exploratory or explanatory statements. Therefore,  
17 | our obligation is to make those as good as possible within  
18 | the constraints of how much money we're willing to spend,  
19 | how much time we're willing to spend, and that means  
20 | getting the science straight. That's the key thing.

21 |           In the end, the label will be written with  
22 | respect to dosage based on models or it will be written  
23 | based on empirical observations, in which case it will be  
24 | totally inadequate because it will be a dose you tested  
25 | which you didn't know whether it was the right dose, and it

1 will be a pattern of testing which you didn't know was the  
2 right one. So, we've got that tradeoff.

3 We want to be certain that we know what the  
4 dose does. We have to suggest one of the doses we tested.  
5 On the other hand, there's no reason to believe, as Ray has  
6 shown us very nicely this morning, that the doses we tested  
7 are going to be the best doses.

8 So, I claim that, no, we can do better than  
9 that. We can do reasonable interpolation and extrapolation  
10 and we will have to argue about that. We will have to  
11 reach a compromise, and it will be the domain experts  
12 ultimately who will say I trust that assumption. You can't  
13 block the beta system any more than completely.  
14 Consequently, this thing is flat after a while, whereas  
15 here's a drug that operates by unknown mechanism and I'm  
16 not exactly sure that it's going to be flat within some  
17 reasonable range. All kinds of things like that.

18 So, I think that's a difference in that I'm  
19 saying we are really going to have base the best possible  
20 recommendations on assumptions that we have not  
21 demonstrated in this case in detail.

22 DR. PACKER: I just want to clarify. Tom, what  
23 I did not hear Lew do was advocate a departure from  
24 randomized trials which would address many of the  
25 assumptions that you're most uncomfortable with in terms of

1 | accounting for unknown baseline covariates. I didn't hear,  
2 | Lew, that you advocated such a departure.

3 | DR. SHEINER: No. Again, in the general case,  
4 | I'll advocate using totally observational, uncontrolled  
5 | studies if that's the best you've got and that's all you  
6 | can do. I always want to learn the most I can because I've  
7 | got to make a decision. You have to make a decision. And  
8 | to base a decision on less than everything you know is a  
9 | mistake.

10 | But randomization. Absolutely crucial.  
11 | Controls. Absolutely crucial. Nobody is suggesting that  
12 | we abandon those. Nobody.

13 | DR. PACKER: My sense is that the preservation  
14 | of randomization minimizes a number of assumptions you make  
15 | that are of low quality.

16 | DR. SHEINER: I think Don will talk about this  
17 | more. The more you have departure from protocol -- so,  
18 | let's say people don't take the doses that you prescribe  
19 | and yet you still want to know what happens when they do  
20 | take the dose -- then you have to use those actual  
21 | departures -- and they break the randomization somewhat  
22 | because you didn't randomize for people who were going to  
23 | not comply. They just did it or didn't. So, if you  
24 | strictly hold to the randomization, then you get certain  
25 | nice features, but you answer what I consider to be the

1 wrong question. The use effect in this question rather  
2 than a method effect in this question. What happens when  
3 you prescribe the drug rather than what happens when you  
4 take the drug.

5 On the other hand, if you don't start with  
6 randomization, you don't have a chance, and if you can  
7 design protocols that patients accept so that they follow  
8 the protocols, then you've got the benefits of both. Then  
9 you can do a nice explanatory analysis, if you design it  
10 well, and you've got the benefits of the causal inference  
11 that Donald talked about more that's based on  
12 randomization. But serious causal inference has to be  
13 based on randomization if you've got any doubt at all about  
14 the underlying assumptions.

15 DR. PACKER: Carl?

16 DR. PECK: Well, I had wanted to comment on  
17 Lew's use of the word "assumptions," and I'll do that but  
18 Tom's comments actually allow me to say this in the context  
19 of his.

20 What I want to say was contemporary drug  
21 development programs, ones that are being run right now and  
22 the ones with clinical trials appearing in NDAs, almost all  
23 of the trials are randomized. So, the issue of  
24 randomization is really not an issue except in oncology.  
25 Oncology is sort of in a class of its own, not employing

1 randomized trials except perhaps in a single phase III, and  
2 that's a special problem.

3           But when we count the 30 to 50 to 80 clinical  
4 trials in programs we're looking at, three-quarters or  
5 sometimes 90 percent or sometimes every single trial has  
6 had a randomized phase in it. They're almost all blinded.  
7 So, the quality of data coming from these clinical trials  
8 is very high from the point of view of randomization and  
9 blinding.

10           So, the point I wanted to make is the  
11 "assumptions" that can be available at the time of the IIB  
12 trial, exploratory dose-response trial -- typically there  
13 will be 30 to 50 trials that have preceded that trial.  
14 There's a huge database from randomized, blinded trials,  
15 surrogate endpoints rarely, biomarkers that have putative  
16 linkage in a mechanistic way, often definitely clinical  
17 adverse reaction data that, if properly analyzed and  
18 integrated, provide a very strong set of "assumptions" that  
19 I would -- I don't like the word "assumptions" at this  
20 point because I think that they're so data-informed that  
21 they reflect a reality that's more than just an assumption  
22 pulled out of the air.

23           But I don't think we need to worry about the  
24 lack of randomization. It's the rare clinical trial today  
25 that's not randomized.

1 DR. FLEMING: Just to come back to my earlier  
2 comments, I'm using the concept of randomization to simply  
3 illustrate the point of where many of us have drawn a line  
4 in terms of our willingness to extrapolate. So,  
5 specifically the focus of the discussion, as I see it  
6 today, is on the model assumptions associated with dose-  
7 response surfaces, as well covariate effects and how they  
8 influence those dose-response surfaces.

9 But I wanted to point out that we obviously in  
10 our research strategies make model assumptions in many  
11 other ways or are unwilling to make model assumptions in  
12 other ways. I used as examples of those other ways  
13 randomization, handling of missing information, and  
14 surrogate endpoints. My comment is, in those three  
15 specific instances, the reason many of us believe in  
16 randomization and in the need for minimizing missingness of  
17 information and using clinical endpoints is the alternative  
18 of using non-randomized trials and models for missingness  
19 and surrogate endpoints requires very significant model  
20 assumptions that are more in the spirit of extrapolation.  
21 I.e., we're having to make assumptions beyond the  
22 covariates we have in a model to specifically model the  
23 necessary relationships.

24 So, using that as a guide, I was arguing that,  
25 in the context of our discussion today, which is mostly

1 focusing on dose-response surfaces and covariate effects,  
2 I'm much more confident with making conclusions about given  
3 safety and efficacy at doses if I'm interpolating as  
4 opposed to having to extrapolate. Every single model that  
5 Ray put up at the beginning of today assumes monotonicity  
6 overall. It's not necessarily the case that efficacy is  
7 monotonic. I'm more comfortable, though, with an  
8 assumption of monotonicity when I'm interpolating than when  
9 I'm having to extrapolate.

10 DR. PECK: Well, my comment about interpolation  
11 and extrapolation and the interpretation of phase IIb data  
12 is this is sort of an accident of the moment where we have  
13 incompletely analyzed the available information. You  
14 cited, for example, you'd be uneasy about extrapolating  
15 beyond the maximum age that had been studied, say, on a  
16 phase IIb trial. Many of us would be bolder than that  
17 because we know enough generally from these trials about  
18 what the mechanistic elements of the aging process are that  
19 are affecting drug disposition and pharmacodynamics.

20 So, if your maximum age is 50 and you're  
21 wondering what happens in the 80-year-old, you can use  
22 data-informed modeling to understand that between 50 and  
23 80, your creatinine clearance is going to naturally decline  
24 another 20 to 30 percent, that the drug is excreted  
25 primarily in the urine, and that there's a dose and

1 concentration effect related to side effect profile. You  
2 can certainly expect a higher side effect profile in the  
3 80-year-old given a common dose and the expected higher  
4 blood levels. So, I would not feel my hands tied at  
5 extrapolation in phase IIb trials, given that the data that  
6 preceded it is adequately incorporated. You see, it's not  
7 a frequentist situation at the end of phase II. You are  
8 standing on a mountain of data and potential knowledge if  
9 you extract it.

10 DR. PACKER: I don't want to speak for Tom, but  
11 I think what he's saying is that he's not as convinced that  
12 we are that smart. I think Lew's point specific to this is  
13 the fact that the extrapolation requires assumptions from  
14 domain-specific experts who aren't that smart either. In  
15 fact, there may be other determinants of how an elderly  
16 patient responds to a treatment other than the fact that  
17 there is renal impairment in the elderly and one can  
18 measure blood levels in renally impaired as a function of  
19 creatinine clearance. There are millions of changes that  
20 occur with aging that can affect response and renal  
21 excretion is only one of them.

22 My sense is that Tom is a little bit concerned  
23 that an extrapolation based on a single biological  
24 determinant just isn't good enough to allow for this, and  
25 if domain-specific experts say that that's the primary

1 | determinant, then the answer you may get may, in fact, be  
2 | the wrong answer. I hope I said that correctly.

3 | DR. SHEINER: This is such a nice example, this  
4 | whole thing, what we're doing now because it just is so  
5 | clarifying, the difference between confirming and learning.  
6 | It's clarifying the difference between the attitude you  
7 | take when there is no cost to being right or wrong, so to  
8 | speak. That is to say, you're just trying to figure out  
9 | how the world works. And science I think appropriately  
10 | protects scientists from having to pay a big penalty if  
11 | they make a wrong guess. Well, that's it. You still get  
12 | paid your salary and somebody corrects it and you keep on  
13 | going.

14 | But we're now talking about making suggestions.  
15 | So, what's the competition here? The competition is either  
16 | saying nothing about the effects of age, in which case you  
17 | are leaving people who are less domain expert than the  
18 | domain experts that you're able to talk to to fend for  
19 | themselves, or demanding that age be studied. And then  
20 | somebody else will come along and say, well, sex has to be  
21 | studied and now this has to be studied. And there's got to  
22 | be a stop somewhere.

23 | Either you study everything a little bit with a  
24 | few people, in which case you've got to be very Bayesian  
25 | about how you interpret those data because those are very

1 few points, so you have to put them in a very strong  
2 assumption-rich context in order to do that. Maybe you  
3 want to do that. Or you put a huge effort into studying a  
4 bunch of old people and a bunch of young people, and then  
5 you don't study a bunch of women and a bunch of men.

6 So, you've got no choice. You've got to make a  
7 recommendation. It seems to me that backing off and  
8 saying, well, a million things might happen here and we  
9 don't know them all is actually doing a disservice to the  
10 public because you know -- you being the manufacturer, the  
11 experts, et cetera -- as much as there is to be known, and  
12 it's your obligation to communicate that in as clear a way,  
13 with the right hedges, as possible. That's your  
14 obligation.

15 Now, it's quite different than your obligation  
16 as a scientist if you're just trying to find out knowledge.  
17 Then you say, look, I didn't nail this one down. So, this  
18 hypothesis remains open. I encourage other people to  
19 investigate it.

20 DR. FLEMING: It certainly is exactly as you  
21 say, Lew. The far and away most preferred strategy is what  
22 I call to be inclusive when I'm looking at eligibility  
23 requirements in clinical trials and, as has been advocated  
24 here, inclusive when you're looking at ranges of plausible  
25 doses or schedules or schemes that could achieve optimal

1 benefit to risk.

2 I think sponsors are well served by trying to  
3 achieve that inclusiveness. Those insights can readily in  
4 many settings lead to a better choice of dose and schedule  
5 that would optimize benefit and reduce risk. By looking at  
6 being inclusive in eligibility criteria, it allows us to  
7 gain insights about whether, even if we can't say that they  
8 are definitive insights about subgroups, at least it gives  
9 us the opportunity in phase III trials to generate clues  
10 because, as you point out, ultimately we're going to have  
11 to make decisions as caregivers as to whether to use an  
12 intervention and if so, in what way.

13 From a regulatory perspective, I would say that  
14 considerations are also very consistent as they would be  
15 from the perspective of the clinical community and the  
16 insights that you'd want to know. Ultimately, though, we  
17 want to be able to have evidence that we view to be  
18 adequately convincing or conclusive that a given regimen,  
19 as it's being proposed to be delivered, is in fact  
20 efficacious and has a safety profile that's consistent with  
21 a favorable benefit-to-risk profile.

22 DR. SHEINER: Absolutely. I'm not advocating  
23 abandoning having to have some empirical evidence that  
24 something you did worked out in the world in fact as  
25 observed better than if you hadn't done it or the control.

1 I absolutely agree you need confirmation. It's just the  
2 next thing is what do you put that within. I don't think  
3 we disagree.

4 I think actually a continuing dialogue on this,  
5 we'll see, in the end comes down to that slide that I took  
6 from Don. It has to do with the quality of the assumptions  
7 and that interaction between people who understand the  
8 implications of assumptions and the people who know the  
9 field well enough to know how much credibility to put on  
10 them.

11 DR. PACKER: I think that this would be a very  
12 good time to take a lunch break. Let us take a break for  
13 about, say, 35-40 minutes. Make it 35.

14 DR. LIPICKY: We can't do it in 35.

15 DR. PACKER: We'll reconvene at 1 o'clock, and  
16 at 1 o'clock we'll start with Dr. Rubin's presentation and  
17 move forward.

18 (Whereupon, at 12:21 p.m., the committee was  
19 recessed, to reconvene at 1:00 p.m., this same day.)  
20  
21  
22  
23  
24  
25

## AFTERNOON SESSION

(1:15 p.m.)

1  
2  
3 DR. PACKER: We're going to resume by asking  
4 Don Rubin to cover the topic "How Can We Know What We Want  
5 to Know?" Don, why don't you proceed with your  
6 presentation.

7 DR. RUBIN: I don't have any slides or  
8 transparencies to show you. I'm going to be just speaking.

9 We began with Carl Peck discussing whether even  
10 the analyses of current data that are collected are  
11 adequate for the purpose of decisions about dose response.  
12 Carl basically came to the conclusion that the current  
13 analyses were not. Even though the trials are often  
14 designed as dose-response trials, they're analyzed in this  
15 traditional, null hypothesis testing dichotomy which did  
16 not yield very helpful information for many decisions.

17 Then Ray extended that to say maybe the data  
18 that are collected are inadequate, inadequate in the range  
19 of doses, and suggested that we really should be doing  
20 trials with a greater variety of dose ranges than we have  
21 now.

22 I tend to agree with both those positions.

23 Lew has added support for those positions,  
24 showing you explicit examples and discussing how you might  
25 analyze the data.

1 I'm just going to make some general kind of  
2 comments from a statistical point of view. I'm relatively  
3 recent into this area, but I have gotten involved in  
4 clinical trials and the complications in clinical trials  
5 recently, complications such as missing data,  
6 noncompliance, and how to analyze trials in that context.  
7 I guess at the outset I should say that I completely  
8 support the idea that randomization should be done. We're  
9 not talking about dropping randomized trials at all. But I  
10 think we will be talking about trying to do a better job of  
11 analyzing the data from randomized trials and more flexible  
12 randomized trials than we have now.

13 One thing that I want to mention is that  
14 there's a context that I think is fairly interesting about  
15 the causal inference randomized trials beyond drug  
16 development. The context I'm thinking of right now is in  
17 social science. A couple weeks ago, I was at a conference  
18 dealing with social science, and one of the topics that was  
19 discussed was the tremendous advances that have been made  
20 in social science in thinking about causal inference. In  
21 that context, David Cox was there and I had a chance to  
22 talk to him about something. I think it's kind of  
23 interesting.

24 When do you get to use the word "causes" in  
25 dealing with data? He had this example. He said, let's

1 suppose you have a huge, randomized experiment with no  
2 complications at all. There are no complications with  
3 missing data, dropout, noncompliance, nothing like that.  
4 And there's an obvious effect shown. Do you get to say  
5 that the treatment causes the outcome?

6 Well, the interesting thing was that David said  
7 that he really wanted to see some evidence of mechanism  
8 before asserting that the treatment caused the outcome.  
9 Now, why is that?

10 I'm not sure when you want to use the word  
11 "cause" or not. I'd be inclined to use the word "cause"  
12 and just say I don't understand the causal mechanism.

13 But David's point was that when you're dealing  
14 with a trial in a particular context, what you're really  
15 interested in is in generalizing outside that context.  
16 Unless you have some understanding of the mechanism  
17 involved, you can't really assert how well it might  
18 generalize.

19 That's a comment, I think, on intention-to-  
20 treat analysis as well.

21 Why do I say that? Well, intention-to-treat  
22 analysis is very much viewed as a confirmatory kind of  
23 analysis. You confirm the results of it, but how well do  
24 you know that the results that you see can generalize? If  
25 you think about the situation with noncompliance, for

1 example, when you have noncompliance in a trial, as we all  
2 know, the standard way to deal with it is an intention-to-  
3 treat analysis. The problem with intention-to-treat  
4 analysis is it bundles together personal behavior and  
5 compliance behavior in this trial with a scientific effect  
6 of the drug.

7 Now, I'm not arguing for as-treated. I'm  
8 certainly not arguing for that kind of analysis, nor am I  
9 arguing for per-protocol, nor am I arguing for anything  
10 simple like that. But I am arguing for trying to  
11 disentangle the effects of behavior, which probably will  
12 not generalize outside this trial, to the effects of the  
13 drug, of actually taking the drug, which probably will  
14 generalize outside the trial. And I've written some recent  
15 papers on that, which I hope have had some influence.

16 The thing that you expect to generalize beyond  
17 a trial is the science. The scientific part is in small  
18 steps. That's an important idea, that the small steps, the  
19 small things are the things that you have a better  
20 understanding of.

21 The words "confirmatory" are a little  
22 ambiguous. I think they promise more than they really  
23 deliver. If we are in a perfect randomized trial, why do  
24 these results apply to people in the future? Do we really  
25 have a random sample of people in the future? No. Why do

1 we think they'll generalize then? Well, because we have  
2 some confidence and smoothness of the world, that the  
3 effect of the drug is not going to be affected by ozone  
4 layers or ozone counts or something else like that. So, we  
5 really never have the ideal randomized experiments because  
6 randomized experiments would take units from the future.  
7 So, we're always relying for the generalization on some  
8 kind of science.

9           Now, the information that we require for  
10 approval of a drug is a dichotomous decision. You  
11 eventually have to approve it or not approve it. That  
12 information is very different from the information that's  
13 used by docs for making decisions because those decisions  
14 are not dichotomous decisions. They're not up or down  
15 decisions. They're not yes or no decisions.

16           One of the principles of statistics is that you  
17 should avoid summarizing data, avoid making summaries along  
18 the way until you really must, until you're really forced  
19 to do so. That's part of the decision theory, the analysis  
20 that Lew has put up, that you want to weight things by  
21 probabilities of their occurrence and you want to wait till  
22 the very end until you make a decision.

23           The issue is that as far as the use of dose-  
24 response trials by docs, they never really do have to make  
25 a dichotomous decision until the time when they make a

1 recommendation for a patient.

2           So, what is a real ideal labeling for a drug?  
3 Lew has pointed out the response surface. I think what we  
4 really would like to do, if we could, would be to have a  
5 real response surface that would have something like the  
6 following. We have all these covariates that describe the  
7 patient and the situation. At each value of these  
8 covariates, you'd like to have the expected value of the  
9 effect, the variability of the effect for patients of that  
10 type. You'd like to have the expected number of side  
11 effects, the type of side effects, and you'd like to have  
12 standard errors, measures of uncertainty about all those  
13 things.

14           Ideally, that's the information that you'd  
15 supply with an approved drug, not that the decision to  
16 approve or not to approve has to be continuous, but the  
17 information that you provide will be this continuous kind  
18 of information. That information is very, very detailed.  
19 I don't know whether we can ever move towards that, but  
20 that's the kind of information that would be most useful in  
21 actual prescribing use. It's really this ideal of  
22 individualized medicine, very personalized medicine.  
23 Ideally that's what a label would be in some generalized  
24 sense of what a label is.

25           I'm sort of cycling around here. I hope I'm

1 not cycling around too much.

2           What's the purpose of a trial? Is it just to  
3 test the null hypothesis or is it to summarize evidence?  
4 Now, I think many of us would think that ideally you would  
5 like to summarize evidence in the trial about the efficacy  
6 of a drug, and that means the whole dose-response curve.  
7 That's something that I think we heard pretty much  
8 agreement on, that that would be the ideal thing to do.  
9 That's sort of a Bayesian perspective. It's the whole  
10 modeling perspective. You want to summarize what we know  
11 about the drug and not test the null hypothesis.

12           When you think about testing a null hypothesis,  
13 it's actually not a very interesting thing to do. If you  
14 get a very significant p value, what does it really mean?  
15 Well, what it really means fundamentally is I have found a  
16 model that doesn't fit, and it really doesn't fit. And the  
17 more significant, the more convinced you are it doesn't  
18 fit. And that's not very exciting.

19           Why are we so focused on that? We're focused  
20 on it because usually we have an alternative model in mind  
21 that we're willing to accept in place of the null. But you  
22 have to admit it's not very interesting to just say I've  
23 found something that doesn't work that is a significant  
24 result. I found a model that doesn't work.

25           So, why do we do that? Well, it's a pretty

1 | easy question to answer, and I think the tradition of  
2 | statistics, as it's evolved over the last half century, has  
3 | been focusing on questions that are easy to answer and not  
4 | answering the right questions. I think John Tukey once  
5 | said that it's better to address the right question, even  
6 | if it's a poor answer, than to address the wrong question  
7 | and get a good answer for it. I think what statistics as a  
8 | professional field has been too oriented at doing is  
9 | getting a precise answer to the wrong question, or often  
10 | the wrong question, the precise answer to a too simplistic  
11 | question.

12 |           Now, if you address the more general questions,  
13 | questions that are of interest, you often do get somewhat  
14 | non-robust answers to the real question. Now, this is a  
15 | point that Tom Fleming made. There are different  
16 | questions. If they're more like interpolation, you  
17 | certainly get more robust answers than if they're more like  
18 | extrapolation. I think the difference between  
19 | interpolation and extrapolation is kind of difficult to  
20 | address in many cases. In some cases it's pretty easy. In  
21 | the case that Ray was describing where he showed plots,  
22 | some of those plots where you were extrapolating to beyond  
23 | a dose that you had ever seen. That's probably like  
24 | extrapolation, although Carl had this example of age where  
25 | there's other data that you have available that would

1 suggest you really pretty much know what's going on there.

2 But I do think if you take the attitude that  
3 the right thing to do is to try to get an answer, even if  
4 fairly non-robust, to the right question, that you actually  
5 do better in real practice with that.

6 So, what am I saying? Well, if we accept the  
7 objective as to try to get this response surface which is a  
8 multivariate response surface, it's the expected value, the  
9 variance of the effect, the side effects, as a function of  
10 all these covariates and dosing levels, that's a hard  
11 question to address and you'll have to make a variety of  
12 assumptions when addressing it. But it is most related to  
13 practice. It is a question that really does address how  
14 practice works.

15 If you do get a non-robust answer to that  
16 question, what you often will find is if you're looking to  
17 that formulation, that response surface to address a  
18 simpler question, an average of some kind, then when you  
19 average over that function, you will get robust answers.  
20 The margins of a high dimensional surface are often very  
21 well estimated and very robust even if you're using a model  
22 to do that. So, what I'm saying is that if you use a model  
23 to try to address the right question, that you'll often get  
24 a great deal of uncertainty in the answer to that right  
25 question, but then when you integrate over that model to

1 ask simpler questions, you'll get robust answers to those  
2 simpler questions. The averages are robust.

3 But for actual clinical practice, you'll get no  
4 worse decisions. You'll get better decisions, in fact,  
5 than if you ignored the right question and provided no  
6 evidence at all, no argument at all for what the right  
7 question should be because then you're left -- I think this  
8 point came up at the end of maybe Lewis' talk. If you just  
9 do not provide information, then the user, the doc has to  
10 make decisions based on no information at all. If you can  
11 provide some information, it's better than none.

12 Now, I want to say a couple of other comments.  
13 Then I'm going to close.

14 I did say that no one is saying don't do  
15 randomized trials. Absolutely, we have to do randomized  
16 trials. In design, you must do it.

17 However, how do you deal with missing data in a  
18 randomized trial? Well, you really can't if you're going  
19 to try to do it in a completely robust way and try to put  
20 in worst values or something like that, but that's not  
21 getting at science at all. When dealing with  
22 noncompliance, a similar kind of problem. When you deal  
23 with surrogate outcomes, you have a similar problem.

24 Where I started out with the David Cox comment  
25 was the fact that things that generalize best are small

1 pieces of scientific understanding. In order to decompose  
2 those, even in a randomized trial, you're going to have to  
3 rely on models to do that. I think surrogate outcomes is  
4 an area which is very poorly understood. I think future  
5 technical statistical work will have a big effect there in  
6 understanding how to do that. That's in parallel with  
7 understanding, dealing with noncompliance in clinical  
8 trials, how to decompose the effect into the behavioral  
9 effect on compliance and the effect of the drug.

10 I think that's all the comments I really want  
11 to make, and I will be happy to take any questions. I  
12 think that there should be some.

13 DR. PACKER: Any questions from anyone on the  
14 committee? Actually we can open up for any of the  
15 presenters today. Ray?

16 DR. LIPICKY: I'm just curious to know how you  
17 define scientific.

18 DR. RUBIN: Well, I'd say that's obviously a  
19 tricky question. Scientific really means there are  
20 relationships among variables that we think we understand  
21 in kind of a mechanistic way, not necessarily really a  
22 deterministic way, but a mechanistic way. Things such as  
23 reasons for dropping out of a study are not part of  
24 science.

25 DR. LIPICKY: Right, I understand.

1 DR. RUBIN: They're reasons for behavior, not  
2 part of science.

3 DR. LIPICKY: So, let's say that I have three  
4 sets of data that are really tables of random numbers, but  
5 I can deduce from each set something that the data says to  
6 me and I can somehow or another relate those three data  
7 sets to one another. Do I have a scientific piece of  
8 stuff?

9 DR. RUBIN: I'm not sure I understood the  
10 question.

11 DR. LIPICKY: I have three tables of random  
12 numbers. I've done an experiment, and I have three tables  
13 of random numbers. I look at the first table and I say, I  
14 have dose and effect here and I can make something out of  
15 that. But really the relationship between dose and effect  
16 is --

17 DR. RUBIN: Noise.

18 DR. LIPICKY: -- just noise. But I can make  
19 something out of it. So, now I think I have found  
20 something.

21 DR. RUBIN: And you can make something out of  
22 it because?

23 DR. LIPICKY: No. I can. I could. Believe  
24 me, I can.

25 (Laughter.)

1 DR. RUBIN: If you keep working at it, you can.  
2 Is that what you're saying?

3 (Laughter.)

4 DR. LIPICKY: Then I have another table of  
5 random numbers, and I do some similar, clever thing,  
6 analysis. Then I have a third table of random numbers, and  
7 I do the same thing there. So, now I have three different  
8 pieces of relationships. Would you call that scientific?

9 DR. RUBIN: It sure doesn't sound it to me.  
10 I'm not sure what kind of analysis you're doing.

11 DR. LIPICKY: Well, I guess really let me ask  
12 the question differently then. The question I'm asking is  
13 in this set of data that you wish to analyze somehow, don't  
14 you have to have something in that data set that allows you  
15 to say that this is not a table of random numbers?

16 DR. RUBIN: Sure.

17 DR. LIPICKY: Yes, and what is that something  
18 that you have that allows you to decide that? Because I  
19 can fit some kind of a function to anything, and I'll call  
20 that my model.

21 DR. RUBIN: I think that you're confusing a  
22 couple different things.

23 DR. LIPICKY: Okay. That's what I'm asking you  
24 to straighten out.

25 DR. RUBIN: Right, and I'll try to straighten

1 | it out.

2 |           One is a paradigm that says before you begin an  
3 | analysis, before you look at the data, you should have a  
4 | specified plan of attack. Another thing is that that plan  
5 | of attack should end with a p value, and those are two very  
6 | different things.

7 |           DR. LIPICKY: How do I get a p value out of an  
8 | Emax model?

9 |           DR. RUBIN: If you're going to fit an Emax  
10 | model, how would you get a statistic out of it?

11 |           DR. LIPICKY: How do I get a p value.

12 |           DR. RUBIN: A p value. You do a randomization  
13 | test.

14 |           DR. LIPICKY: What is the test?

15 |           DR. RUBIN: The test is you take whatever  
16 | parameter you fit from the Emax model and you run it  
17 | through the randomization distribution. Then you get a p  
18 | value for it. That's completely valid.

19 |           DR. LIPICKY: You'll have to be more explicit.  
20 | The last math course I had was in high school.

21 |           DR. RUBIN: Something that's often confused in  
22 | statistics is the value of randomization and what it brings  
23 | to you and the traditional analysis that you do in a  
24 | randomize experiment. There's no reason why the only  
25 | statistic that's of interest is a simple comparison between

1 | two means. Randomization doesn't have anything to say but  
2 | it justifies that.

3 |           If you look at the original work on -- where  
4 | does randomization starts? It starts with 1925, 1923 with  
5 | Fisher and Neyman. What was the great innovation about it?  
6 | Well, everybody was sort of talking about randomized trials  
7 | before then. They were writing papers saying, if you  
8 | assigned the plots as if they had been random....

9 |           Fisher's great innovation in 1925 was he  
10 | actually proposed to physically randomize the plots. He  
11 | said that physical randomization would provide an internal  
12 | test of the effect.

13 |           Actually Neyman did a paper in 1923, two years  
14 | before Fisher proposed that, doing mathematical analysis  
15 | with a randomized experiment. And mathematical analyses of  
16 | randomized experiments as if they had been physically  
17 | randomized go back five years before that. That sort of  
18 | spirit was in the air in the 1920s.

19 |           But Fisher's great innovation was he actually  
20 | said do it, do a randomized experiment, randomly assign the  
21 | units. Why? Well, if you randomly assign the units, then  
22 | you will have all permutations. You'll have laid out in  
23 | your mind at least or on a piece of paper all possible  
24 | permutations of the units that would be done if you had  
25 | done randomization. So, if you have 20 units, 20 people,

1 and 10 of them are randomly assigned, one treatment, and 10  
2 of them are randomly assigned to another treatment, then  
3 there are 20, choose 10, randomizations.

4           What is a p value? A p value is like a proof  
5 by contradiction. How do you prove something in  
6 mathematics by contradiction? How do you prove that the  
7 square root of 2 is irrational? What do you do? You start  
8 off by assuming that it's the ratio of two relatively prime  
9 integers, and then you reach a conclusion that they're both  
10 even, that these two integers are both even. I've reached  
11 a conclusion. Therefore, what I assumed must be wrong.

12           So, what is a randomization? What is a p  
13 value? A p value is what do you want to prove is wrong?  
14 What you want to prove is wrong is both treatments have the  
15 identical effect. Absolutely everywhere it's an identical  
16 effect. So, if you have two treatments and you have these  
17 20 people and I observe a value under one treatment for  
18 each person, but not the same treatment obviously, under  
19 the null hypothesis, you know the value under the other  
20 treatment. So, you know all the values that you might have  
21 ever observed no matter what the randomization would be.  
22 If all possible randomizations, you can calculate the value  
23 of the statistic.

24           DR. PACKER: Ray?

25           DR. LIPICKY: Okay.

1 DR. RUBIN: I'm almost done, if I may complete  
2 this.

3 DR. LIPICKY: I don't understand what he's  
4 talking about.

5 DR. PACKER: I think that's okay.

6 (Laughter.)

7 DR. RUBIN: The reason for doing this is just  
8 trying to say what a p value really is. I don't see how  
9 you can be in love in a p value once you understand what it  
10 is. I'm just saying that no matter what statistic you've  
11 put through this randomization test, it's completely valid  
12 and it has the same interpretation as a standard test for  
13 the means. You've got no obligation to run the means  
14 through. You can put any test of this that you want to,  
15 and it could be your estimate of an Emax parameter that you  
16 could put through, and it's just as valid as a test of the  
17 means, as long as it's the one you decided a priori, that's  
18 the one you're going to put through it.

19 DR. PACKER: Really, Lew? Do you have a  
20 question?

21 DR. SHEINER: I want to bring it to the context  
22 that you're dealing with here. One of the things that  
23 advisory committees ask about is the science, which is sort  
24 of what Don is talking about. One of the things you ask  
25 about is what's the import in the world.

1           One thing that you haven't addressed, Don, and  
2 I think maybe we could all think about a little bit, is  
3 that there's an extra, little hooker in the whole thing,  
4 which has got to do with the fact that, not maligning  
5 anybody's intentions, the temptation to make money or to be  
6 an enthusiast for something, in this case profit, is more  
7 than the best of us can resist. Consequently, there's the  
8 extra part of what does the FDA require to be sure that  
9 nobody is playing the game not straight, which is a little  
10 different than our usual assumption, which is that whatever  
11 data you show me, of course, they're the right data. You  
12 may have interpreted them wrong, but that's them. I'm not  
13 even talking about cheating here.

14           I'm just saying one of the problems that has  
15 been raised about model-based stuff -- and I'm going to be  
16 the devil's advocate here -- is that if you get to decide  
17 what you want to do with that, as Ray I think was  
18 suggesting, you can always do something that will make it  
19 look a little better for you. So, society has the extra  
20 problem of perhaps costing itself a little bit in terms of  
21 the ultimate amount of knowledge they get out of data in  
22 order to protect itself against the temptation. And the  
23 question is, how do you build that in?

24           DR. PACKER: Let me ask a question because I  
25 think it's essential for us to bring the concepts that have

1 | been elucidated and focus them on the topic today. Lew,  
2 | let me ask you a question directly related to what you have  
3 | just brought up. If sponsors are going to go forward and  
4 | elucidate the response surface, to what degree do the  
5 | models that they would use need to be prespecified?

6 | DR. SHEINER: That's the key issue. Exactly  
7 | what does prespecification mean? Does it mean  
8 | prespecifying the model, or does it mean prespecifying a  
9 | procedure for coming up with a model?

10 | Let's just say I'll do a multiple regression,  
11 | and I'll pick the variables that meet a certain criterion.  
12 | Then I'll test the predictive ability through some kind of,  
13 | let's say, cross validation or whatever. Now, I haven't  
14 | said what's my model is going to be because I have 10  
15 | variables and I may pick 3 or 4. Is that okay, or do I  
16 | have to say, no, I'm going to actually relate the response  
17 | surface to age and sex and weight, but not to a million  
18 | other things that I might measure because then we start to  
19 | get into this world of my making it look like perhaps I can  
20 | predict perhaps better than I really can.

21 | What procedures do I need to check on how good  
22 | my predictions are? One of the things that's nice about  
23 | intention-to-treat and all that is it's pretty tough to  
24 | game it. So, I think that's a serious issue. When we  
25 | start to say, let's get more information, it's a serious

1 issue to say how should we set up the procedures so that  
2 everybody is reassured that nobody is cheating anybody?

3 DR. RUBIN: The point is not the complexity of  
4 the procedure, but as long as it can be prespecified in  
5 such a way that anyone can duplicate it, that's where its  
6 validity comes from. Validity comes from the ability for a  
7 robot to carry out the analysis.

8 DR. LIPICKY: The way in which I understand it  
9 -- and Tom can correct me if I'm wrong -- in a sort of  
10 morbid/mortal trial, the degree of specificity that is  
11 required up front is really pretty serious. It's the  
12 specific thing. It's the specific analysis. If you are  
13 going to include covariates, you must specify. If you have  
14 not done all of those things, then you are looked at with  
15 jaundiced eye.

16 So, what's being talked about now is really  
17 quite deviant from that, and maybe if it's descriptive and  
18 viewed as needing confirmation, that's okay. I think  
19 that's sort of what's being talked about. I think that  
20 that's right, and I'm not sure I'm articulating it  
21 properly.

22 DR. PACKER: But I think that clearly to the  
23 extent that this kind of information would be deemed  
24 desirable and that methods are elucidated in order to  
25 obtain this information, then the quality controls by which

1 | these methods are applied need to be clarified so that  
2 | sponsors are not disadvantaged by trying to do the right  
3 | thing and finding that a database is modeled by them but  
4 | remodeled by the agency in a way that reaches a conclusion  
5 | which changes the whole way that the dose-response curve  
6 | has been defined.

7 |           In other words, I could foresee a circumstance  
8 | whereby in the learning phases Lew has described, the  
9 | response surface is described, and then they go and do some  
10 | specific additional studies in order to either confirm or  
11 | clarify the response surface, as Lew has described. Then  
12 | the NDA is submitted and the division then says, well, we  
13 | looked at the data from the trial which defined your  
14 | response surface from which you then determined everything  
15 | else you were going to do in terms of subsequent  
16 | development, and we just think you got it wrong.

17 |           DR. LIPICKY: There isn't any way you can  
18 | prevent that.

19 |           DR. PACKER: Right.

20 |           DR. LIPICKY: We looked at black/white and we  
21 | looked at the U.S. versus the rest of the world.

22 |           DR. PACKER: I understand. But my sense is  
23 | that because of the unfamiliarity that people have with  
24 | this process, unfamiliarity breeds fear, and one of the  
25 | fears that could be brought to the table is how do we know

1 that we're doing the right thing, one.

2 Over the past many, many years, there has been  
3 a narrowing of the number of possible ways one can  
4 interpret and analyze a randomized trial done in a  
5 conventional manner, and there are certain analyses that  
6 are what may be called beyond the acceptable range, which  
7 may be done but are not seriously applied.

8 Right now we don't have that experience here,  
9 and one of the fears that may be brought to the table is in  
10 the absence of that experience -- and that is an experience  
11 that will evolve and get better over time -- what  
12 responsibilities are placed on the sponsor and what  
13 responsibilities are placed on the division in reviewing  
14 the data that allow people to feel comfortable that the  
15 conclusions reached will be concordant?

16 DR. SHEINER: I think that's a very serious  
17 question because one of the problems I think we all  
18 perceive now is that there's a certain inevitable  
19 incentivization for ignorance in the drug development  
20 process. We heard about it earlier. We don't want to try  
21 with that much higher dose because we might see something  
22 that is going to get us into trouble. Well, any system  
23 with an incentive for ignorance is a system that's going to  
24 get in trouble, and we're going to get that trouble  
25 eventually, but we won't know about it. We want to, if at

1 all possible, make it a positive thing to find out as much  
2 as you can about your drug by the time you submit your NDA,  
3 within the limits of the resources you have to put to that.

4 I think what you're getting at, Milton, is that  
5 as we allow for much more complex sorts of things, if it's  
6 an adversarial relationship, which to some degree it is --  
7 and I was suggesting earlier -- there are more places for  
8 somebody to pick a hole in what you did and you've got to  
9 know that holes picked in this part are not going to stop  
10 me from getting approval. They're just going to be a  
11 negotiation process for the label, and is it going to say  
12 15 milligrams or is it going to say 10 milligrams or  
13 whatever, or is it going to have this graph in it or that  
14 graph in it, but that the approval at least is something  
15 that's separate, related to certain confirmatory evidence  
16 that you need to have, and that the rules for that and the  
17 complexity cannot impact on that. I don't know how to work  
18 that out. I know it can be, but I think a concern with it  
19 is completely well placed.

20 DR. PACKER: I think it's important to be up  
21 front about recognizing that fear because fears provide a  
22 major disincentive here. What we want to do is align the  
23 incentives so that people feel motivated towards the same  
24 goal, although the motivations may be different, but at  
25 least the incentives be aligned.

1           One of the concerns here is that if there is a  
2 major confusional component as to how this can be  
3 approached, that that would be a disincentive. Somehow  
4 some discussion or a clarification or initial guidance  
5 needs to be provided.

6           DR. LIPICKY: But I think the two can be  
7 accomplished at the same time. For the trivial example,  
8 one of the designs I had was basically parallel group,  
9 three-arm trial. So, there you've got standard stuff. So,  
10 that first arm could be said -- you know, and then the  
11 other stuff could be treated however one wanted to. Then  
12 the only problem would be then the company would ask our  
13 statisticians, well, do we have to pay the price of  
14 multiple comparisons for our parallel three-arm trial, and  
15 I think we would say no. You use that just the way you  
16 usually do, and we'll consider the rest of this stuff  
17 descriptive and then, depending on how you use it, in later  
18 development, find something that would be confirmatory or  
19 something along those lines. So, I don't see any problem  
20 at all with integrating the two approaches in a practical  
21 development program and not penalizing anybody for adopting  
22 the approach.

23           DR. PACKER: Carl?

24           DR. PECK: I agree there's no problem in doing  
25 it in principle, but I think actually providing guidance on

1 | how to do it is really an issue here.

2 |           One thing that I'm impressed with in looking at  
3 | protocols for phase III trials or even -- let's go back --  
4 | for phase IIb trials, dose-response, exploratory trials is  
5 | the lack of information in the statistical analysis section  
6 | about what will actually be done with the data. Let me  
7 | clarify.

8 |           The phase III trials typically are quite clear  
9 | about what will be done. It will be a frequentist  
10 | hypothesis testing thing. So, there's no issue there.

11 |           In the IIb trials, the dose response, there's  
12 | often a statement, the data will be analyzed for dose  
13 | response. End of sentence. End of section. So, it's left  
14 | up to the reader to guess what will actually happen. In  
15 | some cases it's nothing. In other cases it's extensive.  
16 | Then there's the concern that, well, they looked for what  
17 | they wanted to look for. They didn't tell us about all the  
18 | other things they looked for and didn't find. So, there's  
19 | a problem.

20 |           Our center, in collaboration with Lew Sheiner  
21 | and many others, has been working in the area of modeling,  
22 | anticipating its use in simulation of clinical trials for  
23 | the purpose of improving the design in future trials.  
24 | Realizing this problem of the lack of standards, if you  
25 | will, for the process of modeling, we have put forth on our

1 web page a draft document called Simulation of Clinical  
2 Trials: Good Practices. It's an attempt to define the  
3 principles and the good things to do, if you want your  
4 modeling and simulation to be understood and credible.

5 We've identified three principles. One is  
6 clarity, which means that the models and the assumptions  
7 and the procedures are all laid out in advance in a  
8 simulation plan.

9 The second is completeness. In other words,  
10 you're urged to be complete about that. It's not just a  
11 one-sentence thing. We will model and simulate this.

12 The third is parsimony which has to do with a  
13 certain quality of the models to make sure that they're not  
14 over-specified.

15 So, my contribution on this point is that I  
16 think if the agency wants to encourage modeling of dose-  
17 response data, I would recommend that it engage then in  
18 creating some standards or principles of the process so  
19 that there's some prospective rigor in what will actually  
20 be done. I think that will make it more credible. It will  
21 certainly make it reproducible.

22 DR. PACKER: It will make it reproducible, but  
23 I guess one question that arises is, to what degree can one  
24 realistically do this?

25 DR. PECK: I can speak to that. It's not easy

1 because modelers are like artists. They have their  
2 favorite models. They have their favorite procedures.  
3 It's an area that needs work to get experts in exploratory  
4 modeling to agree on systematic, standard procedures that  
5 they're all willing to declare in advance and follow.

6 But there isn't actually a complete chaotic  
7 universe of options here. For example, in modeling dose-  
8 response trials, there really are only a handful of  
9 plausible basic structural models. There's a linear and  
10 there's a nonlinear, and there's usually only one or two  
11 nonlinear models that work. Then it's a matter of  
12 incorporating the covariates. That's where it can become  
13 much more complex and arbitrary. But still there are  
14 thousands and thousands of models that are probable. There  
15 might be a few tens, and those can be declared in advance  
16 by and large.

17 DR. SHEINER: Can I say one more thing?

18 DR. PACKER: Lew, yes, please.

19 DR. SHEINER: First of all, remember right now  
20 we don't have an adequate procedure for finding doses. So,  
21 anything that gets added on that attempts to do that should  
22 not represent a penalty. I think that's got to be a  
23 principle.

24 But the second thing is there's an interesting  
25 interaction here, which is that the tendency for the

1 pharmaceutical company would be to minimize the number of  
2 covariates that actually have to be taken into  
3 consideration because that makes using their drug more  
4 complex. So, you reverse the usual situation. The public,  
5 as represented by the FDA, would be inclined to want to  
6 watch out for age or other things. Now, as they get to  
7 more and more covariates, the certainty will go down. The  
8 regulatory agency will be on the side of less certainty  
9 because they're worried about safety.

10 Now, if you can prevent that from being  
11 accompanied by a demand for more data, which is what the  
12 pharmaceutical companies are going to be afraid of -- every  
13 single thing we did in this study, which was more  
14 inclusive, as Tom talked about -- now we had four people  
15 who were a little bit older, and suddenly maybe there's  
16 maybe a little hint of something and it's got low  
17 probability, but nonetheless, now the agency says I've got  
18 to study 30 old people. That's what you want to avoid.

19 So, it seems to me that you're going to have to  
20 have rules on both sides, but if you do, then it will sort  
21 of naturally work itself out because as you get more and  
22 more fine, the certainty goes down and nobody will want to  
23 make that sort of a claim in the labeling.

24 But I think the basic principle has got to be,  
25 if this is an add-on, at least for some period of time, you

1 never get hurt if you try to do a better job.

2 DR. PACKER: That sounds like a good principle.

3 DR. LIPICKY: Just one more thing to add. I  
4 guess I really would like to see, at least in the  
5 hypertension area, dose-ranging trials not be called phase  
6 II anything. They are phase III. That is the basis of  
7 approval. You really have to discard this business of  
8 phase I, phase II, phase III. It is where that thing fits  
9 in the necessity for getting approved. So, the program in  
10 its entirety I think is a different issue, but a dose-  
11 ranging trial can be considered phase III, is phase III,  
12 and is actually phase III for a combination product. It is  
13 the only trial that is required.

14 DR. PACKER: Lew, let me just ask one other  
15 question. The approach that you've described would seem to  
16 have lots of the aspects of -- actually it's not the  
17 approach you described, Lew. It's the approach that Ray  
18 described which, in fact, you might utilize in reaching  
19 conclusions about dose response.

20 One of the issues that we would normally worry  
21 about in interpretation of dose response is the issue of  
22 carryover effects because when one force titrates but still  
23 wants to look at the relationship between dose and effect  
24 at any individual dose, in a parallel group design, you  
25 don't worry about how you got there, but in an incremental

1 | dose-response design, as Ray outlined, you worry a lot  
2 | about how you got there. In general, the agency has seen  
3 | lots of examples where crossover trials have not been easy  
4 | to interpret because of carryover effects. Yet, the trial  
5 | design that Ray has put forward has more carryover effects  
6 | than the typical design. How much should we worry about  
7 | that?

8 | DR. SHEINER: This is a very complicated issue,  
9 | which I think maybe Don and Tom could talk more about.  
10 | First of all, there's again the issue that you have to be  
11 | within a scientifically valid context. That is to say, the  
12 | standard model for carryover and period effects and  
13 | everything essentially says we don't know. Anything could  
14 | happen. So, you could have any kind of carryover, you  
15 | could have any kind of period effect, essentially  
16 | proliferating parameters, and so the problem in figuring  
17 | out whether they're there or not becomes a big issue and a  
18 | lot of the tests for whether or not carryover is there are  
19 | logically inconsistent, in that you fail to reject the null  
20 | hypothesis and therefore you accept it. And there are all  
21 | kind of problems like that.

22 | But if you are scientifically based, if you're  
23 | willing to do that, then you say, well, what's the nature  
24 | of carryover? Sometimes it's pharmacokinetic and it's  
25 | pretty simple. The drug persists.

1           In the case of an escalation trial, the problem  
2 is that time and dose are confounded. As time goes by and  
3 more time in the trial goes on, that's one thing that's  
4 happening, and also dose is rising over time. So, is it  
5 the dose or is it the time? It's sort of a way of thinking  
6 about carryover but in a more general way.

7           Of course, there are things you can do about  
8 that in terms of the design if you think there's a good  
9 reason to believe that it might to take a month or two to  
10 develop the full effect of a given dose. One of the things  
11 is you can intersperse placebo periods in your escalation  
12 sort of randomly. So, you can protect yourself against the  
13 things you're worried about, but you've got to make the  
14 list have scientific credibility. The things you're  
15 worried about have to be as credible scientifically as the  
16 things you're trying to claim, and then it becomes  
17 tractable.

18           If, on the other hand, you say I have to  
19 protect myself against all possible weird things happening,  
20 then there's only one thing you can do, which is a very,  
21 very standardized trial, draw a limited set of conclusions,  
22 and not even know whether they extrapolate to the future  
23 because you've taken such a non-mechanistic view.

24           So, there's always this tradeoff. The way I  
25 think we'll get around it is by recognizing which of the

1 things we need high certainty for and which of the things  
2 that we're willing to accept assumptions and lower  
3 certainty because we need to give people a best guess as to  
4 what to do and it's never going to be for certain.

5 DR. PACKER: Jeff and then I want Tom to  
6 address that as well.

7 DR. BORER: I have two sort of general comments  
8 and then a question.

9 DR. PACKER: Don, if you want to sit down, it  
10 will be probably easier.

11 DR. BORER: First of all, I just want to  
12 support what Lew said a little while earlier. Much of the  
13 discussion has gone on as if the goal is to design the  
14 perfect development program and anything short of perfect  
15 is a failure, when in fact what we have now is at sort of  
16 the lower end of good. If we get to the middle end of  
17 good, that's a tremendous advance. So, it doesn't have to  
18 be perfect.

19 The same thing is true with regard to the  
20 covariates. We don't ask for information to the nth degree  
21 about every possible confound or every possible  
22 subpopulation. We don't do that now. It's unrealistic,  
23 can't be done. Why are we talking as if it has to be done  
24 in some new strategy?

25 Having said that, just with regard to the

1 carryover effect, I want to ask a practical question, and  
2 Tom or Lew or whoever can answer this I'm sure. In Ray's  
3 multiple-arm, forced titration scheme, at the end of forced  
4 titration 3 in one arm, you actually were at the same dose  
5 as you were in the beginning of another arm and you're 9  
6 weeks later. Could you not look and see that the pattern  
7 of effect was the same at the end of forced titration arm 3  
8 as in titration 1 of the other arm and say, we've accounted  
9 for carryover? Could you or couldn't you?

10 DR. SHEINER: It sounds good to me.

11 DR. PACKER: I guess the answer is possibly  
12 yes.

13 DR. LIPICKY: Yes, but then Tom is going to  
14 say, is that a non-inferiority kind of a comparison?  
15 Aren't you, Tom?

16 DR. FLEMING: That's what you'd be doing.

17 DR. LIPICKY: Yes, and that could get very  
18 hairy. At first blush, yes, that would be good.

19 DR. PACKER: Tom, do you have any additional  
20 points?

21 DR. FLEMING: Actually I've been just trying to  
22 gather in all the perspectives here following Donald's  
23 talk. Actually I have a few global comments that might be  
24 my attempt as a frequentist and someone who does believe in  
25 a role of hypothesis testing in confirmatory trials and yet

1 | trying to integrate into that philosophy the insights that  
2 | came from Donald Rubin's talk.

3 |           He made a number of key points. Just a couple  
4 | of those key points are the issue as to whether a  
5 | randomized trial itself is giving us a generalizable  
6 | conclusion to the future because, in fact, if it doesn't,  
7 | it really isn't serving the purpose that we intend.

8 |           He also pointed out the importance of being  
9 | able to address issues, in my words, that are truly the  
10 | clinically relevant ones to individuals in trials, and that  
11 | in fact, involves what is the right dose and schedule for  
12 | me given my specific background.

13 |           I guess to address those issues, the first  
14 | point that I would make is that it's very important to  
15 | design trials in ways to achieve maximal generalizability.  
16 | There are a number of ways to do that: inclusive  
17 | eligibility criteria; bringing people in from multiple  
18 | centers instead of having a single center trial; designing  
19 | the control regimen to represent truly standard of care and  
20 | to allow a real world access to ancillary care, so that the  
21 | answers that we're looking at are, in fact, as relevant as  
22 | possible to the real world setting for the use of your  
23 | therapy; adequately sized trials to be able to provide,  
24 | even if it's secondary analyses, relevant insights into  
25 | exploratory analyses that might be looking at what is the

1 | evidence for homogeneity of effect across various  
2 | characteristics of patients; providing what evidence is  
3 | still necessary to be obtained on dose and schedule,  
4 | although I would argue, as I did toward the beginning of  
5 | today, that that's an issue that we should be addressing  
6 | from the very beginning of our drug development plan. And  
7 | we do. Preclinical studies, PK studies back in phase I, in  
8 | particular, looking at an array of doses in phase II and  
9 | IIb, and in fact, in some cases as well, looking at these  
10 | issues in phase III.

11 |           So, I would hope that even though one can never  
12 | fully achieve it, that one is designing trials that are  
13 | confirmatory trials to be as generalizable as possible and  
14 | as relevant as possible to the real world setting.

15 |           When one gets to the confirmatory trial, i.e.,  
16 | when one gets to what I call the phase III study, it is in  
17 | fact important from my perspective that we have  
18 | prespecified goals that we are addressing in a confirmatory  
19 | fashion, and for multiple reasons, including those that Lew  
20 | articulated, that there are a myriad of different  
21 | exploratory analyses that can be done, many of which  
22 | certainly lead to what some of us might refer to as data-  
23 | driven aspects and it's difficult to discern level of  
24 | convincingness.

25 |           Essentially ultimately what I want to be able

1 to assess is the strength of evidence. I wish to have a  
2 standard for that strength of evidence. To my way of  
3 thinking, the paradigm that we follow at this point for  
4 prespecifying key hypotheses and providing hypothesis  
5 testing gives us one effective way of being able to address  
6 whether or not there is adequate evidence here to meet our  
7 standards for strength of evidence to establish benefit and  
8 to establish a safety profile that gives us a favorable  
9 benefit to risk.

10 That doesn't mean, however, that these methods  
11 can't be adaptive. There certainly are, depending on our  
12 level of confidence of how much we're willing to  
13 prespecify, valid methods that allow flexibility in the  
14 formulation of these statistical methods that are used that  
15 still allow us to meet this frequentist paradigm and p  
16 values and standards for strength of evidence.

17 But certainly we don't stop at that point. If  
18 we did, you would only need a statistician to interpret  
19 results and make judgments. As important as some of us  
20 might believe statisticians are to this process, obviously  
21 this is only one part of the process and a global judgment,  
22 looking at all of the results in the trial, strength of  
23 evidence not only of what we see in this primary  
24 hypothesis, but secondary measures, safety considerations  
25 and results from external trials, is critical and needs to

1 | be integrated in a way that is obviously subjective and  
2 | requires considerable judgment.

3 |           In this process of designing trials, to come  
4 | back to the specifics here of dose response, I would  
5 | strongly support the argument that phase III can still be a  
6 | setting -- and maybe should be a setting in some instances  
7 | -- for looking at dose response and looking at multiple  
8 | doses.

9 |           Being a frequentist, I worry about the issue of  
10 | multiple testing. Somewhat unsuccessfully, I've been  
11 | arguing for a long time that multiple testing adjustments,  
12 | though, shouldn't apply to this specific issue of looking  
13 | at multiple doses against a control. I've had multiple  
14 | long discussions with FDA colleagues and others about this  
15 | issue, and I know I'm in a minority in the FDA ranks about  
16 | this. I believe in adjustment for multiple testing. If  
17 | you are a frequentist and you're looking at strength of  
18 | evidence, if you allow multiple endpoints, multiple test  
19 | statistics to look at those multiple endpoints, testing  
20 | over multiple points in time, looking at multiple subsets,  
21 | all of these greatly inflate the risk of getting  
22 | differences that would appear to be significant evidence of  
23 | benefit when, in fact, they could be attributed to random  
24 | variability.

25 |           I view, though, in a very different way looking

1 at multiple doses, and the irony is if someone was so naive  
2 as to say, I'm going to look at low dose against control in  
3 one study and high dose against control in another, and not  
4 be asked to adjust for multiple testing, that's logically  
5 inconsistent with that they had the wisdom to say I could  
6 be more efficient to put high dose and low dose against a  
7 common control, that I'm going force them to adjust for  
8 multiple testing.

9 Or to say if low dose against control gives a  
10 two-sided p value of .04 and high dose control is a two-  
11 sided p value of .04, that's no longer significant because  
12 it doesn't meet some kind of multiple testing adjustment.  
13 I've actually strengthened the argument when I see high  
14 dose against control being .04 when I also see low dose  
15 against control being .04.

16 So, I've argued that if one does look at  
17 multiple doses against a control, it is very appropriate to  
18 control the pair-wise comparison error rate, adjusting for  
19 all multiple comparisons done within that pair-wise  
20 comparison, but one should factor in the strength of  
21 evidence from the multiple doses using some kind of  
22 aggregation, whether it's a dose response or some other  
23 method, some type of aggregation for strength of evidence.

24 Now, one last comment and that is should we be  
25 doing a primary analysis for efficacy. Let's say we fit a

1 log-linear model or a linear model and the slope is our  
2 strength of evidence for our primary hypothesis. There  
3 certainly can be some ambiguities that can result. In  
4 fact, one concern that I have is if you look at high dose  
5 and low dose and there's no control but the low dose is, in  
6 essence, thought to be the control for the high dose, if  
7 there isn't a dose response, I'm left without certainty as  
8 to whether the two doses are equally effective or equally  
9 ineffective.

10 If I have a control in a low dose and a high  
11 dose and I'm fitting a slope and I find a significant  
12 relationship, but I then also discover that the high dose  
13 has an unacceptable safety profile, I'm uncertain from that  
14 analysis whether the low dose, which has an acceptable  
15 safety profile, actually has had an established benefit.

16 So, there are some complexities with these  
17 types of analyses, and I have tended as a result for this  
18 reason, even though Carl might call me inefficient, to  
19 favor designing these phase III trials in ways that do  
20 allow me to obtain adequate sensitivity to each dose  
21 against a control so that if there is, in fact, significant  
22 evidence or true, significant benefit for a given dose, I'm  
23 able to address that and have sensitivity to it.

24 But I would definitely take this opportunity to  
25 argue one more time that if someone has the wisdom to look

1 at multiple doses against a control, that they ought not be  
2 paying an alpha spending penalty for that type of multiple  
3 testing.

4 DR. PACKER: I think for the sake of time and  
5 the sake of clarity, I think we heard Ray say before about  
6 an hour ago that you would not penalize a sponsor who  
7 wanted to undertake this path and exact a penalty for  
8 multiple comparisons, that you would allow each of these  
9 arms to be tested pair-wise at an alpha of .05. Is that  
10 correct?

11 DR. LIPICKY: See, I don't understand the  
12 thinking. I agree 100 percent with what Tom said, every  
13 single word. I think I agree with what you said, Milton,  
14 but I don't see how anything that either of you said is  
15 applicable to this circumstance. This circumstance of an  
16 antihypertensive drug in the current development regimens  
17 have no phase III. There is no such as a phase III. There  
18 is no such thing as an intent-to-treat, morbid/mortal trial  
19 where all these rules start to really play enormously  
20 important things.

21 So, I think what I was saying is I wanted to  
22 see a well-defined shape of a curve somewhere -- and maybe  
23 that could be done first in a learning phase and then a  
24 second trial in a complementary -- whatever the word is --  
25 "you prove that your first learning was right" phase. Then

1 that defines the dose response. I think you could do that  
2 in 300 patients. Now you've got another 2,000 patients you  
3 can devote to other things to learn about the  
4 antihypertensive. All I want to do is consider at this  
5 point in time what you can do from the dose-response point  
6 of view, if we could settle that.

7 At the moment we have 6 and 8 trials of 300 to  
8 500 patients each that are all parallel, fixed-dose, dose-  
9 ranging trials. That's the whole NDA. In fact, every one  
10 of those trials is treated by a frequentist type analytical  
11 process where something is being tested against placebo in  
12 a pair-wise comparison way or sometimes ANOVA, sometimes  
13 with some kind of more fancy thing. But I think that's  
14 just all the wrong stuff. So, although everyone is saying  
15 good stuff, it isn't applicable to the circumstance that  
16 basically needs to be changed, which I think it should be.

17 DR. PACKER: I think that speaks to the point  
18 that you made before that you made before that if one knew  
19 that the response surface would look like, one can reach  
20 conclusions independent of the calculation of a p value.

21 Tom, my sense is that it's not either/or, that  
22 these are complementary approaches that enhance the quality  
23 of the database.

24 DR. LIPICKY: I don't disagree with Tom. I  
25 think he's 100 percent right. It's not addressing the

1 right problem.

2 DR. PACKER: It sounds like this is much easier  
3 than the Middle East.

4 (Laughter.)

5 DR. KONSTAM: With your construct of what  
6 you're talking about in terms of not having to pay a  
7 multiplicity penalty, I assume that that really implies a  
8 very strong assumption regarding something about the model  
9 of the dose response. Let me give you the ultimate which  
10 works with hypertension because I think we know a lot about  
11 dose response in hypertension. The ultimate contrary  
12 example would be what would you do with a drug that you  
13 knew nothing about dose response, including the possibility  
14 that higher doses may go in the opposite direction. And  
15 you may do placebo and two doses and find a low dose looks  
16 like it reduces mortality and higher doses actually looks  
17 like it kills people, which has actually been seen. So, I  
18 guess it assumes that it fits some model of dose response,  
19 doesn't it?

20 DR. FLEMING: Well, what I'm assuming, when I'm  
21 arguing that I don't do alpha adjustment, is if I have set  
22 up the study to address multiple schedules, which could be  
23 multiple doses. My primary analysis when I'm looking at it  
24 as a frequentist here for whether I hit the standard for  
25 strength of evidence, establishing efficacy, is to look at

1 | this in a pair-wise comparison of a single dose against  
2 | control.

3 |           When, however, I look at the totality of the  
4 | data, I will do some type of meta-analysis. That meta-  
5 | analysis may be multiple studies looking at that same dose,  
6 | or it might be combinations of studies that look at  
7 | multiple doses against a control. Clearly then there's  
8 | going to be in that level of meta-analysis, where I'm  
9 | aggregating strength of data, some type of model. When I'm  
10 | aggregating data from multiple sources of information, I  
11 | will then be using some type of model.

12 |           For example, if I had two trials looking at the  
13 | same dose and one study showed a favorable effect and one  
14 | study showed an unfavorable effect, the unfavorable result  
15 | weakens my confidence in the result in the favorable. If,  
16 | in fact, I'm looking at multiple doses, yes, I'm certainly  
17 | going to either formally or informally, intuitively, be  
18 | fitting some kind of model that I'm assuming for dose  
19 | response. I'm happy with either one depending upon which  
20 | approach you would prefer. I will then use that model,  
21 | formally or informally, to integrate all of the insights  
22 | that I have to determine, A, whether there's evidence of  
23 | benefit and, B, is there evidence to suggest what is the  
24 | dose that maximizes benefit to risk.

25 |           DR. LIPICKY: Really, you need a lot of

1 particulars. I think both of the conceptual aspects of  
2 what we're talking about can be satisfied by a single  
3 design. It would take a lot of thought and careful  
4 thought, and I don't think one has to be dichotomous and  
5 say we can't do one or the other. I think both can be done  
6 at the same time.

7 DR. FLEMING: Ray, admittedly I'm having  
8 trouble focusing my thoughts on this to the single case of  
9 antihypertensive drugs where we're going to assume that we  
10 can rely on a surrogate in this setting, i.e., blood  
11 pressure lowering, and we're going to assume that there is  
12 no dose-limiting toxicity. I'm really thinking in a more  
13 global sense.

14 But the principles that I've laid out, if  
15 applied to this specific setting, would argue from my  
16 perspective that if I did believe that I had no dose-  
17 limiting toxicity, that it would be very prudent not just  
18 because of what the FDA would wish, but from the sponsor's  
19 perspective to set up a wide range of doses because this is  
20 an answer that I can get for a wide range of doses.

21 If you are looking at survival as the endpoint  
22 or stroke or MIs and it takes 10,000 patients to be able to  
23 address the effect of a single dose, those studies don't  
24 lend themselves as well to understanding the dose-response  
25 surface.

1                   But if I can rely on a surrogate and I can  
2 understand the relationship of the effect of a given  
3 regimen on that surrogate endpoint in much smaller numbers,  
4 and now you're telling me I don't even have to worry about  
5 whether there's an upper limit of how far I can go because  
6 of a dose-limiting toxicity, then I would argue it makes  
7 perfect sense to look at, as you've advocated, a wide range  
8 of doses that allow me, with a reasonable sample size, to  
9 get an insight into the dose-response surface and to fit  
10 some model, if I'm a frequentist and want to look at  
11 strength of evidence, into how I'm modeling that  
12 relationship to establish whether I have conclusive  
13 evidence that the intervention is affecting this specific  
14 outcome.

15                   DR. SHEINER: I think that this issue of a  
16 single study being used for two purposes -- And, Tom, I  
17 admire your pure position of integrating it all and having  
18 a an overarching view of it. But let me segregate it for a  
19 moment. Let me propose something that's been proposed in  
20 the past.

21                   There are some people who really want to see  
22 something clearly demonstrated by the data, so I'm going to  
23 do two doses: placebo, which is a dose, and a dose that I  
24 think will work. I'm going to do that in 400 people: 200  
25 placebo, 200 with this dose. And I'm going to do it for 1

1 month or whatever it is. That's what I'm going to use to  
2 demonstrate that the drug works.

3 Now, in that exact same study, I will, at the  
4 end of the 1 month, randomize all of the people to some  
5 other dose. So, I'll now actually have a second period in  
6 which they'll all get some other dose. And now I might  
7 choose for just that one dose that I used in the first  
8 period.

9 Secondly, from the very front of this study,  
10 I'm going to add on another 200 people who are much more  
11 inclusive. They're not having blood pressure exactly where  
12 I wanted them to be in the study. They're not without any  
13 other complicating disease. I might take people with  
14 angina when I didn't before. And I put them also in this  
15 same study.

16 I can do two completely separate analyses of  
17 the core of 400 people in a straight-ahead, hypothesis  
18 testing way. Then I can take that data, add it on to all  
19 the other data, and be a complete Bayesian, for example,  
20 and just do a total model-based approach with informative  
21 priors designed to come up with the best understanding I  
22 have of the response surface. There's nothing illogical.  
23 There's nothing wrong. The fact that I got all that extra  
24 data in that same study does not mean that that analysis of  
25 the core 400 all by themselves, having stated beforehand

1 that that's the way I was going to do it -- it doesn't  
2 change in any way the frequentist properties associated  
3 with that and the conclusions we might draw from it. So,  
4 although obviously it's in some sense more satisfying to  
5 look at the whole thing as all one thing, you can do that,  
6 what I just said, and that would be an example of getting  
7 extra power for the exploratory analysis and no loss of  
8 power for the confirmatory one.

9 DR. FLEMING: In fact, I would argue that's  
10 precisely the approach that we should be taking and, in  
11 general, are taking although aren't necessarily doing it  
12 with quite the level of rigor as you've explained for the  
13 exploratory analysis.

14 The approach in general that I would advocate  
15 is to design trials to be generalizable, to be relevant to  
16 the real world setting, and to be able to be analyzed for  
17 strength of evidence from a frequentist perspective, but  
18 then ultimately the assessment of benefit to risk and  
19 approvability and as a consumer, whether I'm going to use a  
20 given agent, should be based on an aggregation of all of  
21 the data that will clearly have to involve an exploratory  
22 element of this.

23 A Bayesian approach is one relevant approach.  
24 If I want to use a prior and get a Bayesian inference,  
25 that's a very relevant exploratory approach. Of course, we

1 have to understand the influence of how my choice of the  
2 prior would influence the interpretation, but that can all  
3 be laid out.

4 DR. SHEINER: Let me just add one thing to  
5 that, Tom. Having said all that and we're in 100 percent  
6 agreement, my extra little claim that I'm not sure whether  
7 you're for or not or what you want to say about this is  
8 that that explanatory -- I like to call it explanatory  
9 rather than exploratory because it makes it sound a little  
10 better -- analysis, having satisfied the frequentist test,  
11 might show up -- the conclusions of that might show up in  
12 the label as a graph of dose response or as a set of  
13 suggestions for doses or something rather than the label  
14 simply saying we tested, in a standard confirmatory way,  
15 100 milligrams, so that's what's suggested.

16 Rather it might say now, given that 100  
17 milligrams definitely works, this is where it appears to be  
18 on the dose-response curve and just interpolation, this is  
19 what the picture looks like. So, if your patient has these  
20 characteristics, you might consider lowering the dose to  
21 that and so on and so forth.

22 DR. FLEMING: I guess I would be unwilling to  
23 make an absolute statement as to what would always be the  
24 case. It strikes me that the possibility that such  
25 insights could be very important beyond what came from the

1 primary prespecified frequentist analyses certainly exists,  
2 that those results could be important additional insights  
3 and the reliability of those analyses sufficiently  
4 established that it's possible.

5 DR. LIPICKY: So, we just have to convince  
6 somebody that it is worth their undertaking these things so  
7 we can get some data set to examine and see whether  
8 anything we're talking about is real or not.

9 DR. PACKER: Well, I think that brings us to  
10 the final part of today's agenda. I'm going to take the  
11 chairman's prerogative to skip all of the questions.

12 DR. LIPICKY: Okay.

13 (Laughter.)

14 DR. PACKER: I want the committee to address  
15 two questions, and we can do so in detail or in a general  
16 manner, depending on what the responses are. But I think  
17 the two questions that summarize -- I mean, what we have  
18 heard is that a problem has been identified in terms of the  
19 adequacy or inadequacy of characterizing the relationship  
20 between dose and response in the evaluation of drugs for  
21 the treatment of hypertension. An approach or, should I  
22 say, approaches have been proposed that would greatly  
23 expand the knowledge base, and this approach does not  
24 conflict with our conventional approach to hypothesis  
25 testing. The two approaches are complementary, and that

1 | this additional approach does not constitute an undue  
2 | burden on sponsors and, in fact, may alleviate them from  
3 | the responsibility, expense, and time of doing conventional  
4 | analyses that may contribute little to the total knowledge  
5 | of what the appropriate relationship between dose and  
6 | response may be. Therefore, the new approach would provide  
7 | benefits to not only industry but to the regulatory process  
8 | and, most importantly, to patients. And we've outlined  
9 | many of those reasons.

10 |           So, that leaves us really with only two  
11 | questions. One question is, are there reasons that  
12 | sponsors should, in fact, elucidate the full range of the  
13 | dose-response relationship or, as Lew says, the response  
14 | surface? Are there reasons?

15 |           I think we've elucidated many, many reasons why  
16 | it's in the sponsor's interest, in the interest of the  
17 | information gained, the clarity of labeling, the clarity of  
18 | the development program, and in fact in the public health  
19 | interest. We mentioned some of those issues earlier.  
20 | Especially as the target blood pressures decrease, the  
21 | number of drugs would increase unless the drugs that we  
22 | have had a wider dose-response relationship than previously  
23 | assumed.

24 |           So, the last question to this group is, are  
25 | there reasons that sponsors should not elucidate the full

1 relationship between dose and response? Are there reasons  
2 that they shouldn't do that? I guess I'd like to hear any  
3 proposals why they shouldn't.

4 DR. KONSTAM: I still think there are reasons  
5 why they wouldn't.

6 DR. LIPICKY: No. Why they shouldn't.

7 DR. PACKER: We have outlined several, maybe  
8 six or seven, reasons why they should. Are there reasons  
9 why they shouldn't?

10 DR. BAKRIS: Milton, let me just make a comment  
11 to that. Marvin is right. There are reasons they  
12 wouldn't, but why they shouldn't, the only reason I could  
13 imagine would be an economic one, and I think we've made a  
14 pretty compelling argument that, in fact, from an economics  
15 standpoint, it's in their favor. It probably is more cost  
16 effective. So, you've actually stumped me with that  
17 question. I usually can come up with something, but I  
18 can't think of anything for this one.

19 DR. PACKER: The question is framed  
20 specifically with intent. The word "should" is in fact the  
21 intended word. Are there reasons why they shouldn't? If  
22 there are many reasons why they should and there are no  
23 reasons why they shouldn't, then they should.

24 DR. BAKRIS: That was subtle.

25 (Laughter.)

1 DR. SHEINER: The same compelling logic as  
2 hypothesis testing.

3 (Laughter.)

4 DR. PACKER: Consequently, we've outlined  
5 reasons why they would. So, if they should and they would,  
6 then they might.

7 (Laughter.)

8 DR. PECK: Well, I'm going to rise to the  
9 occasion and try to anticipate a shouldn't. That would be  
10 under the circumstance that the prospect of harm to a  
11 subject, even remote, would be unacceptable to a company.  
12 That is, their view that having one adverse reaction of a  
13 particular kind, say, a teratogenic reaction, would be so  
14 devastating to their image or reputation and the drug's  
15 image that they would be unwilling to creep too far into  
16 the unknown.

17 DR. LIPICKY: I think there's a very good  
18 answer to that. Much better that that should be in the  
19 development phase than postmarketing. So, that just is not  
20 an adequate reason. People aren't going to get sued in the  
21 development program. They're going to get sued  
22 postmarketing.

23 DR. PECK: Yes, that's all right, but small  
24 companies whose finances are subject to private financing  
25 or mouth-to-mouth, month-to-month, or flaky stock market