

1 difference whether you get something different in
2 terms of especially time to healing as a parameter,
3 but that's neither here nor there.

4 So could we -- Henry.

5 DR. LIM: Yeah, to address what Dr. Wilkin
6 had mentioned, my feeling is that there is enough
7 difference between the .1 and .03 for the adults to
8 make a clinical difference.

9 DR. TANG: Yeah, I was going to say there
10 was a slide presented in the morning. This is a way
11 to interpret the inaccuracy, interpret the data for
12 the subsequent analysis. So you rarely for the .1 and
13 .3 for the moderate -- it depends on the baseline
14 disease severity classification. For the moderate
15 there wasn't any difference.

16 But for the severe group, the difference
17 is 19 percent versus 35 percent. I wonder what the P
18 value for that subset analysis is.

19 ACTING CHAIRMAN STERN: That was
20 significant.

21 DR. TANG: To what degree?

22 ACTING CHAIRMAN STERN: I think it was

1 .04, is my recollection from the morning.

2 DR. TANG: Point, oh, four?

3 DR. LAWRENCE: Do you want us to show that
4 again?

5 ACTING CHAIRMAN STERN: Wasn't it .04?

6 DR. LAWRENCE: No. We can show that
7 again.

8 ACTING CHAIRMAN STERN: Yeah, would you?
9 This was severe adults, .03 versus .1.

10 DR. LAWRENCE: It's .009, the statistical
11 significance.

12 DR. TANG: Oh, oh, nine. But that's a .01
13 difference.

14 ACTING CHAIRMAN STERN: And overall in
15 adults it was?

16 DR. LAWRENCE: Point, zero, four.

17 ACTING CHAIRMAN STERN: Okay. I'm glad I
18 didn't remember.

19 DR. LAWRENCE: I'll stand up. I'm sorry.

20 When we combined the two identical trials
21 in order to get some better power, what we show -- and
22 if you could show that slide as well. I showed it

1 this morning -- when we combined the two adults
2 together since they were identically designed trials,
3 you can see, again, the two adults. There's the .04.
4 That's the one you remembered earlier.

5 ACTING CHAIRMAN STERN: I'm sorry.

6 DR. LAWRENCE: No, that's fine, and then
7 the other was the severe, .009.

8 ACTING CHAIRMAN STERN: But as I mentioned
9 earlier, I found Dr. Okun's subset analysis where you
10 think of everything as a coin toss between each
11 subgroup, which were the logical subgroups to really
12 look at people in terms of clinical and patient
13 characteristics, where I believe there were only two
14 of those many comparisons. One was children and one
15 was females where the differences did not go in the
16 same way.

17 There were two or three slides that Dr.
18 Okun showed which I found in some ways as persuasive
19 as the other.

20 DR. TANKS: So you think there is some
21 evidence.

22 DR. LAWRENCE: Excuse me. We just have

1 one more slide that speaks to this issue, if we could
2 show it.

3 Is that the one you wanted to show, Bill?

4 DR. FITZSIMMONS: Just to further
5 elaborate on this point because the treatment
6 difference was something discussed, we've just looked
7 at the overall adults in the ten percent difference;
8 this severe disease, which had the 15 percent
9 difference; and then if you look at the extent of body
10 surface area involvement, there is a 25 percent
11 difference between the two concentrations.

12 These, again, were very consistent across
13 our adult studies. These analyses, the concept of
14 looking at severe as well as body surface area
15 actually were predefined, again, in the protocol. So
16 these were important subsets that we wanted to
17 evaluate and are consistent in their treatment
18 difference and increasing difference between the two
19 concentrations.

20 ACTING CHAIRMAN STERN: And it look like
21 you did nine in the 35 and 36, the adults. You did
22 nine subgroups, and it was nine times that the higher

1 concentration won.

2 DR. OKUN: That's correct.

3 ACTING CHAIRMAN STERN: And I seem to
4 recall that if you flip more than six times it's
5 significant and always comes up heads. That's my
6 recollection.

7 (Laughter.)

8 DR. TANG: That's exactly what we're
9 trying to get at: how small the P value is. Yes,
10 because if it's .0001, that might tell you some
11 different story.

12 ACTING CHAIRMAN STERN: Well, nine flips
13 in a row is pretty small.

14 DR. TANG: Yeah.

15 ACTING CHAIRMAN STERN: So should we have
16 a vote? Would someone move as to whether -- I'm
17 sorry. Would someone move and we can vote obviously
18 either way? Is there sufficient evidence for
19 superiority of .1 to .03 in adults? Does someone want
20 to?

21 All those who believe that to be the case,
22 please raise their hands.

1 DR. TANG: This is in?

2 ACTING CHAIRMAN STERN: This is in adults,
3 that there's significantly more effectiveness in
4 adults of .1 over .03; that there's evidence for
5 significantly greater efficacy.

6 That's not to say that it is better in
7 terms of risk-benefit or anything else, but just that
8 there's evidence that it works better given the
9 evidence base.

10 (Show of hands.)

11 ACTING CHAIRMAN STERN: It makes me
12 nervous when the biostatistician doesn't agree.

13 (Laughter.)

14 ACTING CHAIRMAN STERN: And how about the
15 same question in children? All those who believe that
16 there is reasonably robust evidence that .1 is
17 superior to .03 in children, that they're comfortable
18 with that as significantly better, again, just in
19 terms of efficacy, not in terms of risk-benefit. All
20 those who believe that to be demonstrated?

21 (Show of hands.)

22 ACTING CHAIRMAN STERN: Okay. So I'll

1 take it that that's not shyness but -- so the answer
2 is I think we think the adult case for a difference in
3 efficacy is reasonably robust, and the childhood case,
4 there is a lack of that evidence.

5 Now we come to the hard things. Has the
6 safety profile of Protopic in the treatment of atopic
7 dermatitis been adequately determined for unrestricted
8 chronic therapy as first line therapy?

9 And I would like to ask Dr. Wilkin and
10 perhaps the sponsor, as well, to define "chronic" and
11 to define "first line."

12 Dr. Wilkin, please.

13 DR. WILKIN: Well, chronic I would say in
14 general means not acute.

15 (Laughter.)

16 DR. WILKIN: Whether it actually implies
17 that it's continuous chronic or intermittent chronic,
18 we don't really have anything in the CFR that helps us
19 -- Code of Federal Regulations -- that helps us with
20 this distinction, but I do know that in some
21 literature areas and in some pharm. tox. areas one
22 view has been that if one will have a cumulative of

1 six months' exposure over a decade, that that would be
2 considered a chronic kind of therapy.

3 ACTING CHAIRMAN STERN: Did you want to
4 comment on that one?

5 DR. LAWRENCE: Well, I think it is very
6 difficult, and I think, again, the differentiation as
7 we tried to point out in our response, as well, is
8 that we are not implying this for continuous use. It
9 really is the intermittent use over, you know, a
10 prolonged period of time. Certainly this is a very
11 lifelong or certainly prolonged disease, but again,
12 with intermittent treatment, not continuous therapy.

13 ACTING CHAIRMAN STERN: Elizabeth?

14 DR. ABEL: I would suggest amending the
15 question to say for unrestricted therapy as a first
16 line treatment for chronic disease because that
17 perhaps would be easier to answer because patients
18 might not necessarily be treated continuously for that
19 year or am I not getting that right?

20 I have a question because we are told that
21 they relapse promptly after stopping treatment. So
22 does this imply that patients are continued daily for

1 the whole year or could they be treated
2 intermittently?

3 ACTING CHAIRMAN STERN: I think this
4 implies intermittently, but on a long-term basis
5 through multiple exacerbations and also when the
6 disease is percolating along.

7 DR. ABEL: I think the question the way
8 it's worded is a little confusing because it's first
9 line treatment as a chronic disease, but it may not be
10 necessarily unrestricted chronic therapy. So maybe
11 that first chronic could be deleted and substituted.

12 ACTING CHAIRMAN STERN: And even more, I'm
13 interested in what does it mean to be a first line
14 therapy.

15 DR. WILKIN: Well, I do like your notion
16 of long-term intermittent might be a better expression
17 than unrestricted chronic. i mean, I think that would
18 be a nice exchange.

19 First line treatment, I think, in general
20 to me means that if someone comes in and they have
21 fairly uncomplicated atopic dermatitis that might
22 respond to a variety of things, that this would

1 nonetheless be used. That would be first line.

2 A second line would be where you've tried
3 a lot of different agents or at least several agents,
4 and you really haven't been able to achieve control.
5 We understand that atopic dermatitis is a chronic
6 relapsing kind of condition. It's more of the notion
7 of can you achieve control with some other agent,
8 perhaps one that we have a longer understanding of the
9 safety profile before one would go to this treatment.

10 Does that --

11 ACTING CHAIRMAN STERN: I wanted to
12 clarify that because I took that as you do with some
13 of, for example, agents in psoriasis. It says for
14 people who are intolerant of or nonresponsive to A, B,
15 and C therapies. This is to go, and I guess my
16 feeling about any new agent for a chronic disease that
17 is likely to be used intermittently over long periods
18 of time, that until we have a bigger database, to me
19 it doesn't imply in any way an inferior therapy, but
20 the logical communication to give to clinicians is:
21 use this when the agents that have been around for 30
22 to 60 years are unacceptable to the patient, no long

1 effective at least at that point in time, or there's
2 a counterindication to the use because of side effects
3 the patients already experience, the location of the
4 disease, et cetera, et cetera.

5 And I myself would be uncomfortable with
6 first line in that you shouldn't think about the
7 devils you know before the devil you don't know quite
8 as well in terms of -- and that's not meant at all
9 pejoratively -- in terms of your experience with the
10 agent and in terms of a really very extensive safety
11 and side effect profile which needs to be developed.

12 So I guess what I would say with that is
13 that I would be much more comfortable with some kind
14 of phrasing very comparable to what you do for some of
15 the more -- for certain of the agents for the
16 treatment of psoriasis, where you imply that it's for
17 people who are no longer being helped by, intolerant
18 of, or there's some counterindication for the more
19 standard therapy for atopic dermatitis.

20 And I guess the other thing in there is
21 although we've always talked about it, to me there's
22 a bit of a difference. One of the problems, it's very

1 hard to define what's mild, moderate, and severe
2 disease, and certainly the intervention and how
3 quickly you go to something with severe disease, some
4 individual with severe disease, how quickly you go to
5 an agent like this is very different than in moderate
6 and even more different than it might be in, quote,
7 unquote, mild disease, where it might be an
8 appropriate -- and I know the company's not looking
9 for mild disease as an indication, as I understand it.

10 DR. LAWRENCE: Our studies were conducted
11 in patients moderate to severe.

12 ACTING CHAIRMAN STERN: But there may be
13 some differentiation in our recommendations about
14 severe versus moderate disease, as well.

15 DR. SIMMONS-O'BRIEN: The company
16 suggested that it be used until clear and then go
17 beyond that times seven days, and at the same time
18 we've been made aware that as soon as the individuals
19 are off treatment, they flare.

20 So my question is: what would be the
21 recommendation for the interval free period or the
22 holiday period for this medication? It seems like

1 it's going to always be needed.

2 DR. LAWRENCE: If I can clarify a little
3 bit, the average time in our long-term studies to
4 recurrence was about a month and a half to two months.
5 So it wasn't an immediate recurrence. The period of
6 time that we actually evaluated patients during the
7 pivotal trials was a fixed two-week period by design,
8 but that's very artificial, and that accounted for
9 about 40 percent of the patients that did have some
10 recurrence, although less severe disease.

11 In the long-term studies the average time
12 to recurrence was a little bit longer. I believe it
13 was about 54 days, 55 days. So there is a period of
14 time during which the patient does not recur, has a
15 much less severe disease.

16 ACTING CHAIRMAN STERN: But I probably
17 once more misremember, but I had thought that you had
18 said in the one year study the average duration of
19 days on use was something around 280 or 290 days.

20 DR. LAWRENCE: Yeah.

21 ACTING CHAIRMAN STERN: Which would be
22 incompatible with people being clear for four or five

1 weeks. So you're saying recurrence in a prior treated
2 area, but --

3 DR. LAWRENCE: Yes.

4 ACTING CHAIRMAN STERN: -- this is really
5 supposing continued use essentially 80 percent of the
6 year in these selected individuals.

7 DR. LAWRENCE: Well, it was actually --
8 and it's very confusing. The average length of time
9 on the therapy was 279 days. Most patients chose to
10 use continuous therapy, and we permitted them to do
11 that because we wanted to get long-term, at least 12-
12 month data.

13 The recurrence data comes from those
14 patients that discontinued therapy during the course
15 of the trial. That accounts -- there were only
16 several -- there were, I think, 45 or 50 patients that
17 actually did that, and those are the patients upon
18 which we based our recurrence data.

19 So there's a little disconnect there, but
20 it really is true, true unrelated almost, one of those
21 things where you do have two separate sets.

22 So in those cases that did discontinue, it

1 was about 54, 55 days to recurrence, but many patients
2 chose for their own purposes, as well as the
3 physician, to continue long term, and in this study
4 where we were trying to get a prolonged, continuous
5 exposure to gather data, we did permit patients to do
6 that, although as you noticed and as I showed, most of
7 those patients did have improvement both in the amount
8 of body surface area treated, and the amount of
9 ointment that they were using. So it was still
10 continuing to go down.

11 DR. LIM: I would like to come back to the
12 issue of the first line therapy. In your previous
13 response, Dr. Lawrence, you did mention that most of
14 the patients were in therapy, in fact, were on study,
15 in effect, have been on other therapy. So probably by
16 definition those patients are -- you are not using the
17 medication because the study design is such that those
18 patients are not using them as first line therapy.

19 DR. LAWRENCE: Well, I think with regard
20 to that question, Dr. Lim, certainly the majority of
21 the patients, and especially the patients who were
22 older, had had previous therapy, and in fact, most of

1 those patients had, as you've heard, I think, very
2 eloquently from the patients, failed numerous other
3 conventionally, currently available therapy. That is
4 correct.

5 ACTING CHAIRMAN STERN: I guess this might
6 be a point to actually when we think about
7 intermittent long-term therapy and are thinking about
8 safety profiles to realize that we have at most one
9 year of data on a bit more than 1,000 patients in each
10 category, and I guess one of the things is that one
11 has to always look at this is a living, evolving
12 thing, and I know that the company said that they
13 don't think this is over, but perhaps part of it might
14 be that we believe -- changing this to we believe that
15 there's reasonable evidence for safety for one year of
16 intermittent therapy, and that we believe as time goes
17 on Phase IV, other studies mutually negotiated between
18 the sponsor and the FDA to address the issues of long-
19 term safety are the only way we'll know what really
20 happens after a year.

21 And I'm much more comfortable limiting our
22 recommendations to what we know, which is a year, and

1 saying we feel good about beyond that, but we'd really
2 like to see data rather than just feeling good about
3 it.

4 DR. LAWRENCE: I think that's very
5 eloquently stated, Dr. Stern. In fact, we acknowledge
6 in our presentations earlier that our long-term
7 studies have been for periods up to 12 months or one
8 year, whichever is easiest to write in the label
9 clearly, and we acknowledge that additional post
10 marketing studies are valuable, and that was certainly
11 as part of our final comments -- I think that is where
12 Phase IV becomes very valuable.

13 ACTING CHAIRMAN STERN: Joel.

14 DR. MINDEL: I distinguish between the
15 complications of topical corticosteroids and systemic,
16 and in evaluating this drug, I would like to see it
17 approved as a second line drug after failure of
18 topical corticosteroid. A judgment as to whether oral
19 corticosteroid, I think, is a better or safer drug you
20 can argue, but my understanding of topical
21 corticosteroid in relation to what we know about this
22 drug, I'm more accepting of that type of labeling.

1 ACTING CHAIRMAN STERN: I guess my own
2 clinical opinion, and not being as expert as that, in
3 comparison to systemic steroids for this disease, at
4 least in one year of use to me this seems like a much
5 safer drug, but in comparison to topical steroids, I
6 think, you know, it took people who treat a lot of
7 psoriasis a long time to formalize the obvious, which
8 one of the things in managing chronic diseases is to
9 think about trying to alternate between therapies that
10 have different side effect profiles, different
11 concerns, and that, in fact, you may in the long term
12 minimize long-term toxicity by using one agent for a
13 while and when a person is either doing better or not
14 doing as well with that agent, switching them to
15 another agent that has a longer safety profile or is
16 less expensive or whatever the reason is.

17 So I think we're really talking about how
18 to integrate something into a therapy that will in any
19 individual change very much over time. I mean one
20 issue we haven't heard about actually is, occurred to
21 me as things change over time, safety in pregnancy.
22 I haven't heard any data, and I'm sure you have a

1 large database, but could you tell us in 20 words or
2 less what about in organ transplants?

3 DR. LAWRENCE: I can with two statements.
4 The first is that tacrolimus systemic has a Category
5 C, which we have requested for this agent as well. We
6 did have several cases of pregnancy during the
7 Protopic trials, although we preferred patient not
8 become pregnant, and in those cases it was very
9 variable.

10 Patients in the vehicle group did have
11 spontaneous abortions. There were also several normal
12 children born to patients on the .1 percent
13 tacrolimus. So I think that the issue on pregnancy is
14 that there's very little data, and we feel
15 comfortable with pregnancy Category C at the present
16 time, which we have submitted.

17 DR. MINDEL: Just along those lines, the
18 mother that puts the ointment on the two year old skin
19 is being exposed to the ointment, and if she's
20 pregnant, she also is -- just an observation -- she
21 also is being -- exposing the fetus to the ointment.

22 ACTING CHAIRMAN STERN: I think one of the

1 fortunate things about this agent is that one reason
2 it may work so well in atopic dermatitis, and it's my
3 understanding from published literature that if they
4 didn't have the same success, for example, in
5 psoriasis, that in atopic dermatitis you have an
6 injured barrier, and unless you have eczema on your
7 fingers, when it will be therapeutic for that, there's
8 no better barrier except the bottom of your feet than
9 the tips of your fingers.

10 So I think the degree of systemic
11 absorption, especially, is likely to be very limited
12 if you use one or two fingers and don't use the back
13 of your elbow to apply it to your child.

14 (laughter.)

15 DR. BIGBY: This is sort of basic clinical
16 trial stuff, but that sentence, "the safety profile of
17 protopic in treatment of atopic dermatitis has been
18 adequately determined," I think, it's clear that, you
19 know, randomized controlled trials are not adequate to
20 determine the safety of anything, especially for, you
21 know, relatively rare, but serious toxicities.

22 And there are legions of drugs that were

1 deemed to be safe on the basis of, you know,
2 premarketing data, benoxiprofen, phen-fen. You could
3 do millions of these, yeah.

4 ACTING CHAIRMAN STERN: I mean, I think
5 that's what I sort of implied in talking about we
6 really need data, both longer term and in larger
7 populations, and especially in those populations that
8 we might be most concerned about, and that's, I think,
9 something that the agency and the sponsor to figure
10 out perhaps with our advice at some point about what
11 are the issues and what are the designs.

12 DR. WILKIN: I think that's actually right
13 there with the spirit of this question. We're really
14 not asking is it safe. We're asking has the safety
15 profile been adequately determined. Are there glaring
16 lacunae in the safety database, something that would
17 need to be known before chronic therapy or before
18 first line or these sorts of things?

19 And if so, then you'll have an opportunity
20 in Question 5 to tell us what kind of studies will
21 generate what kind of information for labeling

22 DR. SIMMONS-O'BRIEN: Just to also throw

1 out there, I'm also concerned that when we agree that
2 something can be first used as a first line treatment,
3 that there's a potential for abuse of any particular
4 drug, and we want to make sure that if this drug is
5 approved, that the people who really need it are the
6 ones who get to use it, and that it's not handed out
7 like candy, and a lot of the leg work that should be
8 done in, say, evaluating potentially a child who has,
9 you know, limited to even moderate atopic eczematous
10 dermatitis who might just totally clear, and there are
11 some that do, if you find out that they have potential
12 allergens that are causing their disease process, as
13 in what they're eating or the environment and their
14 house, that we're somehow not going to shortchange
15 those children who could be remedied in other ways
16 because there is a new panacea, so to speak.

17 ACTING CHAIRMAN STERN: I mean, that -- I
18 agree with you completely, and I really think in terms
19 of recommending labeling to say that something is for
20 people who are refractory to or there's
21 counterindication for conventional therapy,
22 particularly in this disease, topical corticosteroids,

1 either because of the nature of the patient, the
2 location of the disease, to me is a reasonable way to
3 start with a drug that there's going to be, if
4 anything, a great push for wholesale adoption, and
5 what we'd really like is adoption in those individuals
6 where we know the benefit is high compared to the
7 alternative, and therefore, a slightly undefined risk
8 profile still makes us comfortable with its use.

9 And I don't know if the company is -- how
10 the company feels about having it, you know. First
11 and second line implies either -- implies a ranking as
12 opposed to a logical ordering of treatments within an
13 individual.

14 DR. LAWRENCE: I think your points are
15 well taken, Dr. Stern, certainly with regard to the
16 issue of how it is positioned, the armamentarium, and
17 what decisions the physician needs to make to enroll
18 the patient, and I certainly agree with the other
19 physician as well in her comments.

20 I think that we are certainly very
21 prepared to work with the agency to really define as
22 clearly as we can with obviously your recommendations

1 as a committee on how to really define how this drug
2 should be utilized.

3 We believe it offers a very important
4 therapeutic option to physicians, and I think part of
5 our goal as a company is to insure that we provide
6 those physicians with the proper information on how to
7 best use this agent to make patients improve.

8 DR. ABEL: Rob, an additional comment.
9 Regarding chronic, I feel uncomfortable voting on such
10 an unrestricted question, open ended rather, and I
11 would like to strike the "unrestricted."

12 Let's see. The treatment of atopic
13 dermatitis has been adequately determined for -- oh,
14 okay. You've changed it already. I didn't even see
15 that. Okay.

16 And I also agree with the first line, that
17 we should strike the first line and maybe it is. No,
18 it hasn't been stricken yet. So I would like to also
19 echo that I think we should be in "recalcitrant atopic
20 dermatitis" or something and not "first line."

21 ACTING CHAIRMAN STERN: Perhaps something
22 along the line of moderate/severe atopic dermatitis

1 not responsive to conventional therapy.

2 DR. ABEL: Something like that.

3 ACTING CHAIRMAN STERN: Or where
4 convention therapy is counterindicated.

5 DR. EPPS: Can I just make a comment? My
6 concern would be more as an advocate for the younger
7 children. Those are the ones that I see all the time.
8 The stories that we heard today, and I certainly have
9 a lot of empathy for them, are stories I hear every
10 single day.

11 A lot of the kids are generalized. It's
12 not, you know, 100 square centimeters or even 500.
13 It's all over.

14 The younger kids perhaps not only will
15 have increased exposure to the medication, but will
16 also have an increased lifetime exposure to
17 ultraviolet light, which relates to some of the
18 potential side effects.

19 Also, someone presented that they had
20 higher levels on day eight versus day one after
21 absorption versus adults. So that should be taken
22 into consideration, too.

1 And I would like to echo Dr. Simmons-
2 O'Brien's comments that this is a multi-factorial
3 disease. A lot of parents looking for the magic
4 bullet or the magic drug to get relief, and certainly
5 there are people who definitely need this. Believe me
6 it would make my life and a lot of people's lives a
7 lot easier.

8 However, we want to make sure that it's
9 safe and indicated.

10 ACTING CHAIRMAN STERN: Any other comments
11 or questions by the committee?

12 We're changing the question in terms of as
13 a --

14 DR. ABEL: One other question. Why not
15 limit it to one year?

16 ACTING CHAIRMAN STERN: Well, I guess my
17 reason for that is knowing how Phase IV studies go,
18 there's not going to be -- it would be impossible in
19 a year from its approval to have data on more than one
20 year. So you have to have adequate lead time.

21 You know, we're not going to have anymore
22 information, assuming the drug were magically approved

1 tomorrow and on the market the day after tomorrow. A
2 year from now we're not going to have any more
3 information about long-term safety than we have today,
4 safety beyond a year.

5 DR. ABEL: There are other drugs on the
6 market that are approved for use up to one year, and
7 I wonder if that might encourage physicians to use it
8 more judiciously and intermittently rather than
9 continuous, long-term use if they know its safety
10 profile has been established for up to one year
11 because over one year we don't know.

12 ACTING CHAIRMAN STERN: I guess my
13 preference would be to share the information about the
14 limits of our knowledge in terms of long-term safety,
15 but not limit the individual physician to a year
16 because then you're really in a Catch-22 for patients
17 for whom the agent is clearly effective and helpful,
18 and you've now treated them for 14 months, and there
19 are no additional data.

20 And if it says safe up to a year, that's
21 different than to say our database is up to a year.
22 You know, we're operating with less certainty about

1 safety both in terms of rare side effects, as Michael
2 has indicated, which there's no power in studies of a
3 couple of thousand patients to detect, and also in
4 terms of very long-term, intermittent use.

5 But I think telling people what we know
6 and not saying, oh, because we don't know it, that
7 means it's not safe; I mean that's just my own
8 feeling.

9 DR. ABEL: I didn't think we were saying
10 it's not safe after one year. It's just that we don't
11 have data beyond one year for determining the safety
12 profile.

13 ACTING CHAIRMAN STERN: So you would just
14 put in essentially the safety not in terms of limiting
15 the use to one year. Oh, okay. I misunderstood you.
16 I'm sorry, but you would just put it in the safety
17 information.

18 DR. ABEL: Yes, that has been studied up
19 to one year.

20 ACTING CHAIRMAN STERN: I think that's
21 usually pretty routine.

22 DR. ABEL: But we don't really know.

1 ACTING CHAIRMAN STERN: Isn't it? That
2 you say in X patients over Y period of time?

3 DR. WILKIN: That's right. We try to put
4 that database in there for exactly the reasons as you
5 describe. It's hard to, when crafting the labeling,
6 and the sponsor, of course, does a lot of the crafting
7 of the labeling, and their insights are important, but
8 in the end it's hard to anticipate every single
9 patient that is going to come into the dermatologist's
10 office and the different aspects, different factors
11 that they'll bring to the clinical decision of whether
12 to use this therapy or which concentration and for how
13 long.

14 So it's important to allow the clinicians
15 to make the important decisions at the bedside.

16 I think the question, again, is, you know,
17 close to the spirit of this, which it's the safety
18 profile question. I mean it's not is it safe. It's
19 do we know enough about the safety for this kind of
20 indication, long-term, intermittent, first line
21 treatment.

22 DR. EPPS: There weren't any trials

1 comparing it head to head with topical steroids?

2 ACTING CHAIRMAN STERN: I think there were
3 European trials comparing it head to head, but they
4 weren't presented.

5 DR. EPPS: These were all vehicle.

6 DR. LAWRENCE: Yeah, in the U.S. we used
7 vehicle to control paradigm.

8 ACTING CHAIRMAN STERN: Right.

9 DR. LAWRENCE: There were two studies in
10 Japan that were very short-lived. One was a one-week
11 study, and one was a three-week study that were -- I
12 believe those were included in the briefing document
13 both from Fujisawa and the FDA.

14 We did show comparisons to aclamethazone
15 on the face and showed superiority with tacrolimus
16 ointment, .1 percent, and with beta methazone valerate
17 on the trunk and limbs for a three-week treatment
18 again with a numerical advantage with tacrolimus
19 ointment, but in equivalence with regard to efficacy,
20 but again, those were very short, open label studies,
21 and we have not conducted any head-to-head to studies
22 here in the United States with that, and we don't have

1 any data that we are ready to prepare to send to the
2 FDA at this point.

3 ACTING CHAIRMAN STERN: Henry.

4 DR. LIM: Yes. As part of the safety, I
5 would like to address again the sun and
6 photocarcinogenesis issue. In terms of the sun
7 avoidance, in terms of the labeling I think it has to
8 be made very clear that the patient needs to do -- to
9 have sun avoidance practice and also need to use broad
10 spectrum sunscreen because, again, coming back to the
11 light source that was used in animals and I understand
12 that in human it may be completely different
13 situation, but it is a broad spectrum light source
14 that is used that contains UVA, as well as UVB.

15 So the broad spectrum component of
16 sunscreen needs to be emphasize.d

17 DR. LAWRENCE: and we have attempted to
18 make as best we can some early attempts at that by
19 saying they should practice or not avoid exposure,
20 unprotected exposure to natural or artificial
21 sunlight. I think additional guidance that we could
22 provide to the physician, we would certainly welcome

1 input on and are willing to talk to the agency, again,
2 about how best to define what that sun protection
3 should be.

4 ACTING CHAIRMAN STERN: I guess I have two
5 issues about that. One is my experience with people
6 with atopic dermatitis on the face is that unless
7 they're willing to use essentially physical barriers,
8 sunscreens, that most people can't tolerate the
9 sunscreens; that it kicks up their eczema. So you're
10 sort of, you know, between the devil and the deep blue
11 sea.

12 The second is clearly the risks are going
13 to be dependent on the phenotype of individuals, and
14 the recommendations for someone who is fair skinned
15 and blue eyed is very different than someone who never
16 sunburns and is deeply complected.

17 The third is at least based on -- I always
18 worry about children a lot -- but based on data, I'm
19 most worried about actually people who have already
20 had prior substantial sun exposure, people who sort of
21 look like me in terms of using it as opposed to people
22 -- it's not so much what you're doing this week. It's

1 what you did ten and 15 years ago with
2 immunosuppressive agents. That is my concern about
3 skin cancer risk.

4 And clearly, it's also for those very same
5 reasons very anatomically dependent, and to me this is
6 one of the tricky issues which I think we'll get to in
7 the next question.

8 On the one hand, this agent has very
9 substantial advantages over top corticosteroids on the
10 face. On the other hand, if you're looking at about
11 one of its two potential long-term toxicities, it
12 doesn't happen anywhere more often per square
13 sonometer or with, in fact, more impact on the
14 individual because of disfigurement than on the face,
15 except for the bald scalp and ears of older men, where
16 it's particularly dangerous.

17 DR. LIM: I just wanted to comment on a
18 comment that you made. I think it is true in terms of
19 photocarcinogenesis is very phenotype dependent. What
20 I'm not as certain is whether photocarcinogenesis in
21 the context of immunosuppression, whether it's enough
22 data to say that skin Type I individuals are more

1 susceptible compared to skin Type VI individuals, are
2 there good data to indicate that?

3 ACTING CHAIRMAN STERN: Well, the data, at
4 least as I read them, is that the relative risks go up
5 about the same for all groups, but since people who
6 are skin Types IV and above have much lower baseline
7 risks, their absolute risk is much lower.

8 So, yes, you may in quite low risk
9 populations. You get relative risk increases of 50 to
10 100-fold, but that's still not a lot of tumors, and in
11 high risk people you get similar increases in relative
12 risk, and you're getting a very high incidence. So
13 the burden of the disease is much greater in those
14 people with innately higher risk.

15 DR. LIM: Sure, but, on the other hand,
16 for the lower risk individual, essentially they still
17 have significantly increased risk at the same aptitude
18 (phonetic).

19 DR. ABEL: I'm sorry to get back to this
20 one point again, and I'm going back to the wording of
21 the question again. I would like to propose deleting
22 "long term" since we aren't defining it here, and just

1 say we're voting on the safety profile of Protopic in
2 treatment of atopic dermatitis as adequately
3 determined for intermittent therapy as a treatment for
4 chronic disease. You could even strike "first line."

5 So we're asking -- deleting "long term"
6 and just leaving it open ended. And also you could
7 also delete "first line" and you wouldn't have to
8 worry about defining it.

9 ACTING CHAIRMAN STERN: Shall we perhaps
10 vote? And I think perhaps we should vote dividing
11 this question into two parts. One is as Dr. Abel has
12 suggested, the issue of leaving in or taking out "long
13 term" out of that.

14 And then the second, the first line
15 because they really are two quite different concepts.
16 So I guess for ease in terms of long-term
17 intermittent, how many people feel that there's a
18 safety profile sufficient with the suitable caveats
19 about what we know and what we don't know and the need
20 for additional information that long-term intermittent
21 safety is as best we can reasonably documented?

22 DR. ABEL: You could put in there

1 treatment for chronic disease, and that implies long
2 term. So you could just keep it intermittent, but
3 delete long term only, and then it would be worded
4 intermittent therapy for chronic treatment of a
5 chronic disease, and that implies that it's going to
6 be long term.

7 ACTING CHAIRMAN STERN: Any preferences on
8 the part of the committee? Someone? Joel?

9 DR. MINDEL: As long as the term "first
10 line" is in there, I'm going to vote no.

11 ACTING CHAIRMAN STERN: That's why I say
12 we're only voting up to -- we're talking out first
13 line and now we're only voting about --

14 DR. ABEL: The first line is still in
15 there.

16 ACTING CHAIRMAN STERN: I'm sorry. I
17 think what I'd like to do is just have us go up to --
18 the question is whether we're comfortable with long
19 term intermittent therapy, and then separately discuss
20 whether it's first line or not.

21 I mean therapy means we're going to talk
22 about some kind of therapy it's indicated for, but it

1 may not be first line, and I actually prefer -- my
2 preference is long term. So since it's the more
3 difficult one to get by, why don't we leave it there,
4 vote on it, and if not, let's vote to the next one if
5 that's acceptable.

6 So could be put long term back in there
7 whoever is typing?

8 (Laughter.)

9 ACTING CHAIRMAN STERN: So we're now only
10 voting up to long-term therapy, and we're not
11 classifying as to whether it's first line or fifth
12 line or whatever. We're just going up to "therapy."

13 All those who --

14 DR. ABEL: Can we have discussion further?
15 Because I'm not comfortable voting on that. I'm
16 uncomfortable voting on long term if it's not further
17 defined. That's --

18 ACTING CHAIRMAN STERN: Okay. Maybe we
19 should do it backwards. In other words, do what we're
20 talking about long term, and I think I've heard that
21 most people don't believe that it at this time should
22 be considered first line in the sense of, yes, there

1 should be no difference in your thought process
2 between writing for this versus writing for a Class II
3 topical corticosteroid. Am I correct in that?

4 You're giving me that look, Michael, you
5 so often give me.

6 DR. BIGBY: I am not doing looks.

7 (Laughter.)

8 ACTING CHAIRMAN STERN: That's what you
9 think.

10 So I guess I would suggest that we change
11 first line to therapy for moderate and severe disease,
12 not responsive to or where conventional therapy is
13 inappropriate for the patient, or you have those words
14 quite well down for a number of other diseases where
15 there's this similar kind of paradigm.

16 Can we vote on that part and then get back
17 to the -- are people comfortable with that?

18 MR. HENRIQUEZ: Let them try to put it up
19 on the screen.

20 DR. BIGBY: Could you repeat that again?

21 ACTING CHAIRMAN STERN: For moderate or
22 severe atopic dermatitis not responsive to or where

1 conventional therapy is inappropriate or
2 counterindicated, some words like that.

3 PARTICIPANT: Nonresponsive or intolerant?

4 ACTING CHAIRMAN STERN: Thank you.

5 DR. ABEL: That phrase "moderate to
6 severe" adequately determined for treatment of
7 moderate to severe.

8 ACTING CHAIRMAN STERN: Oh, I wouldn't
9 worry about the wordsmithing, you know. I'd worry
10 more about the sense.

11 MR. HENRIQUEZ: I guess they need it one
12 more time.

13 ACTING CHAIRMAN STERN: I'm sorry. Not
14 responsive to conventional -- who in the audience said
15 not responsive to or --

16 PARTICIPANT: Or intolerant.

17 ACTING CHAIRMAN STERN: -- or intolerant
18 of convention therapy.

19 DR. ABEL: Resistant, just simply
20 resistant perhaps.

21 ACTING CHAIRMAN STERN: Yes.

22 DR. WILKIN: One minor point on not

1 responsive. They might actually have a response to
2 other therapy, but it might not be --

3 ACTING CHAIRMAN STERN: But not
4 adequately --

5 DR. WILKIN: Yeah, it's adequate.

6 ACTING CHAIRMAN STERN: Not adequately
7 responsive, yes. No, you're absolutely right.

8 DR. BIGBY: Can I ask a question?

9 ACTING CHAIRMAN STERN: Sure.

10 DR. BIGBY: How important is long term and
11 first line to the sponsor?

12 DR. LAWRENCE: Are you asking me that in
13 the context, Dr. Bigby, of approval or --

14 (Laughter.)

15 DR. LAWRENCE: I think that we
16 acknowledge, and in fact, we have talking to the
17 agency already -- I'm sorry. I don't need to knock
18 that over -- that we acknowledge that the studies we
19 have conducted were only for periods up to 12 months,
20 and we fully acknowledge that.

21 And I think with that concept, as Dr. Abel
22 has alluded to and, I think, Dr. Stern has as well,

1 perhaps even including in the label that -- and I
2 think this is very nicely crafted as we're working on
3 this -- is the fact that studies involving periods
4 greater than 12 months of therapy have not been
5 conducted.

6 I mean something along those lines are
7 certainly very reasonable, and I think we would be
8 comfortable with those in the lab.

9 ACTING CHAIRMAN STERN: Michael, asking
10 the sponsor that is like asking them if they want the
11 82 left feet or the 99 gallows.

12 DR. BIGBY: No, no, no.

13 DR. LAWRENCE: But we do appreciate
14 your --

15 DR. BIGBY: No, actually I disagree, and
16 I think that the response is right. It's quite
17 reasoned.

18 ACTING CHAIRMAN STERN: I agree.

19 DR. ABEL: Could I just suggest a change
20 in order of one phrase --

21 ACTING CHAIRMAN STERN: Sure, absolutely.

22 DR. ABEL: -- so that it will read perhaps

1 -- I can't see it from here and you won't hear me. So
2 I cannot speak into the microphone, but my suggestion
3 would be has the safety profile of Protopic been
4 adequately determined for long-term intermittent
5 therapy of moderate to severe atopic dermatitis.

6 Now, just switch the of -- has the safety
7 profile of Protopic been, and this whole phrase "in
8 the treatment of moderate to" -- yes, move that. No.
9 Determined -- okay. Take --

10 ACTING CHAIRMAN STERN: Elizabeth, can I
11 suggest that much of this will come out in Question
12 4 --

13 DR. ABEL: Okay, all right.

14 ACTING CHAIRMAN STERN: -- in terms of
15 filling in the boxes about the --

16 DR. ABEL: I need to be at the --

17 ACTING CHAIRMAN STERN: Or if you'd like
18 to go there.

19 DR. ABEL: No, that's okay.

20 ACTING CHAIRMAN STERN: Can I move the
21 question? And I guess the other important thing is
22 not only do we have the statement, but it's adults and

1 children separately and the two concentrations
2 separately.

3 But this, again, is only -- in a certain
4 sense, it is a bit irrelevant to children at the .1
5 percent because we've said that we are at this
6 point -- we're not sure about an efficacy superiority.
7 So I'm wondering to make things easier can we at least
8 take out .1 percent there since it's really not in
9 play at the current time? And maybe we can vote more
10 quickly so we can --

11 (Pause in proceedings.)

12 DR. ABEL: It's very confusing. It's very
13 confusing.

14 ACTING CHAIRMAN STERN: But as I say, we
15 will be defining it in Question 4 really. Dr. Epps,
16 would you like to move the question?

17 MR. LIM: I move to -- I think for the
18 interest of moving things along, I would move to
19 Question No. 4 and address Question No. 4, and part of
20 this question will be addressed, I'm sure.

21 ACTING CHAIRMAN STERN: Is that okay with
22 everyone?

1 All those who believe that this statement
2 reasonably reflects their feelings at the end of today
3 or at the time today, so signify.

4 (Show of hands.)

5 ACTING CHAIRMAN STERN: It does reasonably
6 reflect? Henry?

7 DR. ABEL: You put the "long term" back
8 in. "Long term intermittent" --

9 ACTING CHAIRMAN STERN: I didn't say
10 perfect. I said reasonably.

11 DR. ABEL: Reasonably, yes. I will go
12 with that.

13 ACTING CHAIRMAN STERN: You don't agree.
14 Okay. So it's five to one.

15 Well, now that we've done the easy things,
16 why don't we move on to Question 4, and I think the
17 first thing there is we would really -- in the
18 introductory sentence, we would clearly change it to
19 be consistent with two and three in that whatever that
20 awkward phrasing was rather than unrestricted chronic,
21 we would substitute the awkward phrasing there, and we
22 would have .03 in children and both strengths in

1 adults.

2 And I guess one other thing that I hate to
3 raise an issue. You have children over two, and one
4 of the things in the safety discussion that struck me
5 a little bit was the very little bit of data in a
6 small number of children two to five where there were
7 higher levels of absorption, which makes sense because
8 if you look at the ratio of surface area to kilograms
9 or any other measure of body mass as opposed to body
10 surface area, there's the highest ratio in those
11 younger kids.

12 So I guess one thing to me about the --
13 it's not a matter of not thinking that it should be
14 approved, but in terms of additional cautions about
15 our database is perhaps breaking it -- breaking
16 another thing at some -- the two to five a little bit
17 differently than the five and above who were still
18 clearly children.

19 And I don't know if any other people
20 either -- I don't know how you feel about this. I
21 mean it's difficult because, on the one hand, these
22 are kids who really need it. On the other hand, there

1 is some evidence that they're more likely to have
2 higher levels, and they are the youngest kids.

3 And if you look at lymphoma related to
4 transplantation, little kids are at the highest risk.

5 DR. PALLER: I'm a little confused because
6 I think there was some PK data that was presented that
7 where there was less PK data, but in terms of what was
8 done standardly in some of the early studies looking
9 at the actual levels of the tacrolimus in the blood,
10 I don't think there was a problem there. Maybe I'm --

11 ACTING CHAIRMAN STERN: It's difficult
12 with all of these data, but I remember one slide where
13 there were a very small number of patients two to five
14 separated out from other children, and --

15 DR. PALLER: I thought that was in the PK
16 data presentation.

17 ACTING CHAIRMAN STERN: But I think that
18 would be -- good. You can tell me slide 273.

19 DR. FITZSIMMONS: Close. It's 228.

20 (Laughter.)

21 DR. FITZSIMMONS: This slide shows the
22 pediatric group that received the intended

1 concentration that you've just agreed on, the .03
2 percent, and we've broken those 78 pediatric patients
3 that I had shown in my primary presentation down into
4 the two to six, seven to 15 year olds, and then
5 compared them to the adults who received .03 percent.

6 What you'll see if you look at those with
7 a nonquantifiable level less than .5, the pediatrics
8 in two to six, 70 percent of them have a non-
9 quantifiable level, the exact same as the adults.

10 It's actually the seven to 15 year olds
11 that are the outliers who have lower absorption. So
12 the two to six year olds have no great risk in terms
13 of blood level exposure as compared to the adults in
14 these data, and even if you look at the levels above
15 one or above two, you can see they're much lower even
16 in two to six year olds compared to the adults.

17 ACTING CHAIRMAN STERN: But the issue here
18 to me was if you're worried about -- if you have any
19 concern about the possibility of lymphoma, the data is
20 that two to six year olds are at much higher risk at
21 least in the transplant data than are people over 17.

22 So the same concentration in a younger

1 person is more concerning to me, and not knowing these
2 data very well, I think even a very young person, a
3 two to five year old toddler basically is even more
4 concerning than a seven or ten year old in terms of
5 innate risk at least with immunosuppression.

6 So you have to balance the concentrations
7 versus the potential underlying risk.

8 DR. FITZSIMMONS: Right, and I think it's
9 important that, again, these are intermittent
10 therapies. So they don't maintain these levels for
11 long periods.

12 DR. WILKIN: We have a slide we also could
13 show. I think maybe it's the one you're referring to.

14 ACTING CHAIRMAN STERN: Yeah, I can't
15 remember them all.

16 DR. OKUN: We have a somewhat similar type
17 of presentation as the sponsor has just shown in that
18 there's a breakdown here looking at maximum tacrolimus
19 blood concentrations first in the set of patients age
20 two to six, and then there's a set aged seven to 15.

21 And as you stated, Dr. Stern, there is a
22 higher percentage of patients who have blood levels

1 above the lower limit of detection among the patients
2 age two to six, whereas in the patients age seven to
3 15, all of them have -- all of their specimens are
4 below the limit of quantification.

5 ACTING CHAIRMAN STERN: And I actually had
6 a question for you about the slide. With 16 patients,
7 how do you get less than six percent in any cell, and
8 with 17 patients, how do you get three percent since
9 1/16 is six percent and 1/17 is also about six
10 percent?

11 I had meant to ask that, but unless these
12 are multiple determinations in these 20 and, you know,
13 the denominator, in fact, is over the number of
14 determinations rather than the number of patients,
15 that's what I assumed, but it would be interesting to
16 know. N equals 17 patients and 127 or 33
17 determinations or whatever.

18 DR. OKUN: Yeah, but there are -- yeah.
19 I'm sorry. I'm thinking out loud. I think the N
20 refers to the number of patients, whereas the
21 percentages refer to the number of samples, and where
22 there were samples collected at numerous times during

1 the course of the study. I think that's the
2 explanation.

3 ACTING CHAIRMAN STERN: And do you happen
4 to know how many individuals of the 16 and 17 are
5 represented, how many different individuals ever had
6 a level above one or above two?

7 It's not really important. I mean, just
8 curious.

9 PARTICIPANT: There's only that
10 explanation.

11 ACTING CHAIRMAN STERN: That was my
12 question. Was it the same patient who scored on both?

13 DR. FITZSIMMONS: That four percent.
14 Well, there's only one patient with one single
15 determination that was 1.19 nanograms per mL in the
16 two to six, .03 percent.

17 ACTING CHAIRMAN STERN: Okay. Thank you.

18 So how might we best proceed? I believe
19 we have agreed that we would change the phraseology
20 beginning with "which" and ending in "adult
21 certainly." Is there anyone else who feels that these
22 data or anything else suggest any additional caution,

1 given this small subset in very young children, not to
2 mean necessarily any difference in recommendation
3 about approval, but perhaps specifically warning
4 people that we know even less here, and that, in fact,
5 relative to the overall database, these people seem to
6 have a higher frequency as might be expected of higher
7 systemic levels?

8 I see Dr. Paller, who I always respect her
9 acumen, and I see I've lost her completely.

10 DR. PALLER: After showing these data are
11 you still worried about two to six per se in terms of
12 the data shown?

13 ACTING CHAIRMAN STERN: If we go back to
14 this --

15 DR. PALLER: Because there were more in
16 the two to six year group shown than in the seven to
17 15.

18 ACTING CHAIRMAN STERN: Right. I'm
19 worried about the two to sixes more than I am about
20 the six to 17.

21 DR. PALLER: In general, of course, right.

22 ACTING CHAIRMAN STERN: In general, of

1 course, but these data make me worry even a little bit
2 more because I read those data as the two to six year
3 old having a higher frequency of detectable, and in
4 fact, above one nanogram levels, which makes sense,
5 given their --

6 DR. PALLER: Yeah. I mean, there was one
7 patient who had one detectable level, for example.

8 ACTING CHAIRMAN STERN: Yeah, but you
9 know, you can go confidence intervals around that, and
10 it can get to be quite a large number, but it's sparse
11 data, but it goes along with everything that you'd
12 expect or at least that I'd expect.

13 DR. PALLER: I guess I'd be more
14 uncomfortable if it weren't also in the adult, that
15 the same thing was there.

16 ACTING CHAIRMAN STERN: Yeah, I'm less
17 comforted by it's okay in adults and, therefore, it's
18 okay in three year olds. I mean, that's -- to me they
19 are very different risk considerations.

20 DR. ABEL: Can we vote on those
21 separately, the adults versus the children?

22 DR. PALLER: Can I just -- I think what I

1 don't know and what no one knows is what it means to
2 have a level that's one percent intermittently, and no
3 one knows that.

4 ACTING CHAIRMAN STERN: I couldn't agree
5 more. It's just the question is: is it enough> If
6 you'll pardon my using the analogy to adverse drug
7 reaction, are these two patients enough of a signal
8 that you want to call people's attention to it?

9 It's a relatively infrequent event, but
10 it's in a subgroup that you're particularly concerned
11 about. So do you consider this a signal like you do
12 an adverse report of a rare disease in a drug that
13 gets phoned in?

14 I mean, to me it's a signal that it's no
15 proof of anything. It's hard to interpret, but to me
16 it's a real signal in a group you're particularly
17 concerned about.

18 DR. WILKIN: Yeah, I think it's exactly
19 that. It's the imperfect data sort of thing trying to
20 make something out of it. I just remind the committee
21 that where it says "maximum blood concentration," it
22 doesn't really mean Cmax. It just means the largest

1 values found on a random sampling sort of approach.

2 ACTING CHAIRMAN STERN: And along that
3 line, did the sponsor ever do a study where in people
4 on some degree of chronic dosing you did multiple
5 determinations over a 24-hour period of time, where
6 you could look at the variability in someone who had
7 detectable levels, the variability over time.

8 I mean, I guess one of my expectations
9 about this, that on any given day, there's likely to
10 be less variation in the Cmax because this is a
11 topically applied product and probably a reservoir
12 effect than if this were an oral product or an
13 intravenous product, but I may be completely wrong.

14 Anyone have any data on that?

15 DR. FITZSIMMONS: Yes. Specifically in
16 pediatric populations, we evaluated .1 percent. So,
17 again, this is three times greater than what we're
18 proposing for commercial.

19 In a pharmacokinetic study in pediatrics,
20 where we did a full 24-hour profile on day one of
21 therapy and then another 24-hour serial kinetic
22 profile on day 14 of therapy, and you can see here the

1 data that's shown from this pediatric study, and I
2 believe this is summarized in your briefing document.

3 On day one you see depending on the body
4 surface area treated as it increases across the three
5 treatment groups. You see the AUC of 3.2, and then in
6 the second group, 8.9 and 10.6, and then it decreases
7 over 14 days in the highest treatment group down to
8 4.7.

9 So similar to the previous data on the day
10 one where we have active flare disease, you see an AUC
11 of 10.6 which drops in half by day 14, and then the
12 therapy was discontinued.

13 ACTING CHAIRMAN STERN: My question was
14 slightly different. Because you have basically shall
15 we call it random sampling throughout the day, the
16 question is how much are you hitting Cmax's in your
17 larger samples, and my question would have been if you
18 track an individual patient over 24 hours, are there
19 levels reasonably constant, especially after day one,
20 you know, on day seven and beyond. Is that reasonably
21 any given time of day or does there seem to be a lot
22 of variability?

1 I don't know if you have those data.

2 DR. FITZSIMMONS: Well, in this study on
3 day 14, we actually measured concentration serially
4 across those time points.

5 ACTING CHAIRMAN STERN: And was it pretty
6 flat?

7 DR. FITZSIMMONS: There is some elevation
8 over the time zero point, but there's not a big spike.
9 I don't have an actual plot of that here.

10 DR. OKUN: Dr. Stern, if I may make just
11 one or two comments, this information, the slide that
12 we have up here, actually refers to data that was
13 collection in the 12-week study. The sponsor has also
14 conducted other studies of shorter duration, one of
15 which, 95009, in the pediatric patients, a maximum
16 blood concentration of 9.58 nanograms per mL was
17 observed.

18 I should mention that seen with the 0.1
19 percent ointment, whereas it looks like you're heading
20 towards not necessarily advocating the use of that.
21 So I wouldn't say necessarily that in all the PK
22 studies up till now that we've seen labels uniformly

1 less than one or two nanograms per mL in the pediatric
2 age group.

3 DR. WILKIN: Since they're actually the
4 sponsor's data, in your briefing document on page 35,
5 Figure 3, the bottom panels, it's got mean tacrolimus
6 blood concentrations, time profiles in adult and
7 pediatric atopic dermatitis patients. Is that
8 helpful?

9 DR. FITZSIMMONS: Yeah, we actually have
10 a slide of that maybe if it would help for people that
11 don't have the briefing document, what Dr. Wilkin is
12 referring to, and again, just to point out, this is
13 the 08 kinetic study that I had discussed previously,
14 and this is with .3 percent, but you can clearly see
15 that the profiles are fairly flat even over the 24-
16 hour period when you get to day eight, but you do see
17 more of a peak in that first day.

18 So what you're asking, Dr. Stern, is very
19 similar.

20 ACTING CHAIRMAN STERN: That's helpful.
21 Thank you.

22 DR. WILKIN: Well, actually the bottom

1 panel had the pediatric part, and it looks like there
2 is an area of application effect; is that not --

3 DR. FITZSIMMONS: There are two groups of
4 pediatric patients. There are four in each group.
5 You'll see a 100 square centimeter and a 50 square
6 centimeter. On day one the 100 square centimeter does
7 have the four hour level that averages two, and then
8 they're flat by day eight.

9 Again, this is .3 percent concentration,
10 ten times higher than what we're looking at for
11 proposed labeling for pediatrics.

12 DR. LIM: But along this line, this comes
13 back to the area that is applied. One hundred
14 centimeters square is ten centimeters by ten
15 centimeters. So it's a relatively small area.

16 DR. FITZSIMMONS: That's why we went
17 forward and performed the previous study that I showed
18 with 60 percent body surface area treated with .1,
19 because we knew this was a lower body surface area.

20 ACTING CHAIRMAN STERN: Do I hear anyone
21 but me who might think that the Advisory Committee
22 might advise that some special attention be paid to

1 additional concerns about safety and absorption both
2 in terms of labeling and perhaps in terms of
3 additional studies in two to five, as opposed to all
4 children?

5 I guess I'm the only one. So I don't hear
6 it.

7 DR. ABEL: I agree.

8 ACTING CHAIRMAN STERN: Oh, okay. Anyone
9 else?

10 I think not to do anything formal, but I
11 think that's an area in terms of long term therapy of
12 two through five, less than six, because that was the
13 break point you did, was essentially two through five.

14 With that extra caution, could we perhaps
15 go through the decision table?

16 I'd like to add one other parameter. One
17 of the most difficult things for me in terms of
18 thinking about risk-benefit of this is the area of the
19 body that perhaps has the greatest risk advantage over
20 corticosteroids is the face, and yet in terms of my
21 own concerns, always colored by one's own interests
22 that's the area of greatest risk.

1 And I don't know. I would like the
2 company's opinion about how do you all feel about face
3 versus other sites because of the squamous cell
4 carcinoma risk?

5 DR. PALLER: I would just say that I'm
6 just as conflicted as you are about this because if
7 there's one place I'd like to do it as a first line
8 agent, it's on the face, and I've seen so much atrophy
9 from the use of topical corticosteroids in that area.

10 But I, too, share that concern, and I
11 think one of the things that we have talked about is
12 about the concomitant use of sunscreens. And
13 fortunately, I've had better experiences it sounds
14 like than you have. I've been able for most patients
15 to find some sunscreen that is tolerated, and that's
16 something that we certainly stress.

17 I think that there have to be precautions
18 about that. I don't think anyone has any disagreement
19 with that. I don't think it should preclude its use
20 on the face, but I think the precautions need to be
21 strong, and that perhaps there needs to be some
22 definition about sunscreen use over time as that

1 information arises, and sun protection, staying out of
2 sun in peak hours, the wearing of hats, that sort of
3 thing are all very useful.

4 ACTING CHAIRMAN STERN: And, Henry, any?

5 So I think what I'm hearing is people do
6 not want to separate out usually sun exposed areas in
7 terms of these recommendations, but they do have
8 additional concerns which might be reflected both in
9 labeling and in issues for additional studies in terms
10 of better defining what is the risk of carcinogenesis
11 both in adults and children with long-term use.

12 And I actually do believe, although I
13 agree that the relative risks are likely, if they go
14 up at all, are likely to go up in people of all skin
15 types. I think given the tremendous difference in
16 absolute risk, I think a little bit more precaution.

17 I'm actually most concerned about people
18 who have substantial photo damage, a history of a
19 prior malignancy or actinic keratoses. I mean to me
20 the presence of actinic keratoses would not be a
21 counter indication to therapy, but would be a strong
22 factor to consider in risk-benefit in treating an

1 adult.

2 So I think those kind of risk parameters
3 are, in my opinion, appropriate and should be somehow
4 considered. So I think perhaps we should deal with
5 the adults first, and I think really in all of the
6 adult ones as we redefined Questions 3 and 4, the
7 committee has pretty much said that -- can we put back
8 up with the committee said in the --

9 (Laughter.)

10 ACTING CHAIRMAN STERN: I think when we
11 change that to long-term intermittent therapy rather
12 than unrestricted chronic that most of the committee
13 seemed pretty comfortable with that, but is that
14 correct?

15 I think Dr. Epps was the only one who was
16 not comfortable with that. Is that --

17 DR. EPPS: Regarding safety.

18 ACTING CHAIRMAN STERN: Yeah. Well, and
19 I think in first or second line we've now really
20 changed what we mean by -- we've taken what I call an
21 intermediate position here and defined what we think
22 its place is, and I hope everyone still feels that

1 way.

2 And I think for adults we thought we were
3 pretty comfortable with both .03 and .1 and might wait
4 until we get to Question 5 about some of the things
5 that we think would be very useful to know about when
6 to use .1 and when to use .03, but didn't have any
7 strong feelings, "Oh, yes. Okay for this one, not for
8 that one."

9 Is that a fair summary, and is that pretty
10 much what you want to know, or do you want to vote on
11 each of these?

12 DR. LIM: I thought it was a good summary
13 of what our previous discussion had been.

14 ACTING CHAIRMAN STERN: Is that okay from
15 your perspective?

16 Okay, and with the exception of Dr. Epps'
17 concerns about, additional concerns about the safety
18 aspect of long-term intermittent in children, I think
19 there was general agreement, and was yours more in
20 children than in adults? Okay. So for adults it was
21 everyone's in agreement. For children, I think the
22 difference is that at this point we believe -- it is

1 my sense that we believe -- that .03 is the only
2 strength we're recommending in children at this point,
3 pending other safety and efficacy data as potential
4 changes; that the definition of its place is still
5 defined by that in terms of not using first or second
6 line, that that the definition of period of use is
7 still defined by that, but we have additional concerns
8 not really that changes perhaps approval, but might
9 affect labeling in two to five year olds, and that
10 comes down to safety concerns that we think based on
11 the little data available and who they are make us
12 additionally concerned about both better defining that
13 and in anyone making the decision having a little bit
14 more weight on potential yet undefined risk in that
15 subgroup compared to others, other older children with
16 comparable disease and indications.

17 Is that a fair summary? You mean we get
18 to go on to the next question?

19 Question 5, maybe we should just go around
20 the table and get suggestions about specific kinds of
21 studies that people think might be useful, and in the
22 context of what may well be practical with an approved

1 drug. We all know what we'd like to have for
2 information, but the question is what's practical.

3 Do you want to start, Dr. Epps? We always
4 seem to start on this side.

5 DR. EPPS: Well, at this point just a
6 brief comment in regards to the chicken pox and the
7 varicella. For example, there were, I guess, five
8 kids who developed infection during the trial, and it
9 would be interesting to know whether or not they had
10 been immunized. Say, for example, none of them had
11 been immunized. Then it would be worth having kids
12 entering or being -- before they go on the drug, being
13 immunized or have had the infection or proven to be
14 immune so that you avoid that complication.

15 But it would be interesting to
16 characterize, to put on my pediatric hat, whether or
17 not those kids had had the chicken pox or whether they
18 had been immunized and then somehow -- suppose all of
19 them had been immunized and then they developed the
20 infection. I mean, I guess that would have come out,
21 but that would be interesting to know because that may
22 affect whom you may put on the drug.

1 Sometimes kids who have atopic dermatitis
2 are behind in their immunizations because physicians
3 don't want to give them the immunization while they're
4 severely affected. So that may be something worth
5 looking into, especially for the smaller ones.

6 DR. ABEL: Of course, we need to have
7 long-term follow-up studies of safety profile. In
8 regard to the herpes, I'd be interested in knowing in
9 those patients who have a history of chronic recurrent
10 herpes simplex if this increases their frequency of
11 outbreaks.

12 In regard to the photocarcinogenesis, I'd
13 be interested in the age distribution of the adults
14 that were treated in the clinical trials. Were these
15 mostly young adults, or were there adults in the older
16 age group who already had significant actinic damage?

17 And if so, did we see any increased
18 incidence of actinic keratosis or skin cancer?
19 Apparently not, but how many patients were in the
20 older age group who could have had actinic damage?

21 And also, we need to address the use in
22 patients with concomitant medical problems, such as

1 the immunosuppressed patients, patients with HIV, and
2 safety in those patients.

3 DR. LAWRENCE: I'd be happy to respond to
4 that if you'd like, Dr. Abel. I don't want to
5 interfere with the committee's deliberations, but I'm
6 going to have to, again, do this thing where I'm going
7 to do like Dr. Abel and talk and look.

8 Yeah, I'll do this. That's easier.

9 There were six cases of cutaneous
10 malignancy in the course of our studies. This
11 includes both the short-term and the long-term
12 studies. Of those cases, we had -- I have to count --
13 four cases of basal cell carcinoma, all but one of
14 whom had a prior history of basal cell carcinoma
15 within the distant past.

16 We had one, two, three patients or two
17 patients that had squamous cell carcinoma in situ, and
18 so those are the six cases that we had in our clinical
19 studies. So there was a preexisting condition.

20 There was also -- I'm sorry?

21 DR. ABEL: What were their ages?

22 DR. LAWRENCE: Oh, ages. I'm sorry. The

1 ages were 61, 72, 62, 72, and 59. So hopefully that's
2 helpful.

3 ACTING CHAIRMAN STERN: And I assume those
4 were all in treated sites.

5 ACTING CHAIRMAN STERN: That's not
6 necessarily true, Dr. Stern.

7 The second question, Dr. Abel, with regard
8 to immunodeficiency, we did not permit patients with
9 known immunodeficiency disorders, including HIV or
10 other immunodeficiencies, such as Wiskott-Aldrich, to
11 enter into this program. So as of this date, we have
12 not permitted that to be done.

13 The other thing and comment to Dr. Epps,
14 at the time of the studies, Dr. Paller reminded me
15 many of the early studies with children predate the
16 varicella vaccine. So I would suspect many of them
17 were not vaccinated at that point in time, but I do
18 think your suggestion is an excellent one.

19 DR. LIM: I think that in addition to what
20 Dr. Epps and Dr. Abel have mentioned is one other
21 historical aspect that we need to look, especially in
22 adults and also in kids, you know: what previous

1 treatment specifically? What previous type of UV
2 related treatment that these patients have had and how
3 does it relate to the risk and the development of skin
4 cancers or photodamage in these individuals?

5 Many of these patients probably have had
6 UVB in the past. Some of them probably have had PUVA
7 in the past. I think it would be important to find
8 out what is the relationship in those group of
9 patients with the subsequent photocarcinogenesis if
10 there are any increase.

11 DR. TANG: Yes. Since there are still 50
12 percent of the patients who do not know greater than
13 90 percent improvement by the end of the year, I think
14 it's of interest to see -- to have more data either on
15 both efficacy and safety beyond one year of treatment.

16 DR. SIMMONS-O'BRIEN: I'm interested, one,
17 in just -- forgive me about the sunscreen/sun block
18 issues since that's going to really be a major factor,
19 especially for the younger children. Are there
20 recommendations as to whether screens, broad spectrum
21 screens in the form of lotions or gels? Was there any
22 kind of continuity in terms of what the participants

1 used in the study?

2 Because even a broad spectrum sunscreen
3 and a gel is very different in terms of its protective
4 effect, you know, and specifically the aerosols as
5 opposed to the lotions and whether or not there are
6 also actual blocks within the broad spectrum
7 sunscreens.

8 I mean, I think that that's going to be
9 critical because people are going to look, you know,
10 to us to advise as to really to define broad spectrum.
11 I know today we've been defining a lot of things, but
12 broad spectrum really needs to be defined in terms of
13 what the vehicle is and in terms of the SPF.

14 So anyway, that's one of the things, I
15 think, that's going to be very important to do, and
16 then the other would be, again, I touched on this
17 earlier, to make certain that the patients who do have
18 moderate to severe atopic dermatitis have just, in
19 fact, that; that there is evidence that they have had
20 biopsy confirmational diagnoses, and that even when
21 they are on the medication, when they're on the
22 Protopic, that if they're not responding in a way that

1 one would hope, that again surveillance biopsies are
2 performed.

3 DR. LIM: Can I respond to Eva's first
4 comment on the sunscreen?

5 You're absolutely correct. I think if
6 sunscreens are not used properly, the SPF is going to
7 be different, but all preparation of sunscreen, if it
8 is used properly, if it is SPF 15, it really doesn't
9 matter whether it is spray, gel, or lotion or cream.
10 It should give you protection of SPF 15.

11 So but I think it is important to tell the
12 patient that they have to use broad spectrum
13 sunscreen.

14 Your question about broad spectrum is a
15 very good one. There is an article that is coming out
16 in the Blue Journal, coming out from a consensus
17 conference that's responsive by the AAD that would
18 address specifically that issue, our recommendation,
19 that is, the AAD's recommendation as to what a broad
20 spectrum sunscreen is based on in vitro as well as in
21 vivo testing.

22 So hopefully that would help to clarify

1 it. Clearly, the FDA would have to act on the
2 recommendation to see what their definition would be.

3 DR. MINDEL: Even though it may be
4 unnecessary, I'd like to know what the mother who is
5 putting on the ointment twice a day for a year, what
6 her blood levels are. It could very, I think,
7 relatively easily be determined whether there is
8 anything detectable.

9 And maybe the outcome of pregnancies of
10 mothers who are applying the ointment to children.

11 It looks like the Japanese are going to
12 have at least a two-year running start on the use of
13 this drug, and this is not -- it's sort of along the
14 same lines, but is there some way of communicating
15 side effects and problems from their FDA to our FDA?

16 DR. DeLAP: There are such relationships
17 between regulatory agencies, and I think there are
18 getting to be more all the time. So I think we've
19 identified that as a useful area for further
20 development.

21 DR. BIGBY: I am still not convinced that
22 we have seen the correct data to make a decision about

1 the superiority and efficacy of .1 versus .03 percent
2 cream, and I do think that the data are available, and
3 I would suggest that we or that you take a look at the
4 rate difference between those two concentrations in
5 individual adult studies, and that it be done both in
6 terms of patients with moderate and severe disease, as
7 well as the breakdown in terms of different degrees of
8 body surface area involvement.

9 And then I think you need to know the
10 details of how the two adult studies are combined.

11 ACTING CHAIRMAN STERN: I had a few issues
12 that I think might profit from further elucidation.
13 One is in addition to the incidence of acute viral
14 illness, I'd be interested in some further
15 microbiologic studies, especially with the higher
16 incidence folliculitises, really knowing more about
17 the resistance and what is happening in terms --
18 especially resistance among Staph. and what's
19 happening with folliculitis. Are these really
20 infectious folliculitis or is this just because you're
21 putting on an ointment? But why the differential
22 between the placebo ointment and the drug?

1 So I'd like some further comfort about
2 what's happening to Staph. bacteria on these
3 individuals over time and the potential emergence of
4 resistance.

5 To me the big two -- I think a very
6 interesting efficacy issue is this whole issue of what
7 are the differences between a short-term .1 percent
8 followed by lower concentrations versus lower
9 concentrations all the time, versus long term in terms
10 of really which works the best, which I think are, you
11 know, additional studies that would help further guide
12 clinicians in how to optimally use the agent.

13 In terms of safety, I have the same two
14 concerns as when we came in, which are lymphoma,
15 especially in children, and I might suggest that it
16 may be possible to do a fairly easy kind of registry,
17 especially if you can link to SEER data so that you
18 don't really have to formally follow people, but you
19 can enrol people and see if the incidence in this
20 group is any different than in any other groups, and
21 that doesn't have to be a \$1 billion study.

22 For non-melanoma skin cancer, I actually

1 think because there are no data comparable to SEER and
2 there aren't the same kind of registries available, I
3 think where I would look is in a relatively high risk
4 population that is adults. Within my lifetime you
5 won't get an answer about risk in children, and look
6 at and see what the risk is, especially in people who
7 use it in different areas. A reasonably complicated
8 study, but actually if you pick your study population
9 reasonably well, looking at higher risk individuals,
10 it shouldn't have to be a huge study. You can power
11 it pretty easily.

12 And those were the sort of further areas
13 that I think would be useful as this drug comes into
14 the marketplace.

15 Any other issues? Any other things you'd
16 like to address to us, Dr. Wilkin?

17 DR. WILKIN: When I look over the
18 question, I think you have responded not only to the
19 things we asked, but also you've added a lot more to
20 it. We really appreciate the in depth discussion.

21 ACTING CHAIRMAN STERN: Do I hear a motion
22 for adjournment then?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. LIM: So moved.

ACTING CHAIRMAN STERN: All those in favor?

Thank you all very much for your patience.

(Whereupon, at 4:50 p.m., the Advisory Committee meeting was concluded.)

C E R T I F I C A T E

This is to certify that the foregoing transcript
in the matter of:

DERMATOLOGIC AND OPHTHALMIC
DRUGS ADVISORY COMMITTEE MEETING

OPEN SESSION

Before: FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND
RESEARCH

Date: NOVEMBER 16, 2000

Place: ROCKVILLE, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Davis