

1 those folks who are technical experts aren't ready  
2 today. They will be ready in the future. Can you say  
3 it more elegantly?

4 DR. MacGREGOR: I would agree. And I guess  
5 the other aspect of looking to see if Dave Lester was  
6 still here, who spoke and had some other people come  
7 in at the last meeting. But Dave's feeling in  
8 discussing this internally was that he already has in  
9 place a small collaboration in that area that he feels  
10 will essentially occupy our resources for the present  
11 time. And for all the reasons that Jerry presented, we  
12 felt that PET was probably a way to go through this  
13 committee at this particular time.

14 CHAIRMAN DOULL: Yes, Jack?

15 DR. DEAN: Could I also endorse -- I also  
16 endorse Jerry's position. Because at least from an  
17 industrial perspective, PET gives us a lot more  
18 flexibility in looking both at receptor ligand  
19 interaction and looking at metabolism. It offers, I  
20 think, a lot of opportunity. So I would strongly  
21 support that. I would even make a motion if you would  
22 like a motion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DOULL: Yes, I think so. It is  
2 the same argument we had for biomarkers. You know, do  
3 you want a narrow recommendation or a broad one, which  
4 would be for imaging. And what they are recommending  
5 is the narrow one.

6 DR. DEAN: So I would move that we form a  
7 working group on PET imaging.

8 CHAIRMAN DOULL: Okay.

9 DR. CAVAGNARO: Second.

10 CHAIRMAN DOULL: Gloria, that is your  
11 area.

12 DR. ANDERSON: It's not clear to me how we  
13 came to decide on PET imaging, but if we are going to  
14 at some point take a look at the others, then I don't  
15 have any objection to this. Maybe I am tired.

16 CHAIRMAN DOULL: The Subcommittee is  
17 agreeable that -- a focus group.

18 DR. ANDERSON: There is a very real  
19 drawback to PET imaging. I wonder if the committee is  
20 going to look at that. And that is getting the  
21 materials that you need in order to make it  
22 worthwhile. What we saw on the mini one or micro or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 whatever it was called, that was one class of  
2 compounds and they were all used in mice whatever.  
3 The larger question, I think, is how useful will it be  
4 if we cannot get the radio-labeled compounds that we  
5 need. That is one question. The second question is  
6 in terms of humans particularly, how much do we know  
7 about the effects of the kind of gamma radiation on  
8 individuals, particularly if it is incorporated into  
9 the system of the individual. I am not asking for  
10 answers, but I think those are some things that any  
11 group would want to explore.

12 CHAIRMAN DOULL: Yes. I guess in order to  
13 do PET scanning in people, you need a cyclotron close  
14 by in order to do the isotopes. Is it the same  
15 isotopes that we are talking about for animals?

16 DR. CHERRY: Maybe I will just quickly  
17 address those two questions. The first one related to  
18 the label compounds. And you are right that in most of  
19 the large PET centers right now, they have their own  
20 cyclotron. But with the growth of clinical PET, we are  
21 seeing a lot of distribution centers being set up of  
22 fluorine 18 labeled compounds now. So in a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 urban areas, you can buy these compounds from a third  
2 party. And that is only going to continue to grow.  
3 The other thing that is happening, and this comes also  
4 out of some of the NCI initiatives, is that people are  
5 looking at some of the longer-lived radio isotopes  
6 that can either be generator produced or have long  
7 enough half-lives that you can ship them across the  
8 country. I think we are going to see a lot of  
9 chemistry being developed around those isotopes. And  
10 then the issue of having the on-site cyclotron goes  
11 away.

12 The second question was about the  
13 radiation dose to the mice, and that is very  
14 insignificant. That is not an issue. It is much  
15 smaller than the dose we are giving normal humans in  
16 studies.

17 DR. ANDERSON: I am not as optimistic as  
18 you are about the fluorine compound. I am a fluorine  
19 chemist, and fluorine is the hardest halogen to put in  
20 a molecule.

21 DR. CHERRY: Oh, no, I understand. But  
22 there are already hundreds of fluorine 18 labeled

1 compounds that have been synthesized.

2 DR. ANDERSON: But basically they are all  
3 in the same class. So they all do the same thing.

4 DR. DEAN: John, Joy asked me an  
5 interesting question. And since I made the motion and  
6 still stick by the motion, I think it is fair to get  
7 an answer. What will be the outcome from this focus  
8 group? I mean, what do we hope to have in the future  
9 by having this kind of a focus group around PET?  
10 Maybe Jerry can answer that.

11 DR. COLLINS: I think the potential  
12 benefits are in two areas. Right now, there is a  
13 series of independent investigations going on in which  
14 each group follows their own favorite flavor of  
15 thymidine. And there is no effort at all underway to  
16 do a comparative evaluation of the relative benefits  
17 of one versus another. I think if we could get a  
18 consensus from an expert working group on the design  
19 of the ideal experiment -- and it could be done --  
20 that particular question could be done in people -- a  
21 comparative evaluation of the probes that are out  
22 there -- that would help enormously. The sooner --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you know, you want an opportunity to look broadly at  
2 first and to get as many molecular structures as you  
3 can. But after a certain point, you want to hone in  
4 on one because you won't have the resources to do all  
5 the others.

6 The second thing I think in terms of the  
7 preclinical or nonclinical evaluation, there is  
8 actually not as much data as there are for  
9 homosapians. And I think in terms of other  
10 applications outside of direct cancer treatment --  
11 applications of looking at this as a probe of tissue  
12 injury -- we don't have a data base to say what the  
13 resolution is in that context. And with this  
14 particular fortuitous timing of more small animal  
15 imagers being available, this expert working group  
16 could be charged with designing an experiment of  
17 inducing an injury and then looking to see time --  
18 again, since these are non-invasive, you can do serial  
19 measurements in the same animals that Dr. Cherry  
20 showed. I think those are the two kinds of areas that  
21 might be most profitable.

22 CHAIRMAN DOULL: We talked last time a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 little about how does the resolution compare with  
2 radio-autography, and clearly it is not quite as good.  
3 But on the other hand, you can't do radio-autographs  
4 repeatedly in the same animal. Any other concerns?  
5 Okay. So the motion, Jack, would be that we should  
6 create a focus group that would explore the use of  
7 PET/SCAN as a means for enhancing -- I guess both  
8 toxicity evaluation and clinical evaluation --  
9 preclinical evaluation of new drugs.

10 DR. CAVAGNARO: But Jerry also said  
11 something very specific. Because I think that is a  
12 piece of this particular committee. Because everybody  
13 is doing it. But I think that was very important what  
14 you said, Jerry. Because we just heard NIH has a huge  
15 initiative and how does this group -- you know, what  
16 is the distinctions or what are the -- I mean, will  
17 there be collaborations? I am sure there will be some  
18 collaborations. But I think if we are going to set up  
19 a separate working group, then I think the goal is --  
20 so I would refine it to include what Jerry had stated,  
21 those two specific aspects of it. And that is to  
22 better identify the thymidine. And then you will get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that as an endproduct, and that will be the product  
2 for this particular working group, I think.

3 CHAIRMAN DOULL: We could probably also  
4 mention the utilization for that purpose. We could  
5 probably also mention -- if we put that in the  
6 announcement, that will ensure that NIH will get a  
7 rash of requests. Jim?

8 DR. MacGREGOR: I think one of the values  
9 in defining if you do want to stick with some of the  
10 specific focus such as replication markers, is that  
11 you also have some other questions to think about in  
12 addition to the imaging I think. For example, Jerry  
13 presented the proposition that you should be able to  
14 image replication in conjunction with certain  
15 pathology. So a question is how tightly linked is  
16 compensatory cell replication with various  
17 pathologies. You might want to include someone to  
18 address that and to ask is it useful in that context.  
19 It is clearly useful in imaging tumors. But how broad  
20 are the applications?

21 CHAIRMAN DOULL: And last time you all  
22 talked about distribution and the power of it for

1 doing the usual sort of things that we used to do with  
2 radio-autography and the fact that you can do those  
3 repeatedly in the same animal. The mechanism part is  
4 the new part, and hopefully the committee would get  
5 into using this technique to look at mechanistic kinds  
6 of things. Okay. So we will do both of those things.  
7 We will put together an announcement. And I guess,  
8 Jim, we could then circulate that amongst the  
9 committee and see how that goes. And then we would go  
10 through the process which will mean it will take it a  
11 while for that announcement to actually appear in the  
12 Federal Register. But in the meanwhile, we would  
13 encourage people to contact one another and begin to  
14 line up candidates for this committee.

15 Is there any other business that we need  
16 to do?

17 DR. ANDERSON: The minutes?

18 CHAIRMAN DOULL: Oh, yes. Did you all get  
19 a chance to look through those minutes from the last  
20 meeting? It would be nice, I think, if we could have  
21 a motion and approval. Could you make that motion?

22 DR. ANDERSON: So moved.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DOULL: So moved. Okay. And if  
2 there are any corrections at all, Kimberly said that  
3 if you will write them on there, she will fix them.  
4 Okay, we are adjourned.

5 (Whereupon, at 3:38 p.m., the meeting was  
6 adjourned.)

7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

CERTIFICATE

This is to certify that the foregoing transcript in the  
matter of: MEETING

Before: ADVISORY COMMITTEE FOR PHARMACEUTICAL  
SCIENCE

Date: MARCH 9, 2000

Place: ROCKVILLE, MARYLAND

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

Rebecca Davis