

1 is inconsistent drug effect. In this situation,
2 none of the single measurements can really describe
3 drug benefit for one over the other.

4 [Slide.

5 When there are missing values, for the end
6 of the trial measurements, the last observation
7 carried forward is a commonly used imputation
8 method. It imputes measurement at withdrawal time
9 to later period.

10 For time-weighted average, one would say
11 that there is there is no imputation as long as
12 there is at least one post-baseline measurement,
13 but actually, it is not true.

14 When the patient dropped out earlier, the
15 average treatment effect before withdrawal time
16 will be used to represent the average effect in
17 overall treatment period. So, this is a form of
18 imputation.

19 [Slide.

20 Both of the imputation methods imply
21 assumptions that later evaluations of drug efficacy
22 is similar to that of earlier evaluation. This is
23 a very artificial assumption, and cannot be
24 verified by data we have seen.

25 Also, the results generally favor drug

1 with imputation than without imputation due to
2 different dropout mechanisms in treatment groups,
3 for example, different dropout rates and dropout
4 reasons.

5 [Slide.

6 We have seen those problems with
7 imputation methods. Can we make any improvements
8 in terms of trial design and data analysis? First,
9 I think we should continue efficacy evaluation even
10 after a patient drops out even the patient is on
11 rescue medication, and these measurements can
12 provide additional treatment information, so a true
13 ITT analysis can be performed.

14 Also, if a clinically sensible responder
15 analysis can be performed like a definition can be
16 found, now, we can perform responder analysis in
17 terms of time to respond, percentage of responder,
18 and duration of response.

19 A responder analysis may better
20 characterize drug effect and avoid artificial
21 imputation methods by taking into account of
22 dropout status.

23 [Slide.

24 Parallel issues in acute analgesia trials.

25 [Slide.

1 In single-dose acute analgesia trial, we
2 focus on onset, duration, and pain curves. For
3 multiple-dose acute trial, we focus more on
4 duration of effect.

5 [Slide.

6 In single-dose trials, time-specific pain
7 measurements provide more information about onset
8 and duration, but time-weighted average
9 measurements, such as some of pain intensity
10 difference or some of pain relief and intensity
11 difference do not.

12 So, in single-dose trials, we prefer more
13 of the time-specific pain measurements over
14 time-weighted average. In multiple-dose trials,
15 time-specific measurements and time-weighted
16 average face similar issues as those in chronic
17 analgesia trials, so I will only focus on the
18 imputation methods for time-specific pain
19 measurements in single trials.

20 [Slide.

21 The three commonly used methods we have
22 seen for data imputation are these three -
23 last-observation-carried- forward approach,
24 baseline-observation-carried-forward, and
25 worst-observation-carried-forward methods.

1 The last two methods are generally more
2 conservative than the
3 last-observation-carried-forward approach, but all
4 these three approaches are very unrealistic by
5 carrying forward earlier pain intensity scores into
6 later period. This is against the self-limiting
7 nature of acute pain.

8 [Slide.

9 I will use this example to show the
10 artificial effect of those imputation methods.
11 This is not a real example, but it represents the
12 common scenario we have seen in trials.

13 Suppose patients' pain was evaluated for
14 24 hours after dental surgery, and these two curves
15 represent the mean pain intensity a long time for
16 placebo and the treatment group. These are
17 observed curves without any data imputation.
18 Because of the short duration of dental pain, at
19 the end of 24 hours, no matter how many patients
20 left in the trial, the patients' pain will be very
21 mild, so the mean scores approach zero.

22 [Slide.

23 Now, if we use early pain intensity scores
24 to impute later period, these two red curves
25 represent the imputed curves for pain intensity,

1 and then we got the impression that at the end of
2 the day, the patients are still in pain and also
3 the drug is still effective over placebo, this
4 artificial effect is caused by different dropout
5 mechanism. Mainly it is because more placebo
6 patients drop out in the early stage, and also most
7 of those patients drop out due to lack of efficacy.

8 [Slide.

9 In summary, for chronic analgesia trials,
10 end-of-the-trial measurement and time-weighted
11 average represent different aspects of drug effect,
12 and consistency of drug benefit through the trial
13 is always an important issue for review.

14 In acute analgesia trials, time-specific
15 measurements are more informative than
16 time-weighted average in single-dose trials.

17 [Slide.

18 We should continue to measure efficacy
19 even after patients withdraw, even after patient is
20 on rescue medication, and these measurements can
21 provide additional treatment information for drug
22 effect.

23 Also, if we can come up with clinically
24 sensible responder definition, we can carry out a
25 responder analysis, which may better characterize

1 drug effect and avoid artificial imputation by
2 taking into account the dropout status.

3 Thank you.

4 DR. FIRESTEIN: Thank you very much.

5 Open Discussion of Points #1, 2, 3, 4 and 5

6 DR. FIRESTEIN: Now, we come to the time
7 at the end of the say where there is a spirited
8 discussion, and we can resolve all of the issues
9 that have been raised, so that the FDA can go ahead
10 and make its formal recommendations.

11 Before we move ahead, I just wanted to try
12 to briefly summarize some of the points that have
13 been brought up and then open them up for
14 discussion.

15 One of the issues was the notion of
16 whether or not separate acute versus chronic pain
17 indications has utility not only for drug
18 development, but also for our patients compared
19 with simply a single indication for pain, and also
20 whether or not this should be more mechanism versus
21 clinical indication oriented.

22 With regard to the chronic pain
23 indication, a proposal was put on the table that
24 this could potentially be achieved with a very high
25 bar where three separate indications would be

1 looked at, each with two studies and each involving
2 three separate domains.

3 Notably, there were a couple of
4 alternatives that were proposed during the open
5 discussion or the public forum, one involving two
6 separate indications and then another involving
7 four separate indications, but with only one study
8 for each one.

9 Then, we talked about low back pain,
10 whether or not that would be one of these potential
11 clinical indications for chronic pain, and, in
12 particular, whether or not all low back pain could
13 be lumped together or whether or not there is some
14 rationale for taking the vast majority, which is
15 mechanical low back pain, and then using that as a
16 separate location.

17 Finally, we have talked a bit about safety
18 and the issues regarding dose and indication creep,
19 as well as off-label use. That was raised a number
20 of times.

21 So, those are I think the major issues
22 that are before us right now.

23 DR. MAX: I would like to return to the
24 issue of mechanism-based diagnosis and ask my FDA
25 colleagues about some possible incentives for this.

1 If we go back to Dr. Woolf's talk, he
2 mentioned several dozen molecules involved in pain
3 processing, and actually, we could probably get
4 very close to some mechanisms in patients right
5 now, because imagine, let's say we have the results
6 of a large chronic pain trial, say, in back pain
7 with some novel drug that works on one of those new
8 mechanisms, and overall, there is just
9 nonsignificant trends towards efficacy.

10 However, it is already known that probably
11 half a dozen of the molecules Clifford was talking
12 about this morning have common human polymorphisms
13 with two forms of the molecule, either one made in
14 higher volume expressed with a molecule expressed
15 more or with higher functioning levels of the
16 molecule and with some very common people with less
17 expression or less functional forms of the
18 molecule.

19 So, what if the company could for a few
20 cents an assay take all the pain molecules and
21 characterize the patients as high functional or low
22 functional for that, so what if they do that for a
23 number of different molecules and found that if
24 they just take the subset, say, with a hyperactive
25 NMDA NR2B molecule function polymorphism, in those,

1 the drug really was effective.

2 So, now they have found by dredging a
3 prospective mechanistic-based subset, so they come
4 to you and say, okay, could we now go and do one
5 more study and get approval for this, what might
6 you say to a company like this?

7 DR. GOLDKIND: We might say a number of
8 things. I think that the assay that would
9 differentiate a responder or potential responder
10 from a non-responder has to be something clinically
11 available, so that a doctor can use that in
12 guidance, so it has to be referable to the
13 population. It wouldn't really help a doctor or
14 patient if they didn't have that.

15 In terms of the evidentiary base, is an
16 exploratory analysis adequately supportive of a
17 prespecified primary outcome for a second trial,
18 that has been used before. There is not a global
19 answer to that question, but that is what you are
20 describing is an analysis where a subpopulation is
21 looked at and where you are exploring for an effect
22 on subpopulation, and you identify one, and then
23 you confirm that in a second study.

24 That, I would say is really dealt with on
25 a case-by-case.

1 DR. MAX: With regard to that, I think,
2 you know, the tests themselves now cost like about
3 25 cents a genotype, so the company might even
4 provide that. To say just that you need one new
5 trial for it, that sounds pretty encouraging,
6 because if I just came up and dredged a database
7 with a new hypothesis, I think your earlier
8 guideline, Lee, would suggest you are starting from
9 scratch and you should have two trials for
10 replicate evidence for a new indication. So, if
11 you said that, that would be very encouraging.

12 DR. SIMON: Well, let's be clear. I
13 always like being clear. What we did propose was
14 that mechanistic models that had clinical relevance
15 would be acceptable without further definition of
16 the number of trials that would be necessary. We
17 don't know yet how to go about this. One could even
18 envision that the argument could be that such a
19 design would lead to a definition in only
20 subpopulations, and it would not be extrapolatable
21 to the general population.

22 The down side would be that. The up side
23 would be, well, so what. You have identified a
24 patient population that would respond, you have a
25 clinically measurable test that is clinically

1 applicable and accessible to the treating
2 clinician, so therefore, you can identify the
3 patient that could potentially respond, and that
4 should be something that should be rewarded.

5 We would believe that that should be
6 rewarded. There is nothing in our presentation that
7 precluded a unique way of going about this. All we
8 suggested was in a traditional trial design, that
9 the three-model, two-replicate, three co-primaries
10 would be important.

11 But if a mechanism could be defined, could
12 be reproducible, and could be clinically applicable
13 and available, then, I think all bets are off.

14 DR. FIRESTEIN: I think the key point is
15 that it must be clinically applicable.

16 DR. DAVIDOFF: I was going to say that I
17 have a feeling that the statisticians in the room
18 are having acute epigastric pain hearing that by
19 dredging a single database, you can, in fact, have
20 the basis for approval.

21 I would think that that should be handled
22 with extreme caution and that there should be
23 required at least one replication of a planned
24 trial.

25 DR. WOOD: I would like to return to the

1 opiate sparing issue. I was very concerned that
2 there has been absolutely no discussion of the
3 underlying assumption in these studies, and the
4 underlying assumption in these studies is that
5 there is no alteration in the pharmacokinetics of
6 the opiate induced by the co-administered drug.

7 That may seem somewhat obscure, but when
8 you recognize that erythromycin would be an
9 extraordinary effective opiate sparing drug if
10 administered with fentanyl or that inducing
11 codeine's metabolism to morphine would be extremely
12 effective by some drug with no primary analgesic
13 effect, or more subtle changes, like we can turn
14 Imodium, the anti-diarrheal drug, into a very
15 potent analgesic and a very potent opiate by simply
16 inhibiting the transporter responsible for normally
17 keeping it out of the brain.

18 The ability to have unrecognized effects
19 that have nothing to do with analgesia, I think are
20 substantial. In addition, some of the metabolites
21 that are produced from these drugs produce
22 toxicity, and if they accumulate or are induced,
23 they are likely to produce side effects that may or
24 may not be recognized as being due to the
25 metabolites.

1 So, it seems to me that there is an
2 absolute necessity in an opiate sparing trial that
3 we have a standard that dictates that the drug does
4 not produce some pharmacokinetic interaction. That
5 is tough actually. It is relatively easy to define
6 the obvious ones like the drug concentration in
7 plasma doesn't increase.

8 It is much harder to do that in, for
9 example, supposing Imodium was on the market--well,
10 it is on the market over the counter--we can turn
11 Imodium into an extraordinarily potent sensory
12 acting opiate by simply administering drugs that
13 inhibit the transporters.

14 That is not something you would spot from
15 an obvious plasma concentration time profile. So,
16 I think there is a great danger in an overly
17 simplistic analysis of opiate sparing as an
18 endpoint, and there needs to be independent data
19 that demonstrates that the drug has analgesic
20 effect on its own.

21 DR. FIRESTEIN: Maybe Dr. Katz can address
22 that concern with regard to the pharmacokinetics
23 and opiate tolerability, and then Dr. Farrar, if
24 you had anything to add, that is.

25 DR. KATZ: I agree.

1 DR. FIRESTEIN: Thank you.

2 DR. FARRAR: I think the point about the
3 use of opioid sparing as a potential measure is an
4 important jumping-off point to consider what was
5 brought up in the last two discussions, the last
6 one in particular, which is that what is it we are
7 trying to do here.

8 I would argue, as I think Dr. Katz did
9 very nicely, that opioid sparing might be a nice
10 way to at least think that maybe the drug has some
11 effect, but ultimately, what we are interested in
12 is making the patient better.

13 At the end of the day, whether you are
14 using a specific protein that you assay to identify
15 a group in which people get better, which I think
16 is a great idea and hopefully will pan out, but at
17 the end of the day, we really need to decide what
18 it is when a patient gets better.

19 I would ask Mitchell, in terms of the
20 situation that he is talking about, would you want
21 a particular group to respond a lot or a little,
22 does it matter whether you have got a BRAC gene, so
23 that you have got a 90 percent chance of developing
24 breast cancer or a 90 percent of responding to a
25 drug, or does it matter whether you have got a 51

1 percent chance of responding to the drug, because I
2 think no matter how we slice this and no matter how
3 we look at it, at the end of the day, we are left
4 with the issue of does it make the patient better
5 or not.

6 You can use any statistical technique you
7 like or you can use any analytic technique you
8 like, you can use any assay technique you like, but
9 we can't escape that issue.

10 In terms of the discussion today, we have
11 talked about a lot of different mechanisms, and I
12 wonder what these people's thoughts are on that.

13 DR. FIRESTEIN: Janet and then Dr. Katz.

14 DR. ELASHOFF: In terms of the data
15 dredging to find a subgroup that you then test in
16 that subgroup, and that that might be a very good
17 way to find subgroups in which it does, in fact,
18 work, from a statistical point of view, the
19 likelihood of the second trial coming out should be
20 pretty small because you are mainly picking up
21 false positives with that kind of multiplicity of
22 testing, so that it might be that the first 5, 10,
23 15 times somebody tries that, it doesn't pan out in
24 the second trial.

25 DR. KATZ: I just wanted to add one more

1 point about the opiate sparing trials, because I
2 don't want us to leave the discussion with having
3 trivialized the opioid sparing. I mean there are a
4 number of clinical scenarios in which you have to
5 give the patients concomitant opioid therapy with
6 whatever your analgesic of interest is.

7 For example, in the postoperative
8 thoracotomy or postoperative pain setting, it would
9 be unimaginable to not allow the patients to have
10 access to opioids, and the setting of cancer pain
11 would be another example.

12 So, you often have to co-administer your
13 study drug with an opioid analgesic, and then
14 opioid sparing is a natural thing to look at. So,
15 having said that, there are reasons to look at
16 opioid sparing, but the bottom line is that you
17 still need to decide whether or not your patients
18 are better on your study drug.

19 DR. WOOD: A patient would not be better
20 on a study drug just because you inhibited fentanyl
21 or fentanyl's metabolism. I mean that is exposing
22 them to the same dosage exposure as they would have
23 got from a higher opiate dose, and we need to make
24 that distinction.

25 DR. FIRESTEIN: And the patient wouldn't

1 necessarily be better, they would just use less
2 opiates.

3 DR. KATZ: That is exactly my point and
4 that if it was just a pharmacokinetic interaction,
5 presumably, the patients would be the same. Your
6 outcome measures would fail to show in that case
7 that your patient was better off despite the opioid
8 reduction, and it should be considered a failed
9 trial. That is what I am trying to say.

10 DR. FIRESTEIN: Lee.

11 DR. SIMON: In fact, that is the
12 conundrum. We are confronted in proposals to look
13 at the question of opioid sparing as a primary
14 outcome, and the reason we ask the question for
15 this debate was we don't know what to do with that,
16 (a) we don't know what is minimally clinically
17 important decrease - is a 3 mg decrease, a 30 mg
18 decrease clinically important unless you tell us
19 what the measures are that tell us that it is
20 important, meaning is the patient more aware, are
21 they able to walk faster, is the recovery
22 postoperatively improved, is there less pneumonia,
23 if, in fact, pneumonia is an issue.

24 These are the issues that have to be
25 clinically relevant to make a measure, such as a

1 change in opioid use, important, and that
2 discussion is no different than the one that was
3 raised by Mitchell just before.

4 The measurement of a receptor change or
5 whatever is really not different than the
6 measurement in the change in how much morphine that
7 one might use unless there is a change in the
8 clinical relevance and an improvement to the
9 patient care.

10 I just want to make it clear to Dr.
11 Davidoff that we would not be looking at only one
12 unique database for such an event. One would have
13 to define clinical relevance by multiple databases.

14 Thank you.

15 DR. FIRESTEIN: Dr. Davidoff and then Dr.
16 Brandt.

17 DR. DAVIDOFF: I was really just going to
18 say essentially the same point about opiate
19 sparing, that it might not be necessary to find
20 better overall pain relief, but fewer side effects
21 associated with it.

22 After all, some of the major distinction
23 between antidepressants is not that there is
24 overall better therapeutic efficacy between SSRIs
25 and tricyclics, but that there are fewer side

1 effects.

2 DR. BRANDT: I think this whole discussion
3 on opioid sparing is very interesting, but I would
4 suggest that in the context of the meeting, it is
5 perhaps a little too narrow, we could raise the
6 same issues with regard to NSAID sparing or chronic
7 NSAID use.

8 DR. SIMON: So, in that case, Dr. Brandt,
9 would you propose that a primary outcome for a new,
10 perhaps analgesic that would not have opioid
11 effects and would not have the traditional effects
12 one associates with the traditional nonsteroidal
13 anti-inflammatory drugs, could use as an outcome
14 measure for primary approval, the decrease in
15 requirement for the rather ineffective nonsteroidal
16 anti-inflammatory drugs?

17 DR. BRANDT: When you consider the side
18 effects associated with NSAIDs, the answer is yes.

19 DR. WOOD: But only provided you have
20 demonstrated it is not just due to a simple
21 interaction.

22 DR. BRANDT: Surely.

23 DR. FARRAR: At the end of the day, it
24 makes no difference if you reduce the opioid or the
25 NSAID. What makes the difference is whether the

1 patient is better, and if they are better, as Dr.
2 Davidoff was suggesting, because the side effects
3 are better, that is better. It is not that they
4 are using less of one drug or another drug.

5 It really doesn't matter. I mean I agree
6 with you, and I am not arguing the issue about
7 opioid sparing, I think opioid sparing is
8 suggestive at best, and you clearly need to
9 differentiate between the amount of opioid that
10 they are actually taking orally and the amount
11 absorbed and the amount that is reaching the active
12 sites and the amount that is causing the effect,
13 and there are lots of drugs in which you get the
14 buildup of toxic byproducts, as well.

15 But at the end of the day, what you really
16 need to know is whether that patient postsurgically
17 had a better experience with the combination of
18 drugs that you gave than if you didn't.

19 How you define better depends on the
20 circumstances that you are looking at, but I think
21 there are clearly lots of indicators that we can
22 use to look to see what we should be measuring and
23 how we should be measuring. But at the end of the
24 day, the question is, is the patient better, would
25 I want to give that patient that drug the next time

1 around because they said, you know, I had three
2 surgeries so far, this was the best experience I
3 had so far.

4 That was very true with epidural
5 anesthetics. I mean there is absolutely no
6 question that people post-op with thoracotomies did
7 better because they were able to breathe better, et
8 cetera, et cetera. How much opioid you gave them
9 didn't make a difference.

10 DR. FIRESTEIN: Dr. Sherrer and then Dr.
11 Anderson, Dr. Strand.

12 DR. SHERRER: I think that at the end of
13 the day, it is, is the patient better. I think
14 that is very important, but I also think we need to
15 consider some of the social issues with the chronic
16 use of opiates, that impact on whether the patients
17 are actually better.

18 We have many patients who are afraid to
19 take opiates because of the issue of addiction, and
20 there are many physician who are afraid to
21 prescribe opiates because of the issue of
22 addiction, and the bottom line of that is it
23 impacts on whether the patients are better, because
24 if they are not going to take the drugs or if the
25 drugs are there and the physicians are afraid to

1 use them, then, it is not going to make the patient
2 better even if theoretically they could.

3 So, I think we do need to look at this
4 issue of addiction and tolerance, and what is the
5 relationship more, and what I am hearing is that we
6 can't really define that well enough to do that, or
7 at least we don't have measures of predicting or
8 defining addiction.

9 I think that is very important. One of
10 the major issues with the use of opiates and
11 chronic pain is whether, despite those six studies
12 that you showed us that suggest there is not
13 addiction, there is still fear on behalf of
14 physicians and patients that there is addiction and
15 that tolerance itself may lead to addiction.

16 DR. ANDERSON: My concern is about what
17 you were saying just now, about the patient, at the
18 end of the day, the patient being better, and that
19 if this was solely in terms of having fewer side
20 effects, that was okay.

21 I didn't like that, I guess because, you
22 know, side effects don't happen, you know, happen
23 sporadically or should happen sporadically, but
24 efficacy is something that one would hope would
25 happen in a large proportion of patients.

1 Historically, the FDA has kept efficacy
2 and safety, I mean they are linked, but they are
3 not considered the same thing, and it bothers me
4 that a drug combination could be considered could,
5 not because it was efficacious, but just because it
6 had fewer side effects. I may be misunderstanding
7 what you are were saying.

8 DR. FIRESTEIN: In some cases, the side
9 effects are mechanism based, and that is a
10 situation where it would be optimal to lower the
11 dose. So, for instance, with opiates, constipation
12 or nausea or vomiting, those are clearly based on
13 the pharmacology of the molecule, and so if one can
14 get past those by using a lower dose, and using
15 another adjunctive therapy, then, there would be
16 some benefit to the patient.

17 Dr. Strand.

18 DR. STRAND: I would just like to say this
19 reminds me of some steroid sparing discussions that
20 some of us have had in the past, and it seems to me
21 that it is all find and good if we can decrease the
22 dose of opioids or the dose of steroids, but if, in
23 fact, there isn't some benefit that is measurable
24 in addition, in terms of patient-reported outcomes
25 of efficacy and/or tolerability, then, I don't know

1 that we have demonstrated very much of anything.

2 The other point that I would like to make
3 is that I think data dredging is not the way we are
4 going to get approvals or try to look at different
5 ways of approving products, say, in chronic pain,
6 or possibly even subacute pain or whatever we are
7 calling it, but there is room to develop these
8 analyses from the Phase II data, particularly since
9 there is much more emphasis on doing better Phase
10 II trials, dose finding and dose interval finding
11 or schedule.

12 From that point of view, one could, in
13 fact, develop evidence-based, responder type of
14 outcomes, or one could combine certain outcomes for
15 a certain type of response in the Phase III trials.
16 That has been done before.

17 DR. FIRESTEIN: Dr. Cush and then Dr.
18 Farrar.

19 DR. CUSH: My summary of what I heard
20 today that I would hope that the Agency would take
21 away is I think that we are probably still wedded
22 to some of the methods of the past, and that would
23 be acute and chronic indications and some of the
24 primary outcome variables that have been used for
25 those indications, but that we hear that the

1 science has come along and we would like to see
2 mechanistic issues being raised, may be secondary
3 outcomes measures where applicable, and that would
4 be ideal as we move forward and designing better
5 trials that mean something.

6 Secondly, I think that making low back
7 pain a priority and either incentivizing that or
8 requiring that in some way would be nice, and
9 lastly, the words of Dr. Carr reminded me of
10 something that Ted Pinkus said at a meeting that I
11 think Lee and I were at, which is that as
12 clinicians and biometricians we have done a good
13 job in defining outcomes and coming up with
14 acceptable measures, but we have missed the boat
15 because we are still not at a point where clinical
16 trials are approximating what goes on in the
17 office, so clinicians and patients won't understand
18 an ACR-20 or a WOMAC, and whatnot, and at the
19 Agency, I think it could go more towards that
20 direction, I think it would also further not only
21 clinical trials, but patient care, as well.

22 DR. FARRAR: To take off from what was
23 just said by Dr. Cush and perhaps try and persuade
24 Dr. Anderson that there may be some aspects of this
25 that don't apply to everyone.

1 I agree with you. I think, Dr. Cush, that
2 making the trials understandable to the clinical
3 circumstance is of paramount importance, so that
4 when I, as a clinician, sit down with my patient, I
5 know what to do, and I don't just know that
6 patients got better on the WOMAC by an average of
7 4. I don't know what that means now, and I know
8 what the WOMAC is, even use it.

9 I think, though, the issue that I wanted
10 to bring up more specifically is that what Dr. Max
11 was suggesting was not, I think, that data dredging
12 should be used as the sole purpose or the sole way
13 in which a drug should be approved, but that it be
14 used as a hypothesis-generating event, and I think
15 that makes sense.

16 Then, he was trying to see whether one
17 trial after that would be enough in terms of
18 stimulating that kind of research, and I agree that
19 there is issues there on whether it is one or two
20 can be debated.

21 What he was getting at, though, was that
22 with a 50 by 50 slab of gel, you might be able to
23 tell what the makeup of that patient is with
24 regards to their response. This gets at what Dr.
25 Anderson I think was saying was that, in fact, the

1 drug that we use has to be good for lots of people,
2 and we are getting to the stage now where we are
3 developing drugs, especially in neuropathic pain,
4 perhaps not so much in arthritis, where individuals
5 who respond to a single drug are a minority of the
6 patients that we are treating.

7 You can look at that two ways. One is we
8 just don't know how to predict who is going to
9 respond, and that is very true. If we could
10 predict who was going to respond, then, 100 percent
11 of those patients would respond, but the clinical
12 fact is that people see arthritis, they don't see
13 the variance of the arthritis that we might able to
14 see here.

15 People see pain. They don't see the
16 variance and the subtleties of it that an expert
17 might see, and they treat them with the medications
18 that we have.

19 There are some very good examples in
20 postherpetic neuralgia and diabetic neuropathy
21 where drugs that are clearly effective worked in
22 about a third of the patients treated. About a
23 third of the patients got a moderate or better
24 improvement. That is 1 out of 3 and if I am
25 treating in the office, and only 1 out of 3 people

1 get better, I am might decide that is not the right
2 drug.

3 On the other hand, I might look at it and
4 say 1 out of 3 in something where nothing else has
5 worked, that is really good. The same applies in
6 arthritis in that there are clearly differences
7 between the NSAIDs, and they are not as dramatic
8 perhaps as the differences in the anticonvulsants,
9 but there are differences, and it may be that one
10 group responds better to one kind of NSAID and a
11 different one to a different.

12 So, the idea that we have to somehow have
13 a drug that works in 50 percent or 70 percent of
14 our patients in clinical trials is not I think the
15 issue. I think the issue is being able to identify
16 the people in whom it does work, and it really
17 works, not just a little, but it makes them really
18 better.

19 DR. FIRESTEIN: Dr. Dionne and then Dr.
20 Abramson.

21 DR. DIONNE: I have heard the phrase
22 "end-of-the-day" mentioned a few times. I am
23 struck by the fact that this is the end of the
24 first day that was supposed to be devoted to
25 chronic pain, and I have heard a minimum consensus

1 of opinion on some of the issues that were raised
2 for the Agency, and I would be afraid that they
3 might go back up Rockville Pike and disappear into
4 the back room, so to speak, and come back in four
5 years or 10 years, as Al Sunshine said it took last
6 time, with a document that reads like the Ten
7 Commandments.

8 I am wondering, is there room for
9 discussion of the processes that might allow us to
10 resolve some of these issues based on some sort of
11 a scientific process rather than an opinion-based
12 process.

13 For example, the 125 pain measurement
14 scales that Dan Carr mentioned are ones that it
15 would be hard to imagine we could sort through and
16 just by opinion say these are the two or three that
17 should work, yet, we are still using Category and
18 VAS, which are as old as the drug classes we use to
19 test them on, ignoring all the new technology,
20 which might include the electronic diary we heard.

21 Other outcome measures, how would we go
22 about getting at which ones are desirable, let
23 alone grappling with the issues like analgesic
24 combinations, what would be the criteria for those.
25 That was an issue that raged all through the 80s.

1 I am not sure whether it got resolved or people
2 just stopped trying to get combos of NSAIDs and
3 opiates put together.

4 Is there room for some discussion of the
5 process that the Agency might use to arrive at from
6 where they are now to where they would be when a
7 document appears?

8 DR. FIRESTEIN: Is there room, Dr. Simon?

9 DR. SIMON: There is always room at the
10 table. I think that this meeting and two meetings
11 that have been held by the Advisory Committee of
12 170, talking once about neuropathic pain and issues
13 about opioids reflects the fact that we are very
14 interested in dialoging with the community, the
15 patient community, about these particular areas.

16 We are talking on a regular basis, and
17 will be talking on a much more regular basis, with
18 the individuals in the FDA who are interested in
19 pain and issues regarding pain, particularly the
20 other Division 170, and coming up with a consensus
21 as much as we can as it relates to the various
22 different products that we are assigned
23 responsibility for, and those products that we can
24 possibly imagine will be developed in the future,
25 to then lead us towards a document.

1 Furthermore, there are discussions that
2 are ongoing with the NIH about establishing a
3 meeting to discuss outcome measures, both acute and
4 chronic, addressing issues regarding function
5 versus health-related quality of life that need to
6 be addressed before we can put pen to paper to try
7 to design and craft a document that will fulfill
8 all the needs that we have been talking about just
9 so long today, not the less tomorrow.

10 So, that is the process. The process has
11 got a was to go. We have got more internal debate
12 to do, more external debate to do, more to learn,
13 and to address Ray's issue of going to the evidence
14 and the science using the science as we interact
15 with the group at the NIH, in understanding more
16 about outcome measures as we did at the last March
17 meeting. So, that is the process.

18 DR. FIRESTEIN: Steve.

19 DR. ABRAMSON: I guess part of the process
20 I would like to express is that we have this
21 dilemma of wanting, at the end of the day, to do
22 the best globally for the patients, and yet we are
23 confronted by very specific syndromes that differ,
24 and we have an iterative process to get a global
25 overarching kind of indication, but, in fact, that

1 iterative process is going to take a lot of very
2 focused specific kinds of analyses of different
3 pain syndromes, developing clinical criteria of
4 those syndromes, the way we have done in other
5 diseases, in OA, and outcome measures, as Mitchell
6 was getting at, even prospectively looking at
7 certain biomarkers in those areas.

8 So, I think it is a time of great
9 opportunity to look at different pain syndromes, to
10 use this new development of analgesics as a way to
11 use the clinical trial tool to answer questions
12 that are mechanistic.

13 Part of the dilemma, the conflict is that
14 one does not want to get a global approach too
15 early without this iterative process having been
16 gone through to really understand these different
17 diseases, which, in fact, are quite distinct one
18 from another, even in the musculoskeletal, so that
19 is just the process comment.

20 Going to back to Dr. Anderson's, and Dr.
21 Katz mentioned this, and it is a very focused
22 question, back to the opioid use as a surrogate
23 endpoint. There is a difference I think between
24 what is good for the patient at the end of the day
25 versus the regulatory agencies need to determine

1 whether a drug is efficacious.

2 Absent the metabolic effects of opioid,
3 metabolism, for example, and drug-drug interaction,
4 the question still is, is opiate use a legitimate
5 endpoint, primary, secondary, by which you can
6 judge the efficacy of a new drug.

7 That doesn't mean whether the patient is
8 better to be on one or two, and I think you alluded
9 to this, but I am not sure sillet [ph] isn't a
10 valid measure. I don't know about the area, but it
11 is worth discussing, which is not the patient's
12 contentedness with their combination of drugs, but
13 whether it's a tool, an instrument to judge the
14 validity of a new drug being presented to the FDA.

15 I am just curious what people think. I
16 don't know if I want to open that up to
17 discussion, it is just kind of a comment.

18 DR. FIRESTEIN: That is another major area
19 of discussion in and of itself. One of the reasons
20 that the Division gathered this meeting was to
21 address certain specific questions, and as we are
22 getting towards the end of the day, although it is
23 only 1:30 in San Diego right now, so I am just
24 waking up, I think.

25 From what I heard said, I don't know

1 whether or not it can at least try to offer some
2 more concrete guidance or at least advice to the
3 Division with regard to some of the key questions,
4 and one is whether or not there is, in fact,
5 utility to having acute and chronic pain as opposed
6 to just pain as a potential indication.

7 It seems to me that that is not an
8 unreasonable approach, and I was wondering if there
9 is any additional discussion that would help sort
10 that out or if people are relatively comfortable
11 with that.

12 DR. ASHBURN: I would say yes with the
13 caveat that the definition goes away from time
14 lines with regard to duration of the pain, and kind
15 of goes towards the acute versus chronic pain
16 definitions that Dr. Woolf presented to us earlier
17 this morning with regard to pain that is expected
18 to be of short duration with some expectation that
19 it goes away over time.

20 Again, that goes towards a concern that
21 chronic pain states sometimes can be rapid onset
22 and can deserve study and therapy early rather than
23 late in their time line, and should not wait three
24 or six months prior to being allowed to include
25 patients for investigation, and the example, that

1 is, patients with postherpetic neuralgia or with
2 cancer pain.

3 DR. FIRESTEIN: I think that is an
4 excellent point, and again raises the question of
5 an acute persistence pain and acute chronic--I
6 don't know.

7 DR. ASHBURN: One terminology that comes
8 to my mind when we talk to medical students about
9 this concept is short-term pain versus long-term
10 pain, and the perception of getting away from the
11 terms acute and chronic, which mean different
12 things to different people, but rather, the
13 expectation of whether this pain is of short
14 duration, of limited area, whether or not the
15 expectation is, unless one intervenes on the
16 patient's behalf, that the pain will persist over
17 long periods of time.

18 DR. FIRESTEIN: Dr. Borenstein.

19 DR. BORENSTEIN: Well, one of the points I
20 wanted to make is what happens in the clinical
21 trial situation and what comes into the clinic. I
22 think all the basic scientists would agree if you
23 can attack pain early, you would like to keep it
24 from becoming chronic, so intervening as early as
25 possible in the process to keep that from happening

1 may have a mechanistic way of trying to keep
2 chronic pain from appearing, but if the patient
3 appears to you already with a process which seems
4 to be chronic pain, then, I think what you may find
5 to be effective there may be somewhat similar to
6 what you would use in the very acute circumstance,
7 but you may need more interventions at that point
8 to really make a difference in that individual.

9 So, what you would do if you had someone
10 who was your patient over time, you would treat
11 them differently than you might if you find them
12 later on in the process when you have them as your
13 patient.

14 DR. FIRESTEIN: Brief comment from Dr.
15 Farrar and then Dr. Katz.

16 DR. FARRAR: There are I think two
17 important components of this, and very briefly, one
18 is just to remind us that acute and chronic are
19 time frames and that the acute pain and chronic
20 pain does not necessarily imply acute treatment and
21 chronic treatment, and I think that those two
22 things are very different in terms of thinking
23 about the safety of a drug and the overall use.

24 The second issue I think has been brought
25 out before, but would suggest that what we are

1 really talking about is reversible pain versus
2 non-reversible, and there are certainly syndromes
3 which occur and can, as I was learning at lunch
4 today, snake bites last an awfully long time. If
5 you don't know what I am talking about, you will
6 find out at dinner, I guess.

7 But the point is that there are pains that
8 occur for a very long time, but are reversible and
9 are treated aggressively, and there are acute types
10 of pains best brought up I think by Clifford
11 earlier, which is that, you know, trigeminal
12 neuralgia is an acute pain that is very, very
13 different than postsurgical pain.

14 I think that it is very important to
15 differentiate, but we have to be careful about the
16 way in which we do that.

17 DR. KATZ: I was going to make a similar
18 point, I think, which is that when we think about
19 treatment of acute pain, the way it actually works
20 out very frequently in real life is that patients
21 are actually treated for months often for their
22 so-called acute pain, which we normally might think
23 of as just a few days. Thoracotomy, you know, 50
24 percent of patients six months after a thoracotomy
25 have moderate to severe pain, spinal fusion

1 surgery, the patients are often on analgesics for
2 six months or a year, knee replacement, et cetera,
3 et cetera.

4 So, I think it is also worthwhile keeping
5 in mind that how is the medication likely going to
6 be used in practice, and the trials that are done
7 to support that use ought to have some relationship
8 to the actual way that they are used.

9 DR. FIRESTEIN: A couple of more brief
10 comments over here and then we will go to the next
11 point.

12 DR. WOOLF: It seems to me, coming back to
13 the issue of what encouragement we can give to the
14 Agency in terms of development plans, we have heard
15 from Dr. Farrar that 30 percent of these patients
16 may respond to a certain treatment, and he has no
17 way of predicting at the moment who those patients
18 may be.

19 My plea would be that in any discussion
20 with the industry in terms of any development plan,
21 as we are in this transition mode from a rather
22 empirical approach to the management of pain to one
23 where mechanisms can be identified, is to try and
24 get as much information as possible.

25 While, on the one hand, of course, we all

1 agree we want the patient to feel better and we
2 need some global measure of that, but the point I
3 was trying to make this morning was that there are
4 many aspects of a pain that are simply ignored in
5 trials and that may be very useful in terms of
6 seeing whether patients do respond in different
7 ways to different forms of therapy.

8 So, I think part of the process has to be
9 not to prejudge and to try and gather as much
10 information as possible from the patient as to what
11 their pain is composed of and how different aspects
12 of the pain respond to different therapies.

13 DR. DIONNE: I think Clifford just said
14 what I was going to say, but let me just try to
15 restate it. If the Agency is interested in
16 mechanisms, and if we think the way to the future
17 is having a better understanding of the mechanistic
18 process by which a new drug works rather than just
19 extrapolating from animal models which may or may
20 not be relevant, would there be a possibility of
21 developing some sort of incentive into the claim
22 structure or the approval process that would give
23 greater favorability to coming up with a rational
24 study of the mechanism underlying an acute drug
25 versus a chronic drug, so you might discover, in

1 fact, as has been stated all day, that some drugs
2 may be actually acting on a chronic pain mechanism
3 that may be starting at the first day or two, and
4 this may have long-term benefit for preventing the
5 pain a preemptive fashion rather than having to
6 wait two or three months and then try a treatment
7 that is ineffective because that mechanism is no
8 longer active.

9 So, have some mechanistic approach built
10 into the acute versus chronic studies that allows a
11 little bit of information to be gathered, and the
12 best way to harness the resources that the industry
13 could bring to that, of course, would be to have
14 some sort of incentive in the approval process for
15 that.

16 DR. CALLAHAN: I was just going to say I
17 think you made a compelling argument this morning
18 about the mechanisms, but if we don't have the
19 instruments to measure the components, is it fair
20 to ask the industry to look at those components
21 until those measurements are available, or should
22 we go with the global and ask them to look at that
23 sort of in a secondary fashion as they evaluate the
24 new drugs that are coming on.

25 DR. FIRESTEIN: This really brings us to

1 the second question, and that is, whether one
2 focuses on mechanism-based indications of clinical
3 indications, and by and large, over the course of
4 the day, most of the emphasis is that while
5 mechanism-based indications are of tremendous
6 interest, the science isn't there yet in order to
7 use that as the touchstone for specific drug
8 approvals, and that we still are relying primarily
9 on clinical situations and clinical indications
10 even at this point.

11 I was wondering if again there was any
12 comment or disagreement for that. Did you want to
13 comment on that?

14 DR. KATZ: I agree that right now it is
15 premature to begin a drug development program for
16 pain due to excitable nociceptors or central
17 sensitization or something like that, but one has
18 to be careful not to just by default allow any
19 clinical classification system.

20 Some of them make a lot more sense than
21 others. For example, the idea of having a
22 medication for cancer pain makes no sense to me
23 whatsoever, because some people with cancer pain
24 have a brachial plexopathy from tumor invasion,
25 some people have bone metastasis, some people have

1 visceral obstruction with almost no connection
2 whatsoever.

3 So, to me, that would not make a lot of
4 sense specifically because the mechanisms are so
5 different among those different types of pain, so
6 again, you can't forget the mechanism either,
7 whereas, musculoskeletal pain, it seems to me that
8 medications that work for one kind of
9 musculoskeletal pain tend to work for another -
10 osteoarthritis, rheumatoid arthritis,
11 non-neuropathic low back pain, et cetera, I would
12 suspect because the mechanisms are similar in those
13 disorders.

14 I don't think that you can just allow any
15 clinical classification system, but you can pick
16 and choose from ones that make more sense.

17 DR. MAX: I just want to mention what I
18 heard Lee Simon and Larry Goldkind saying a few
19 minutes ago seemed very new, that they said that if
20 they get some novel evidence about how can you
21 reliably predict response with a new mechanistic
22 test, they have the authority to approve it after
23 the post-hoc searching with one new prospective
24 trial, and since it so new and they don't have to
25 maintain a level playing field when there aren't

1 many other things, every situation is unique, it
2 sounds like it is a real green light for industry
3 to try to be imaginative and scientifically
4 creative. That is the first time I have heard
5 that.

6 DR. FIRESTEIN: I would not overinterpret
7 those comments.

8 [Laughter.]

9 DR. FIRESTEIN: It is clear that exciting
10 new discoveries, novel targets that have clear
11 proven efficacy in clinical situations can move
12 very quickly into the clinic, into approval.

13 An example of that would be some of the
14 TNF inhibitors for rheumatoid arthritis. Under
15 those circumstances, I suspect that what you
16 envision would be possible although I wouldn't dare
17 speak on behalf of the Agency--well, I think I just
18 did.

19 This actually brings us to the other sort
20 of difficult problem, and that is the notion of if
21 there is going to be a chronic type of indication,
22 what is the benchmark for that. I don't know what
23 the right answer is. We have a couple of different
24 possibilities. I didn't know if anybody on the
25 committee had specific recommendations.

1 From my own perspective, I was intrigued
2 by the proposal of the four different indications
3 with single studies, because if you are using
4 chronic pain as the actual indication, then, you
5 are not going for the separate indication of OA
6 versus something else. You are using chronic pain
7 as the indication, and the second confirmatory
8 study would be in a different indication.

9 So, there is actually a rationale and
10 maybe a middle road whereby you actually require
11 fewer studies, but more indications.

12 I would want to know if anybody had a
13 comment there.

14 DR. WOOD: As written here, it seems to me
15 to be counterintuitive. It seems to me that to put
16 a bar up that says you have to demonstrate, for
17 example, response in low back pain and diabetic
18 neuropathy and cancer pain, seemed to me to be
19 counter to everything we have discussed in terms of
20 mechanisms.

21 It would seem to me that demonstrating
22 that a drug is effective in multiple indications
23 demonstrates just that, that the drug is effective
24 for multiple indications, and at that point,
25 physicians can and do make decisions every day

1 about extending the drug's use into other
2 indications for which it has not been tested.

3 But making the leap in terms of a labeling
4 for indications for which it has not been tested
5 seems to me something that has never been done in
6 any other setting. I don't see even why you need
7 to do it. If you have studied the drug in four
8 indications, that is normally what you label it
9 for.

10 Just to follow that up, the ACE inhibitors
11 were all approved for the treatment of heart
12 failure with subtle differences in the indications
13 for which they were approved, reflecting the
14 studies that were actually done.

15 That hasn't obviously affected their use
16 in these indications.

17 DR. FIRESTEIN: Dr. Woolf and then Dr.
18 Goldkind.

19 DR. WOOD: I find myself feeling a bit
20 uncomfortable with this notion that there is going
21 to be a global chronic pain analgesic. I think it
22 goes against everything we know and everything that
23 we are beginning to understand, and I think that is
24 exactly what your comment relates to.

25 So, which four different indications would

1 you need in order to make sure that it was global?
2 How many neuropathic pain and how many
3 musculoskeletal pain, what is the balance that one
4 would feel comfortable with, that would encompass
5 all forms of chronic pain that crossed all
6 mechanisms?

7 I don't think we have a consensus on that.
8 I think that if one were careful in selecting four
9 indications that were predominantly
10 musculoskeletal, that would leave you with a
11 situation where you may have a drug with an
12 indication for chronic pain that would still not
13 work in many patients who have postherpetic
14 neuralgia or diabetic neuropathy or radicular pain.

15 DR. FIRESTEIN: Well, the FDA would have
16 to think very carefully about how one would choose
17 those particular indications, it seems to me.

18 You were going to make a comment.

19 DR. GOLDKIND: In response to Dr. Wood's
20 comment, our current reality is that we are
21 approving drugs as analgesics, and there is an
22 assumed generalizability, and that is part of why
23 we wanted to discuss this, but we do see drugs that
24 have dental pain and maybe one particular post-op
25 setting that form the pivotal basis for approval.

1 They are marketed as analgesics and even
2 if we describe the particular pain settings or
3 model, depending on semantic difference, in the
4 Clinical Trial Sections, so people know where the
5 evidentiary base came from, it still is an
6 analgesic indication.

7 This lumping and splitting, we play out
8 all the time, and we do want to optimize that.

9 DR. FIRESTEIN: But that is precisely why
10 the bar is so high potentially for a true global
11 chronic pain indication.

12 DR. MAX: I am very sympathetic towards
13 setting such a high bar for general chronic pain
14 claim. That is the part of Lee's proposal that I
15 love, and I think it is because of this syllogism.

16 When I talk to company marketing people,
17 they say we would really like a chronic pain claim,
18 or even if it is neuropathic pain, a generalized
19 neuropathic pain claim, because we can send our
20 marketing people and our detail men and sell more
21 drug, and have higher profits, and I think the
22 logic is that incentive would lead to many more
23 trials and from multiple trials, multiple trials in
24 many different disease conditions are the best way
25 to advance the science, and I think that is a great

1 way to go about things, so we will be able to
2 generalize even better later on.

3 The missing piece of data, however, is I
4 have asked whenever I have had those conversations,
5 I have asked the marketing person, industry, is
6 there any evidence how much a general claim is
7 worth, why it makes a difference, do you need it
8 for the managed care organization or the pharmacy
9 to pay for it, et cetera, and I haven't encountered
10 any rigorous data or modeling, so let me ask
11 anybody from the committee or agency, would we be
12 better served if there were some economic model or
13 data, if that is partly underneath the reason for
14 going for this high bar.

15 DR. McLESKEY: I won't respond in any
16 detail, but I would say there is a general
17 understanding that the bar to the claim largely,
18 the likelihood is the larger the market will be.

19 DR. ABRAMSON: I just want to pick up on
20 Dr. Woolf's comment that a general global approval,
21 if we lower the bar for individual approvals is
22 going counterintuitive to the notion that we are
23 funding their differences among the different pain
24 syndromes, and I think the concept of general
25 chronic pain, I think we have to be very careful

1 about given this morning's discussion.

2 I would argue that even if a broad
3 indication was met because you had three
4 indications in these separate areas, that we
5 shouldn't lower the bar in any of those individual
6 indications by the numbers of studies that you
7 would need to show that your drug worked in
8 neuropathic pain, fibromyalgia, low back pain,
9 whatever it is.

10 So, my concern about having one study in
11 four different areas is that you are diluting the
12 individual iterative process and that everything
13 should be able to stand alone as an indication in
14 that area, and if you hit three or four, you have a
15 global marketing advantage, but you haven't diluted
16 the process for any area.

17 I think the word "counterintuitive"
18 becomes very critical that we separate all these
19 different pains as much as we can as we better
20 understand them.

21 DR. FIRESTEIN: Dr. Katz.

22 DR. KATZ: I wonder if it might be useful
23 to use the opioids as a model to do a thought
24 experiment with the idea of a chronic pain
25 indication. Barring the issues that I mentioned

1 earlier about addiction and tolerance, and all
2 that, we know that there are clinical trials
3 supporting efficacy of opioids in neuropathic pain,
4 musculoskeletal pain, there are a bunch of
5 different studies, headache, short-term studies
6 even if we want to go that far, cancer pain
7 certainly, does anybody feel that opioids would not
8 meet anyone's threshold to be a general analgesic
9 for chronic pain?

10 Now, granted, they don't work for every
11 kind of pain. Probably they are not effective for
12 central pain, I would guess, but does a medication
13 have to be effective for every single kind of pain
14 in order to be considered generally to have broad
15 applicability, just as a medication for
16 hypertension might not work for every single
17 patient or subtype of hypertension, but still might
18 have broad applicability within hypertension?

19 It seems to me that the opioids are a
20 broad spectrum analgesic. Why, therefore, is it
21 not possible that another medication could be a
22 broad spectrum analgesic?

23 DR. WOOD: Let me just respond. I think
24 one thing that we were talking about over here is
25 there seems to be the impression that 30 percent is

1 a bad response rate. That is about the average you
2 get in every trial of almost any indication. It is
3 40 percent for anti-hypertensives, it is lower for
4 antidepressants.

5 I mean 40 percent is about the rate of
6 response you get to a single drug in the pivotal
7 trials which are submitted to the Agency, less than
8 that for some. So, 30 percent ain't so bad, and if
9 it's 33 percent, so expecting that we will see
10 substantially more than that seems to me to be
11 counter to what we have seen with almost every
12 other drug class we have approved.

13 DR. FIRESTEIN: Dr. Borenstein will get
14 the last comment from the committee today.

15 DR. BORENSTEIN: One of the points I
16 wanted to be sure about from the clinical situation
17 is when the drug is approved for a general pain
18 indication or is used in one area, it does get used
19 in another to see if it works.

20 That ends up being what happens in the
21 clinical situation. I think what it is for the
22 Agency is to decide whether three out of four at a
23 certain level, and pretty good on another is close
24 enough, or is it really great on two and okay on
25 two others, is that good enough.

1 What you see in patients is whether they
2 respond or not. In individuals, it is really yes
3 or no, do they get an effect and can they tolerate
4 it. So, I think the question for the group is what
5 is adequate to allow a drug to have this indication
6 to allow it to be used in the general public for a
7 variety of pain syndromes that will allow patients
8 to get better and at the same time, use it
9 reasonably safe.

10 That is what I think the group has to
11 decide, whether that is three or four, certainly
12 the Agency has a better idea of what that truly
13 means. In the clinical situation, I see a patient
14 where if I have a drug where I think it might be
15 helpful, I am going to try it. At some time, I am
16 going to be smart enough to figure out the
17 mechanism by why it works, but sometimes you just
18 have to try.

19 DR. FIRESTEIN: Before I adjourn, I did
20 want to see if there is any of the officers from
21 170 that had any additional comments. No? Okay.

22 Thank you very much, everybody. It has
23 been an exciting day and we have more in store for
24 tomorrow. Thank you.

25 [Whereupon the proceedings were recessed

1 at 4:45 p.m., to reconvene on Tuesday, July 30,

2 2002, at 8:00 a.m.]

3 - - -