

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE

Wednesday, July 10, 2002

8:30 a.m.

Marriott Washingtonian Center  
9751 Washingtonian Boulevard  
Gaithersburg, Maryland

PARTICIPANTS

L. Barth Reller, M.D., Chair  
Tara P. Turner, Pharm. D., Executive Secretary

MEMBERS

Gordon L. Archer, M.D.  
David M. Bell, M.D.  
Alan S. Cross, M.D.  
Steven Ebert, Pharm.D. (Consumer  
Representative)  
Mary P. Glod, M.D.  
James E. Leggett, Jr., M.D.  
Judith R. O'Fallon, Ph.D.  
Jan E. Patterson, M.D.  
Julio A. Ramirez, M.D.  
Ciro V. Sumaya, M.D.  
Ellen R. Wald, M.D.

CONSULTANTS (VOTING)

Monica Parise, M.D.  
Theresa Shapiro, M.D., Ph.D.

GUESTS (NON-VOTING)

Donald Poretz, M.D.  
Coleman Rotstein, M.D.

FDA

Renata Albrecht, M.D.  
Ruthanna Davi, M.S.  
Mark Goldberger, M.D., M.P.H.  
Rosemary Johann-Liang, M.D.  
Leon Sacks, MB.B.Ch.

## C O N T E N T S

Call to Order: L. Barth Reller, M.D.	4
Introduction of Committee	4
Conflict of Interest Statement: Tara P. Turner, Pharm.D.	6
Opening Remarks: Renata Albrecht, M.D.	8
Sponsor Presentation: World Health Organization	
Dr. Melba Gomes	12
Professor Nicholas White	17
Professor Peter Folb	36
Professor Fred Binka	45
FDA Presentation	
Leonard Sacks, MB.B.Ch.	82
Ruthanna Davi, M.S.	113
Rosemary Johann-Liang, M.D.	124
Renata Albrecht, M.D.	147
Open Public Hearing: Hans Kemmler, M.D.	150
Charge to the Committee: Mark Goldberger, M.D., M.P.H.	178
Committee Discussion	185
Discussion and Vote	238

1 P R O C E E D I N G S

2 Call to Order

3 DR. RELLER: Good morning. I would like  
4 to call the Advisory Committee meeting of the U.S.  
5 Food and Drug Administration Anti-Infective  
6 Advisory Committee to order. We will begin this  
7 morning by the introduction of staff from the FDA  
8 and committee members for today's meeting.

9 We will begin on my right with Dr. Mark  
10 Goldberger.

11 Introduction of the Committee

12 DR. GOLDBERGER: Mark Goldberger from the  
13 Office of Drug Evaluation IV.

14 DR. ALBRECHT: Renata Albrecht, Acting  
15 Director for the Division of Special Pathogens and  
16 Immunologic Drug Products.

17 DR. SACKS: Leonard Sacks, Medical Officer  
18 in the Division of Special Pathogens and  
19 Immunologic Drug Products.

20 DR. JOHANN-LIANG: Rosemary Johann-Liang,  
21 Medical Officer with the Division of Special  
22 Pathogens.

23 MS. DAVI: Ruthanna Davi, Statistical  
24 Reviewer, Office of Pharmacoepidemiology and  
25 Biostatistics.

1 DR. PARISE: Monica Parise, Medical  
2 Officer with the Malaria Epidemiology Branch at  
3 Centers for Disease Control.

4 DR. ARCHER: Gordon Archer, Chair of the  
5 Division of Infectious Diseases at Virginia  
6 Commonwealth University.

7 DR. LEGGETT: Jim Leggett, Infectious  
8 Diseases at the University of Oregon Health  
9 Sciences University.

10 DR. GLODEY: Amy Glod, Pediatric  
11 Infectious Diseases, University of Colorado,  
12 Denver.

13 DR. BELL: David Bell, Assistant to the  
14 Director for Antimicrobial Resistance in the  
15 National Center for Infectious Diseases at CDC in  
16 Atlanta.

17 DR. TURNER: Tara Turner, Executive  
18 Secretary to the committee.

19 DR. RELLER: Barth Reller, Division of  
20 Infectious Diseases, Duke University Medical  
21 Center, Director of Clinical Microbiology there.

22 DR. PATTERSON: Jan Patterson, Medicine  
23 and Infectious Diseases, University of Texas Health  
24 Science Center, San Antonio.

25 DR. SUMAYA: Ciro Sumaya, Dean, School of

1 Rural Public Health, Texas A&M University System  
2 Health Science Center.

3 DR. WALD: Ellen Wald, Division of  
4 Infectious Diseases, University of Pittsburgh  
5 School of Medicine.

6 DR. EBERT: Steve Ebert, Infectious  
7 Disease Pharmaceutical and Clinical Professor at  
8 the University of Wisconsin.

9 DR. SHAPIRO: Terry Shapiro, Division of  
10 Clinical Pharmacology, Johns Hopkins.

11 DR. RAMIREZ: Julio Ramirez, Chief,  
12 Infectious Diseases, University of Louisville.

13 DR. O'FALLON: Judith O'Fallon,  
14 statistician at the Mayo Cancer Center, Rochester,  
15 Minnesota.

16 DR. PORETZ: Don Poretz, private practice  
17 in infectious diseases in Fairfax, Virginia.

18 DR. RELLER: Thank you.

19 Tara Turner, our Executive Secretary, will  
20 read the conflict of interest statement.

21 Conflict of Interest Statement

22 DR. TURNER: The following announcement  
23 addresses the issue of conflict of interest with  
24 regard to this meeting and is made a part of the  
25 record to preclude even the appearance of such at

1 this meeting.

2           Based on the submitted agenda and  
3 information provided by the participants, the  
4 agency has determined that all reported interests  
5 in forms regulated by the Center for Drug  
6 Evaluation and Research present no potential for a  
7 conflict of interest at this meeting.

8           We would like to note for the record that  
9 Kenneth Brown, M.D. is participating in this  
10 meeting as an industry representative acting on  
11 behalf of regulated industry. As such, he has not  
12 been screened for any conflicts of interests.

13           In the event that the discussions involve  
14 any other products or firms not already on the  
15 agenda for which an FDA participant has a financial  
16 interest, the participants are aware of the need to  
17 exclude themselves from such involvement and their  
18 exclusion will be noted for the record.

19           With respect to all other participants, we  
20 ask, in the interest of fairness, that they  
21 address any current or previous financial  
22 involvement with any firm whose products they may  
23 wish to comment upon.

24           DR. RELLER: The opening remarks for  
25 today's meeting will be given by Dr. Renata

1 Albrecht.

2 Opening Remarks

3 DR. ALBRECHT: Good morning. I would like  
4 to welcome everyone to today's advisory committee  
5 meeting on artesunate rectal capsules. On behalf  
6 of both the Division and the Office, I would like  
7 to thank the committee members, guests and  
8 consultants for making the time to be with us to  
9 lend us your expertise in this deliberation.

10 May I also extend a welcome to  
11 representatives from the World Health Organization  
12 and to their distinguished consultants who have not  
13 only undertaken the challenge of developing  
14 artesunate rectal capsules as initial management in  
15 patients with malaria, but have also traveled  
16 probably the greatest distance to be here. So, for  
17 both of these efforts, you are to be commended.

18 I believe it would be appropriate to  
19 acknowledge as well that we have at this committee  
20 meeting representatives from Swissmedic who are  
21 also reviewing this application and will be making  
22 remarks during the open public hearing.

23 Malaria is a serious disease, a  
24 life-threatening disease with serious social and  
25 economic impact. It is estimated to cause perhaps

1 a quarter of the billion cases of acute disease  
2 annually and about a million deaths per year in  
3 parts of the world, including Africa, Asia and  
4 South America. Although it is not a common disease  
5 in the United States, it does impact U.S.  
6 travelers, Peace Corps volunteers and the Military  
7 who go to those parts of the world.

8           There are various effective oral and  
9 parenteral therapies for the treatment of this  
10 disease although resistance has developed to some  
11 of these. However, when these therapies are not an  
12 option for the initial management of a patient, an  
13 alternative is needed. It is with this goal in  
14 mind that the World Health Organization has  
15 developed and submitted the application for  
16 artesunate. We thank them for bringing this  
17 application forth and enabling us to present it at  
18 the advisory committee for discussion.

19           Let me turn to the scientific aspects of  
20 the application. The proposed indication, as we  
21 will hear, is the initial management of acute  
22 malaria in patients who cannot take medication by  
23 mouth and for whom parenteral treatment is not  
24 available. The World Health Organization, their  
25 consultants and FDA staff have prepared a series of

1 presentations to provide information on artesunate  
2 including its activity and safety profile.

3 Much of this information was also included  
4 in the background material provided to you before  
5 the meeting. As you listen to the presentations,  
6 we would like you to keep the following issues in  
7 mind. These issues would also be relevant to the  
8 questions that Dr. Goldberger will give as the  
9 charge to the committee this afternoon.

10 The first set of issues relate to the  
11 differences between the intended population that  
12 will receive this product in the actual-use setting  
13 and the population that was studied as part of this  
14 NDA. Specifically the population studied consisted  
15 of patients who were already in a hospital setting  
16 and, thus, had access to the medical  
17 infrastructure, medical personnel and ancillary  
18 management.

19 The question would be what is the  
20 infrastructure that will make artesunate available  
21 to the intended population. What education program  
22 will assure that patients understand how the  
23 product should be used and what provisions will be  
24 available to enable patient transport for  
25 definitive therapy?

1           Also, the population that was studied  
2 consisted primarily of older children and adults,  
3 although the anticipated use may involve children  
4 less than two years of age and then the question of  
5 what is the potential risk of neurotoxicity in that  
6 age group arises.

7           Also, in clinical studies, the patients  
8 had a definite diagnosis of moderately severe  
9 malaria established on entry while, in the intended  
10 population, patients may have all levels of  
11 severity of malaria or they, in fact, may have  
12 another febrile illness, for example, bacterial  
13 meningitis.

14           The timing of drug administration may also  
15 be significant. For example, what might be the  
16 consequences if the drug is administered too soon  
17 in the course of disease or, perhaps, too late in  
18 the course of disease.

19           The second set of issues relates to the  
20 endpoints used in the study and their clinical  
21 implications. These include the 24-hour parasite  
22 clearance or parasite-count reduction as a  
23 surrogate of clinical success or clinical cure and,  
24 also, the clinical significance of the  
25 recrudescence at 28 days that we will see, and what

1 is the impact of such recrudescence on the  
2 emergence of resistance to artesunate or other  
3 agents.

4           So these are some of the issues that we  
5 would like you to keep in mind as you listen to the  
6 presentations this morning. With that, we look  
7 forward to your discussion and deliberations of the  
8 questions and issues before you.

9           I now turn it back to you, Dr. Reller.

10          DR. RELLER: Thank you, Dr. Albrecht.

11          We will now have the presentation of the  
12 sponsor, the World Health Organization, Dr. Melba  
13 Gomes.

14          Sponsor Presentation: World Health Organization

15          DR. GOMES: Good morning. My name is  
16 Melba Gomes. I work for the Special Program for  
17 Research and Training in Tropical Diseases in the  
18 World Health Organization and am leading a team of  
19 experts who come independently to act on behalf of  
20 WHO in defending this current submission.

21          [Slide.]

22          The first slide essentially repeats what  
23 Dr. Albrecht has said that infectious and parasitic  
24 diseases constitute a large proportion of causes of  
25 death and, of these, malaria is amongst the highest

1 major killer. About 40 percent of the world's  
2 population is exposed to malaria and the death toll  
3 is highest in young children.

4 [Slide.]

5 The estimates are essentially about 1.5 to  
6 2 million deaths in children under the age of five.  
7 If you go to a pediatric ward in most of Africa,  
8 Kenya, Malawi, Tanzania, Ghana, half of the wards  
9 would be filled with children dying of malaria, the  
10 majority having symptoms that would have progressed  
11 fast over 24 hours.

12 [Slide.]

13 This child would not have been able to  
14 take drugs for a period of 24 hours before the  
15 picture was taken. As the disease would have  
16 progressed, her mother would have needed to choose  
17 between taking her child to a hospital or not and  
18 would have calculated the probability that they,  
19 the mother and the child, would have arrived at the  
20 hospital before the child died.

21 From the data that we have, a small  
22 proportion of those who are referred to hospital  
23 actually reach hospital with a child that is alive.  
24 The rest, essentially, have died en route to  
25 hospital or at home. If they have made it to

1 hospital, the disease would have progressed to a  
2 point at which it is in a very acute stage. This  
3 would be considered as a medical emergency in a  
4 disease considered fatal unless treated and most  
5 lives are lost from malaria in this way.

6 [Slide.]

7 In 1997, WHO needed to find a solution for  
8 these kinds of children. We attempted to respond  
9 to this need for a preventable condition by  
10 developing an antimalarial--in this case,  
11 artesunate--to be given as emergency treatment,  
12 emergency management of patients who cannot take  
13 drugs by mouth but who cannot get to a hospital  
14 where definitive treatment can be given for several  
15 hours, where the risk of death is high and we  
16 needed to buy them the time to reach definitive  
17 treatment.

18 [Slide.]

19 We will have presented a dossier which is  
20 in the hands of the Food and Drug Administration,  
21 the Swissmedic and the medical-control agency in  
22 the United Kingdom, the essence of which is in the  
23 briefing document that you would have, providing  
24 what we believe is a coherent case for the  
25 efficacy, safety and quality of this drug,

1 artesunate, provided in a suppository form for the  
2 following indication: to manage initially acute  
3 malaria in patients who cannot take medicines by  
4 mouth and for whom parenteral treatment is not  
5 available.

6 We see the challenge before us today to  
7 defend this indication which we have carefully  
8 worded to show that the drug is effective for the  
9 purpose and to discuss safety in relation to the  
10 way that we propose to give the drug, and to argue  
11 that, for this purpose and in the way we propose to  
12 give the drug, the safety issues for the narrow  
13 indication can be set aside.

14 We see it as our responsibility to ensure  
15 that any reservations you may have regarding safety  
16 do not have a bearing on the way we propose to use  
17 the drug. We will want to show you a future that,  
18 if approval by the advisory committee and the FDA  
19 is given, it will release the World Health  
20 Organization's energy for implementation with a  
21 large public-health benefit.

22 The WHO has no intention of releasing its  
23 responsibility for providing a medicine and  
24 undertaking its safe and responsible use following  
25 approval. It will not be our primary

1 responsibility or aim in our presentations to argue  
2 on the manner in which the indication applies in  
3 the U.S. We will be wanting to discuss and to  
4 defend this if the advisory committee wishes to do  
5 so.

6           It is the first time that WHO has  
7 developed a drug and submitted it for registration  
8 in its own name. In coming to the FDA as a U.N.  
9 agency, we come in the confidence that the  
10 development will meet the standards of the highest  
11 review process that you will ensure.

12           I would like to be able to introduce the  
13 team who have developed this drug. Included  
14 implicit in that is the donation of the Chinese who  
15 have given us their data and the drug. But the  
16 first introduction, and there would be five members  
17 of the team, is Professor Nicholas White, Professor  
18 Peter Folb, Professor Fred Binka, Sanjay Krishna  
19 and Anthony Dayan who is not here with us today.

20           All have contributed their time on a pro  
21 bono basis as independent experts in this  
22 development and they are here as independent  
23 experts. Professor White and Sanjay Krishna would  
24 be the world's experts on clinical pharmacology and  
25 severe malaria. Nick White would have devoted the

1 past twenty years of his life to understanding the  
2 pathogenesis of malaria, the pharmacokinetics and  
3 pharmacodynamics drugs that can be used in the  
4 treatment of malaria.

5 Professor Peter Folb is normally on the  
6 other side, in your position in normal life, but he  
7 has spent twenty years critically reviewing all  
8 aspects of drug development dossiers submitted for  
9 registration in South Africa. He is the person who  
10 advised the WHO five years ago that we could build  
11 a case for this indication, this new indication for  
12 malaria, and potentially save lives. In large  
13 part, he was the architect of much of its  
14 development.

15 Fred Binka would have spent the past  
16 fifteen years of his life in malaria-endemic  
17 communities, in Ghana, mainly, quantifying the  
18 risks and benefits of different interventions that  
19 have the potential to save lives in malaria.

20 This is the end of my introduction. I  
21 will come back at the end, but I will now hand over  
22 to Professor White to take you through the clinical  
23 part of the presentation.

24 PROFESSOR WHITE: Thank you, Melba.

25 Mr. Chairman, members of the committee,

1 ladies and gentlemen, I am Professor Nick White. I  
2 am Professor of Tropical Medicine at Mahidol  
3 University in Bangkok Thailand and Oxford  
4 University in England. It is my job this morning to  
5 present to you the rationale for rectal artesunate  
6 and the clinical evidence of efficacy.

7 [Slide.]

8 I don't apologize for repeating the size  
9 of the problem, approximately one-fifth of the  
10 world's population infected today and various  
11 estimates of a higher mortality even than written  
12 here of up to 3 million deaths per year. So this  
13 is the most important parasitic disease of man and  
14 it is caused by a parasite which invades the red  
15 blood cell, as you know, and the pathology of  
16 malaria is entirely related to that process.

17 [Slide.]

18 So malaria, unlike most other infectious  
19 diseases, but, unfortunately, as with HIV, has a  
20 global mortality that is rising, not falling, and  
21 we believe that approximately 90 percent of that  
22 burden falls in the African continent upon  
23 children.

24 [Slide.]

25 This rising mortality is attributed

1 directly to the loss of affordable and available  
2 drugs.

3           So, briefly, a summary of the epidemiology  
4 of malaria. We conventionally define the  
5 epidemiology in terms of the  
6 entomological-inoculation rate. That is the number  
7 of times you are bitten per unit time--in this  
8 case, a year--by a mosquito carrying malaria  
9 parasites. So you can see that there are places in  
10 the world on this logarithmic scale where you may  
11 have malaria every day. You may contract this  
12 disease every day and everyone has malaria all the  
13 time.

14           In this context of high stable  
15 transmission, the burden of severe disease falls  
16 largely on young children and it manifests  
17 predominantly as severe anemia. This carries, as  
18 you have seen, a significant mortality.

19           As the entomological-inoculation rate  
20 falls, the intensity of transmission falls or  
21 becomes more seasonal. We see a change in the  
22 clinical appearance with a predominant syndrome now  
23 of cerebral malaria. That is coma in the presence  
24 of falciparum malaria in the blood. We see also  
25 other presentations, predominantly metabolic

1 acidosis. These are all linked.

2           As the entomological-inoculation rate  
3 falls further, then the age range broadens and  
4 everybody becomes susceptible and we now see the  
5 appearance of different clinical manifestations in  
6 adults, notably acute renal failure and, to a  
7 lesser extent, liver dysfunction and pulmonary  
8 edema.

9           But this is a multisystem infection with a  
10 different clinical epidemiology depending on the  
11 intensity and seasonality, to a certain extent, of  
12 transmission.

13           [Slide.]

14           So this would be your life if you lived  
15 somewhere where you had an infectious bite every  
16 week. I will show this several times and just go  
17 through it. This is a logarithmic scale showing  
18 the number of parasites in your body. If I had a 2  
19 percent parasitemia, I would have about 10

12

20 parasites in my body. So one is infected all the  
21 time. The majority of these infections resolve  
22 spontaneously but, very frequently, they cause  
23 fever and debility and every so often you have a  
24 really serious infection.

25           [Slide.]

1           Either you survive if you get effective  
2 treatment or, if you don't, you die.

3           [Slide.]

4           So, falciparum malaria differs from the  
5 other three human malarias in that it kills people  
6 regularly and it does that because it induces a  
7 phenomenon known as sequestration. What this means  
8 is that, once the malaria parasite gets inside the  
9 red blood cell, it starts to manufacture a glue,  
10 and adhesive protein, and about 16 to 24 hours into  
11 48 hour asexual cycle, these red cells start to  
12 stick.

13           They stick in the microvasculature of  
14 vital organs, notably the brain, where they cause  
15 microvascular obstruction.

16           [Slide.]

17           The mortality of cerebral malaria ranges  
18 approximately from 15 to 20 percent, so one in five  
19 patients treated with available drugs will die. We  
20 believe that the mortality of untreated cerebral  
21 malaria approximates to 100 percent.

22           In adults surviving cerebral malaria,  
23 about 3 percent will have some detectable  
24 neurological sequelae but, in children, about 10  
25 percent will have detectable neurological sequelae

1 of which over half will resolve within six months.  
2 But, nevertheless, given the cumulative burden of  
3 this disease, this is a major problem.

4 [Slide.]

5 This may be stating the obvious, but it is  
6 very important and it is the basis, really, of the  
7 rationale for rectal artesunate, and that is that  
8 antimalarial drugs save lives because they kill  
9 parasites. Therefore, the reason why people die  
10 from malaria is because they don't get effective  
11 treatment early enough in the progression towards  
12 lethal disease.

13 [Slide.]

14 The artemisininins are a fascinating and  
15 unique family of compounds discovered, or  
16 rediscovered as you probably all know, by the  
17 Chinese approximately 30 years ago, a  
18 sesquiterpinelactoin peroxides with the business  
19 end of the molecule being this peroxide bridge and  
20 substitutions here giving us the different  
21 derivatives, in this case, a succinate, or  
22 hemisuccinate, group forms artesunate.

23 They are the most rapidly acting of  
24 antimalarial drugs. They kill parasites faster  
25 than any other drugs. They are extremely potent

1 and, as I will show you, they have a very important  
2 property in that they will kill all the stages that  
3 circulate in the blood. They will prevent the  
4 progression from the young and relatively less  
5 pathological to the mature, more pathological,  
6 stages which stick and obstruct the  
7 microcirculation.

8           As a bonus, they reduce transmissibility  
9 and, to date, despite considerable effort in the  
10 laboratory, it has not been possible to induce  
11 resistance and no confirmed evidence of significant  
12 resistance has occurred despite use in over 3  
13 million patients. That is not a cry for  
14 complacency, but it is reassuring.

15           [Slide.]

16           Here we have the 48-hour life cycle of  
17 Plasmodium falciparum going from the young,  
18 so-called ring, forms which circulate in the  
19 peripheral blood and can be counted by the  
20 microscopist to the more mature, pathological forms  
21 which are sequestered in the microcirculation and  
22 cause the pathological processes.

23           So, perversely, it is the parasites that  
24 you can't see in the blood film that are causing  
25 the problem, not the ones you can see, and this

1 explains some of the discrepancies between  
2 parasitemia and severity. Nevertheless, there is a  
3 general rough relationship between the parasitemia  
4 counted peripherally and the overall burden in the  
5 body.

6           The artemisinin derivatives affect all  
7 these stages and they will prevent the progression  
8 from the circulating stage to the sequestered  
9 stage. The other antimalarial drugs, notably the  
10 ones that are used for severe malaria, are the  
11 cinchone alkaloids, quinine and quinidine. These  
12 drugs do not prevent the development of the  
13 circulating ring forms to the sequestered  
14 pathological stages.

15           [Slide.]

16           Again, the paradigm of a person, me,  
17 perhaps, with 2 percent parasitemia. This is to  
18 illustrate the different properties of the  
19 antimalarial drugs. You can treat falciparum  
20 malaria with an antibiotic such as tetracycline but  
21 it is very weak. It will kill with a fractional  
22 killing rate of 10 per asexual cycle which would  
23 mean you would have to take tetracycline for about  
24 a month to get rid of all the parasites in your  
25 body.

1           We can't detect parasitemia below about 10  
2           8  
3           in the body so, below this level, we certainly have  
4           an infection but we are not able to detect it by  
5           microscopy. Most of the antimalarial drugs work in  
6           this sort of area, fractional killing rates or  
7           parasite-multiplication rates of 10                   2 to 103. So  
8           this would be 100-fold to 1,000-fold reduction per  
9           asexual cycle.

10           The artemisininins are the most active.  
11           They will cause 10,000-fold reduction in the number  
12           of parasites per asexual cycle, so a single dose  
13           will cut that parasite biomass by 10,000-fold.  
14           Note that it is still necessary to expose that  
15           parasite population to these drugs or an effective  
16           antimalarial drug for at least a week. Otherwise,  
17           we will see recrudescence. So, short-acting drugs  
18           need to be present for at least a week.

19           [Slide.]

20           In the worst-case scenario of somebody  
21           getting a single rectal artesunate administration  
22           and then not following up as we believe must be  
23           done--in fact, we recommend, of course, must be  
24           done--then this is what would happen. You would  
25           cut the parasitemia by about 10,000-fold and then  
          there would be an uninhibited multiplication. The

1 parasite can't multiply more than the number of  
2 children it has per cycle. That is about 10 to 20  
3 maximum. In vivo studies would suggest a  
4 multiplication rate of 10 maximum per cycle. So it  
5 would take nearly ten days to get anywhere near the  
6 sort of parasitemias that initially presented by  
7 which time host defenses are mobilized.

8           Of course, the patient has been ill for  
9 all this time, so the probability of getting access  
10 to antimalarial drugs is much increased. This  
11 explains, or this simple cartoon, explains why even  
12 a single dose would be very unlikely to be followed  
13 by a recurrence of this rare event where one  
14 presents with severe or impending severe malaria.

15           This cartoon is one where there is no  
16 mobilization of host defense and yet there is rapid  
17 nonspecific mobilization of host defenses which is  
18 why we have people living in the tropical areas of  
19 the world. Malaria is not uniformly fatal.

20           [Slide.]

21           What the artemisinin derivatives do is  
22 that they cut the parasitemia more rapidly than any  
23 of the other drugs and they remove those ring  
24 stages before they progress to the more  
25 pathological stages. We can actually quantitate

1 this by subtracting the parasite-clearance curve  
2 after artesunate or another artemisinin derivative  
3 from that, from a comparator drug such as quinine.

4 The difference, the area between these two  
5 curves, would represent the ring forms that have  
6 been removed from the circulation before they could  
7 cause trouble.

8 [Slide.]

9 This is a summary slide of the  
10 pharmacokinetic properties of the drugs, the  
11 artemisinin derivatives, that we might use in the  
12 treatment of severe malaria, plasma concentration  
13 along here and time. If we have artesunate  
14 parenterally, intravenously or intramuscularly, in  
15 severe malaria, it is very rapidly and reliably  
16 absorbed. We get concentrations well above 1,000  
17 milligrams per kilogram.

18 The other formulation widely available, in  
19 fact the most studied formulation, is artemether,  
20 which is an oil-based intramuscular injection,  
21 which is much more slowly and erratically absorbed  
22 reaching peak concentrations often twelve or more  
23 hours after the original injection.

24 Rectal administration occupies a position  
25 perhaps more close to the intravenous or

1 intramuscular administration where absorption is  
2 certainly slower but more rapidly than following  
3 artemether. Now, artemether has been subjected to  
4 the largest trials ever in severe malaria and it is  
5 certainly as effective. In fact, Professor Folb  
6 will show you some data. It is certainly as  
7 effective, if not more effective, than quinine. It  
8 is because the concentration required of these  
9 drugs to produce the maximum parasitocidal killing,  
10 or minimal parasitocidal concentration--that is the  
11 minimum concentration producing the Emax or maximum  
12 effect--is very low.

13           The IC50s would be of the order of 3 to  
14 5 nanograms per ml, IC95s of the order of 10 to 20.  
15 So, even though these concentrations may look very  
16 low, very low concentrations are all that is needed  
17 to produce maximum parasitocidal effect. That is  
18 why these profiles are very effective and,  
19 certainly, these profiles are reliably effective.

20           [Slide.]

21           Let's just briefly examine the relatively  
22 unusual, in an individual, but, as I have  
23 explained, frequent overall path towards lethal  
24 malaria. After receiving an infected mosquito  
25 bite, there is about a five- or six-day incubation

1 day in the liver. Then, somewhere between 10,000  
2 and 100,000 parasites are released into the blood  
3 stream.

4           Then multiplication rates of approximately  
5 six-fold to ten-fold per cycle means that the  
6 parasites become detectable in the blood  
7 approximately eleven to twelve days after  
8 inoculation. This also coincides with the time  
9 when fever is usually present. The pyrogenic  
10 density is quite close to this level.

11           If no treatment is given and the parasite  
12 multiplication continues unabated for several more  
13 cycles, we enter the dangerous territory where  
14 lethal disease may occur. Things happen at this  
15 point very quickly.

16           [Slide.]

17           In that next cycle, if we manage to stop  
18 development of the parasites at this stage, then  
19 lethal events will not occur. But if the parasites  
20 mature, sequester and then undergo further  
21 schizogony now with a burden of  $10^{12}$  in the body  
22 somewhere, a parasitemia that may be between 1 and  
23 20 percent, then lethal events may occur.

24           Our objective, therapeutically, is to stop  
25 that progression. This is a very short time span

1 which explains why children die very rapidly once  
2 they develop severe malaria.

3 [Slide.]

4 So if we are on the threshold of  
5 developing severe disease, in Thailand, if you have  
6 more than 4 percent parasitemia but no evidence of  
7 vital-organ dysfunction, your mortality is 3  
8 percent. That is 30 times higher than in  
9 uncomplicated disease with a lower parasitemia but  
10 five times lower than once severe disease has  
11 developed with vital-organ dysfunction.

12 [Slide.]

13 Then, as I have said, things happen very  
14 rapidly and we enter, and we very rapidly increase  
15 the probability of a fatal outcome.

16 [Slide.]

17 So the objective of treatment is to  
18 interrupt that process and the earlier we can do  
19 that, the better the probability of saving the  
20 patient.

21 [Slide.]

22 Just to summarize our rationale again, as  
23 Melba has presented, it is a treatment for patients  
24 who can't take oral treatment. That is a range of  
25 clinical syndromes from just repeated vomiting

1 through to deeply unconscious. Hopefully, we are  
2 going to prevent the progression towards deeply  
3 unconscious.

4           The trials were designed to assess the  
5 antimalarial activity in vivo in that high-risk  
6 group of patients who had not yet developed  
7 vital-organ dysfunction. They were on the  
8 threshold of developing severe disease. Our focus  
9 is the immediate life-saving response. This is not  
10 an assessment of a curative treatment. It is an  
11 assessment of a life-saving treatment.

12           [Slide.]

13           Patients were either those who could not  
14 take oral drugs or had, as I described previously,  
15 defined increased mortality. They were given a  
16 single rectal dose of artesunate and that was  
17 followed by the standard treatment in the country  
18 at the time which varied from an effective drug to  
19 an ineffective drug, as you will see later, and all  
20 cases were hospitalized.

21           [Slide.]

22           The assessment focused on the reduction in  
23 parasitemia which reflects the main pharmacodynamic  
24 effect, parasite killing. It was assessed by  
25 fractional reductions or total parasite clearance

1 time and the clinical response was assessed by the  
2 standard ways of fever clearance, time to be able  
3 to take oral treatment again and, of course,  
4 prevention of clinical deterioration or death.

5 [Slide.]

6 There are three types of studies. The  
7 first two were randomized crossover phase 2b, if  
8 you like, dose-finding studies, three phase 3, so  
9 to speak, studies, one in Thailand, one in Malawi  
10 and one in South Africa, each with a slightly  
11 different design. In Thailand, where there was a  
12 defined group with a defined mortality, oral  
13 artesunate was the comparator because that had been  
14 shown to be superior to intravenous loading-dose  
15 quinine in patients with hyperparasitemia.

16 In Malawi, they were non per os and the  
17 comparator was parenteral quinine. In South  
18 Africa, there were two studies, one in moderately  
19 severe malaria where the comparator was quinine and  
20 one in severe malaria where all patients received  
21 quinine and some received artesunate, while others  
22 did not. The randomization was unequal so, in each  
23 of these trials, the majority of patients received  
24 rectal artesunate.

25 Finally, there was a comparability study

1 between the original formulation that was used in  
2 these studies and the new formulation, the one that  
3 we are submitting for regulatory approval, we hope.

4 [Slide.]

5 These studies confirmed the results of all  
6 other studies with these drugs and that is that  
7 there was a reliable and rapid reduction in  
8 parasitemia when compared with the comparator  
9 quinine. This is an absolutely consistent finding  
10 in all trials with these drugs.

11 [Slide.]

12 The beneficial effects in terms of  
13 parasitological response would be the different  
14 between those two curves, the parasites that were  
15 prevented from going on and sticking in these  
16 patients' brains, livers, kidneys, lungs and so  
17 forth.

18 [Slide.]

19 A couple of slides just to deal with this  
20 question, really, of the parasitological outcome,  
21 the subsequent treatment response which is not the  
22 focus of this submission. Once the patients had  
23 received the rectal artesunate, they were then  
24 given so-called consolidation treatment which, in  
25 Thailand, was mefloquine, which was pretty

1 effective. In most of the other countries, it was  
2 sulfadoxine/pyrimethamine which sadly, although it  
3 is national policy in many of these countries, is  
4 failing fast and also chloroquine which, as you  
5 know, in most of the world, is no longer effective.  
6 So there were quite a lot of recrudescences in the  
7 patients who received these drugs.

8           The comparator group in Malawi received  
9 more quinine than did the artesunate group so they  
10 had a better, if you like, consolidation treatment.

11           [Slide.]

12           What happened to those patients with the  
13 recrudescence infections? Most of them just had a  
14 fever and were found to have parasites again when  
15 they were retreated and about a third had other  
16 symptoms of nausea, vomiting and so forth. One  
17 patient was temporarily obtunded but recovered  
18 rapidly and there was no development of severe  
19 malaria and there were no deaths in the  
20 recrudescence infections.

21           [Slide.]

22           We have had a question as to how relevant  
23 are those studies compared to the ongoing, very  
24 large community-based studies. These studies which  
25 are, as I said, ongoing have as their entry

1 criterion that the patient cannot take antimalarial  
2 drugs by mouth. But they are not associated--  
3 because they are community-based, we are actually  
4 testing how these drugs would be used in real life.  
5 They are not associated with immediate availability  
6 of diagnosis.

7           But, generally, the populations appear to  
8 be comparable, similar rate of patients being  
9 obtunded, similar seizure rates. We would contend  
10 that these populations are comparable. But,  
11 obviously these patients had to be studied in  
12 hospital because we had to provide the data  
13 appropriately.

14           [Slide.]

15           So, in summary, the basis or the evidence  
16 that we would like to provide to you for efficacy  
17 of rectal artesunate is based on 310 patients  
18 ranging from young children to adults in countries  
19 where there were very different background levels  
20 of drug resistance and background levels of  
21 intensity of transmission ranging from very low  
22 transmission in Southeast Asia to very high  
23 transmission in Ghana.

24           The median parasitemia reduction at 24  
25 hours is 99 percent. These drugs reliably cut the

1 parasite biomass by a huge amount. All but four  
2 patients were able to take oral drugs within 24  
3 hours.

4 [Slide.]

5 So, in conclusion, we believe that this is  
6 a highly effective treatment. It has a particular  
7 place, a particular application. It produces  
8 consistent results on the main determinate that it  
9 kills parasites quickly. That is associated with  
10 rapid clinical responses and these benefits are  
11 independent of the patient's age. They are  
12 independent of their geographic location and,  
13 therefore, the intensity of transmission and  
14 associated background immunity. They are  
15 independent of the patient's ethnic origin and they  
16 are independent of the prevailing levels of  
17 resistance to the other antimalarial drugs.

18 Thank you.

19 DR. RELLER: Thank you, Professor White,  
20 for that comprehensive review.

21 Professor Peter Folb?

22 PROFESSOR FOLB: Good morning, Mr.  
23 Chairman and members of the committee.

24 [Slide.]

25 The FDA in its executive document points

1 out correctly that, under certain exceptional  
2 conditions of experimental design, the  
3 artemisininins, as a class, are neurotoxic. We deal  
4 with the issue of neurotoxicity in the following  
5 way; firstly, to draw your attention to the  
6 strictly limited indication for which we propose  
7 whereby the drug will be used once or, at the most,  
8 twice in the dose of 10 milligrams per kilogram  
9 body weight.

10 We argue from a hierarchy of evidence  
11 starting with extensive clinical experience of the  
12 artemisininins in general and artesunate in  
13 particular including artesunate administered in the  
14 way that we propose, rectally, moving from the  
15 clinical to the experimental. We shall point out  
16 that, except under the most exceptional  
17 circumstances experimentally, artesunate, given  
18 orally is never neurotoxic not even to experiment  
19 animals and that no human toxicity, neurotoxicity,  
20 has been demonstrated with artesunate given in any  
21 formulation or any mode of administration.

22 We shall also argue that artesunate,  
23 within the class artemisininins, is arguably the  
24 safest.

25 [Slide.]

1           This is the basis for the concern  
2 including that concern addressed by the Food and  
3 Drug Administration. From animals, mice, rats,  
4 rhesus monkey and dogs, there is both symptomatic  
5 and observational evidence on the one hand that,  
6 with very high doses given parenterally apathy,  
7 unsteadiness, collapse, even coma and death have  
8 been observed.

9           Neuropathologically, specific lesions have  
10 been described of chromatolysis and necrosis of  
11 brain-stem nuclei in particular. These nuclei are  
12 identified especially as vestibular, cochlear, the  
13 olivary and the red nuclei in the brain stem. As  
14 pointed out, it is identifiable in several animal  
15 species. So it is reasonable to question whether  
16 there is a likelihood that this would apply also to  
17 humans.

18           [Slide.]

19           Here is an example of an isolated dead  
20 neuron in the brain stem in an experimental animal  
21 showing enlargement, hyperchromasia, swelling of  
22 the neuronal soma and pigmentosis of the nucleus.  
23 This is the characteristic lesion that has been  
24 identified by those experimental investigators who  
25 have described it.

1 [Slide.]

2 Moving, as I say, from the experimental to  
3 the clinical, I draw attention to the work done in  
4 the first instance on mice who have been studied in  
5 terms of abnormalities of balance and gait and  
6 survival. I wish to point out that  
7 dihydroartemisinin certainly are more potent and  
8 more potentially toxic antimalarial than  
9 artesunate. In human equivalent doses up to 342  
10 milligrams per kilogram produced no functional or  
11 neuropathological injury.

12 Artesunate, in a human equivalent dose of  
13 683 milligrams per kilogram produced reversible  
14 abnormality of balance and gait in two of twenty  
15 animals in this particular experiment.

16 Oral artemether, artesunate and  
17 dihydroartemisinin have not produced clinical or  
18 neuropathological evidence of toxicity in doses  
19 below 200 milligrams per kilogram per day given for  
20 28 days. Members of the committee will recognize  
21 that this is orders of magnitude greater than the  
22 proposed dose to be given to humans.

23 [Slide.]

24 In this work done by Nonprasert and Dr.  
25 White and others, I wish to highlight the evidence

1 that oral artesunate has produced--this is the only  
2 evidence of its kind--oral artesunate has produced  
3 abnormal equilibrium given in table form in doses  
4 of 250 to 300 milligrams per kilogram per day for  
5 28 days. These, of course, are exceptional doses  
6 compared with what we propose for humans.

7 [Slide.]

8 With regard to a study commissioned by the  
9 World Health Organization, a 7-day artesunate  
10 toxicity study in rat largely designed on the basis  
11 of work previously done which had suggested  
12 neurotoxicity, designed that way in terms of dose  
13 and observation, no neurotoxicity was demonstrated  
14 over this range of human equivalent doses. Besides  
15 the small number of animals that died in their cage  
16 and could not immediately be preserved for autopsy,  
17 the brain stems of all the remaining animals were  
18 studied and stained with hemotoxinin and eosine and  
19 toluidine blue, primary stains for determining  
20 neurotoxicity, and were examined by an eminent  
21 neuropathologist previously mentioned, Dr. Antony  
22 Dayan, in the United Kingdom.  
23 These stains showed no evidence of neurotoxicity.

24 [Slide.]

25 Repeat human artemisinin exposure has been

1 looked at by a number of investigators including  
2 Kissinger, Van Vugt, and they have tested in their  
3 clinical investigation, clinical neurological  
4 investigation--they have included audiometry,  
5 brain-stem-evoked potential and auditory-evoked  
6 response. This refers to patients in Viet Nam, 240  
7 patients, compared with 108 matched controls.

8           With artemisinin, a cumulative exposure of  
9 artemisinin, median cumulative exposure of 168  
10 milligrams per kilogram. In Thailand, 79 patients  
11 were compared with 79 matched controls with a mean  
12 cumulative exposure to artesunate of 39 milligrams  
13 per kilogram and no clinical or neurophysiological  
14 toxicity was identified in these patients.

15           These tests clearly enable one to evaluate  
16 function from frontal cortex through to cochlear  
17 nucleus and acoustic nerve.

18           [Slide.]

19           The work of Price and others based on  
20 1,971 subjects over the age of five years, 307 of  
21 whom received artemether, 1,664 artesunate in  
22 artesunate doses of 12 milligrams per kilogram  
23 given over three to seven days and investigated  
24 clinically carefully by heel-to-toe ataxia,  
25 fine-finger dexterity, hearing and assessment for

1 nystagmus and balance, evaluated at these days from  
2 naught to 28 days after admission, showed no  
3 evidence clinically of deafness or permanent  
4 neurological disability.

5 [Slide.]

6 In a randomized, double-blind comparison  
7 of artemether and quinine in severe falciparum  
8 malaria in work reported by Dayan and Hien, full  
9 neurological assessment was done on 560 adults  
10 including audiometry and assessment of balance at  
11 discharge.

12 Now, in these patients, there were 36  
13 deaths following artemether treatment and 47  
14 following quinine. The total artemisinin exposure  
15 was 4 to 44 milligrams per kilogram which allowed  
16 21 patients who died to come rapidly to autopsy.  
17 Of these patients, fifteen who died and who were  
18 examined in rapid autopsy, fifteen had received  
19 quinine and six artemether and they were compared  
20 blind by neuropathologists including those in the  
21 United States. There was no evidence of  
22 drug-induced neurotoxicity whatever.

23 [Slide.]

24 We have referred, and will refer further,  
25 to Study 013 which is a safety update conducted at

1 present in Bangladesh, Ghana, Tanzania,  
2 double-blinded, randomized and controlled, in  
3 which, of 3,366 patients, there has been a 99.3  
4 percent follow up. Sixteen patients in this follow  
5 up had neurological sequelae.

6 [Slide.]

7 These were identified on schedule follow  
8 up over the period seven to thirty days after  
9 treatment. Now, of these sixteen patients, four  
10 had confirmed meningitis and we attributed the  
11 neurological effects of the meningitis. Six of the  
12 sixteen were unconscious at the time of enrollment  
13 and we might argue that they largely would be  
14 accounted for by cerebral malaria.

15 Four of the sixteen had focal neurological  
16 sequelae, quite uncharacteristic of the drug  
17 effect, and two of the sixteen, 0.05 percent of the  
18 3,366, are, we believe, possibly attributable to  
19 artesunate. They have not been followed up in the  
20 long term. One had unsteady gait. One had  
21 generalized weakness and we would not be able to  
22 say which of these patients fell in the comparator  
23 arm and which in the treatment.

24 [Slide.]

25 In the largest study of its kind in the

1 comparison of artemether and quinine for deaths, if  
2 I may draw your attention to the overall result,  
3 artemether was on the borderline of being better in  
4 terms of preventing death than quinine at this  
5 level of significance, 0.08 in the comparison of  
6 961 patients who had received artemether and 950  
7 who had received quinine.

8           There was lower mortality in the  
9 artemether group, as the graphic indicates, but of  
10 borderline significance. The question is whether  
11 this lower mortality would translate to a higher  
12 level of neurological abnormality.

13           [Slide.]

14           Here is the examination of the  
15 neurological sequelae. In the artemether group,  
16 there were 81 of 807, in the quinine group, 91 of  
17 765. The inference is that, with improved survival  
18 with artemether, there was no greater incidence, on  
19 the contrary, of neurological abnormalities.

20           [Slide.]

21           In conclusion, the World Health  
22 Organization, in developing this drug, accepts that  
23 there is a prima facie case based on experimental  
24 evidence of the possibility of the artemisininins as  
25 a class being neurotoxic. We point out that

1 artesunate never in clinical experience, regardless  
2 of the therapeutic regimens that have been used,  
3 has been neurotoxic in humans.

4 An expanded use of artesunate would  
5 require reconsideration about potential  
6 neurotoxicity, but we propose that this is not an  
7 issue in the circumstances in which we are to be  
8 using the drug.

9 The FDA may wish to consider with the  
10 applicant, the World Health Organization, the  
11 neurotoxicity potential in terms of labeling and  
12 that, of course, we would have no objection to.

13 Thank you.

14 DR. RELER: Thank you, Professor Folb.

15 Professor Fred Binka.

16 DR. BINKA: Mr. Chairman, members of the  
17 advisory committee, the WHO has, in the past two  
18 presentations, presented its case on the efficacy  
19 and safety of rectal artesunate. But, in addition  
20 to that, WHO is committed to making sure that this  
21 drug is properly deployed if it is registered in a  
22 manner that would benefit the great number of  
23 people in endemic countries who need this drug  
24 most.

25 In doing this, it has made a firm

1 commitment to understand some of the crucial issues  
2 that need to be addressed in order to deploy these  
3 drugs and also has a commitment in trying to make  
4 sure that, if the drug is registered, it is  
5 properly implemented.

6           It is currently conducting studies, phase  
7 4 studies, in three countries, in Ghana, Tanzania  
8 and Bangladesh, to try to understand some of the  
9 crucial issues that are involved. These studies  
10 have been alluded to by my previous colleagues.  
11 These studies are currently in these three  
12 countries. They are double-blind,  
13 placebo-controlled trials and they are recruiting  
14 patients that we expect this drug to be used for.

15           Most of these patients are patients who  
16 cannot take anything by mouth and have provided  
17 consent to be part of the trials. In these  
18 studies, the recruited patients are given a single  
19 dose of rectal artesunate, 100 milligram in  
20 children and 400 milligrams in adults.

21           They are followed up in hospital and  
22 expected to have consolidated treatment for malaria  
23 based on the national treatment guidelines. They  
24 are followed up for seven to thirty days to measure  
25 some of the potential outcomes. In these outcomes,

1 we are looking basically at survival and  
2 neurotoxicity.

3 [Slide.]

4 Professor Folb has already presented the  
5 issues on neurotoxicity and I am going to  
6 concentrate on the other major outcome which is  
7 mortality. The studies have recruited about 3,300  
8 patients so far in the three countries, and the  
9 slide above shows the distribution in the three  
10 countries, approximately about 1,000 in Ghana and  
11 Tanzania and the remaining in Bangladesh.

12 [Slide.]

13 The characteristics of these patients  
14 partly have been shown to you but let me  
15 reemphasize who they represent, the target  
16 population for which this indication has been  
17 proposed. About 11 percent of these patients were  
18 unconscious at baseline at the time of recruitment  
19 and a proportion of these patients, almost 22  
20 percent, have had repeated convulsions.

21 I think Professor White has already  
22 alluded to the fact that, in these cases, these are  
23 community-based trials where enrollment is  
24 basically based on being not able to take things  
25 orally. 74 percent of these patients have

1 demonstrated a positive slide for malaria.

2           The follow up has been very remarkable.

3 99.3 percent of these patients have been followed  
4 up over the period from seven to thirty days prior  
5 to recruitment into the trial. So far, the study  
6 has recorded 99 deaths.

7           [Slide.]

8           The distribution of these deaths is shown  
9 in the slide above. I think it is important to  
10 know that approximately half of the patients who  
11 died were unconscious at the time of recruitment  
12 into the study. Also, to demonstrate this  
13 indication and the need to work very hard in trying  
14 to make sure we have something to help these  
15 patients, 87 percent of these patients died even  
16 before they reached hospital. A further 43 percent  
17 died in hospital. The rest, about 20 percent, died  
18 at home after leaving hospital.

19           [Slide.]

20           These studies are being closely monitored  
21 by the Data and Safety Monitoring Committee. Their  
22 plan was to recruit close to about 10,000 patients.  
23 The analysis of review of this data in April of  
24 this year, the committee basically agreed that  
25 there was no reason for the study to be unblinded

1 or the protocol to be modified.

2           So these studies are ongoing and we hope  
3 that they will provide a large body mass of  
4 information on the safety of rectal artesunate.

5           [Slide.]

6           This is not the only commitment. The WHO  
7 is committed to a plan of implementation if this  
8 drug is registered. Basically, there are several  
9 crucial issues that need to be addressed. It is  
10 committed to a controlled, phased introduction and  
11 deployment of this drug in five countries to  
12 appropriately understand how we can reach those who  
13 need these drugs most.

14           This controlled phased deployment will  
15 include extensive work in trying to train mothers,  
16 health workers and, in this case, in these  
17 settings, traditional healers to whom most of these  
18 cases ascend when they present with these  
19 conditions. These training programs and  
20 communication programs will ensure the correct use  
21 of this drug and also to make sure that patients  
22 are provided or are encouraged to have consolidated  
23 treatment after the emergency phase.

24           WHO is also committed to establishing  
25 postmarket registration surveillance to continue to

1 monitor the safety of this drug as it is  
2 implemented.

3 I think these two phases show a commitment  
4 not to just register drug and just leave it on the  
5 shelves but to make sure that this drug, after it  
6 is registered, is properly used for the indication  
7 for which we are asking the committee to review the  
8 dossier.

9 I will spend the last few minutes to  
10 remind you of the true situation in which these  
11 patients in which this drug will be used and the  
12 population for which we seek the registration.

13 [Slide.]

14 I think you have heard already about the  
15 burden, but let me remind you that these mothers  
16 who are sitting here with their kids, most of them  
17 might not see those kids live to age of five years  
18 in most malaria-endemic countries. So they are  
19 looking very bright and nice today, but the chances  
20 of losing these kids are very great.

21 Not only that, even if they die, most of  
22 them, nobody will know that they are dead.

23 [Slide.]

24 Most of these children die at home. Over  
25 90 percent of the cases with malaria die at home

1 and very few are seen in health facilities, just  
2 under 8 percent. I think this is the challenge we  
3 face in trying to control this disease.

4 [Slide.]

5 Not only that, when these people are sick,  
6 all these children are sick, most of them will be  
7 sent to traditional healers for any kind of disease  
8 at all. If you see the brown slide here, in this  
9 pie, about 24 percent of children are sent to  
10 traditional healers. But when it involves acute  
11 febrile illness, the percentage gets bigger. It is  
12 about 27 percent. It gets even bigger when we are  
13 talking about acute febrile illness with seizures  
14 where almost half of these kids will see a  
15 traditional healer for treatment.

16 [Slide.]

17 That is not the only problem. The major  
18 problem that these people face is that they really  
19 have a problem with geographical access to  
20 healthcare. Most people live several distances  
21 away from a health facility. In a district in  
22 Ghana, a huge part of the population live over 10  
23 kilometers away from a health facility. We will  
24 say, "Well, that is just 10 kilometers." But the  
25 problem is how do they get there.

1 [Slide.]

2 The best means of transport would be on a  
3 bicycle in most cases if they are fortunate.  
4 Unfortunately for them, if it is in the rainy  
5 season where malaria occurs most of the time, there  
6 might not be even roads.

7 [Slide.]

8 They might have a child who is having a  
9 serious condition like this and the whole place is  
10 flooded in the seasons where malaria is most  
11 common. So you can see the problems that these  
12 people have and the challenges that they face in  
13 trying to get care for their kids.

14 [Slide.]

15 I think I want to reemphasize the issue  
16 related to the fact that this is a disease that  
17 deteriorates rapidly. In a study in Bangladesh, 73  
18 percent of these children under six were found to  
19 deteriorate quickly to severe malaria and about 83  
20 percent within 48 hours. So this is a disease that  
21 has really grave consequences if there is no  
22 immediate intervention.

23 But if most of these people get to health  
24 facilities, what kind of infrastructure do you  
25 have?

1 [Slide.]

2 In my district, in northern Ghana, with a  
3 population of 160,000 people, we have a district  
4 hospital and maybe there will be only one doctor,  
5 three health centers. You can see the mass of  
6 traditional healers, 240. So, obviously, these are  
7 the people who will provide the services.

8 If you go to the facilities, those who get  
9 there form the bulk of the work that the health  
10 workers are tasked to try and deal with. Malaria  
11 is the major problem.

12 [Slide.]

13 Over half of these patients are  
14 outpatients with cases of malaria and half of the  
15 patients on the pediatric wards are certainly cases  
16 of severe malaria. I think this gives you a true  
17 picture of what is happening in these parts of the  
18 world.

19 Having said that, I think, in the last  
20 couple of years, there has been a concerted effort  
21 to try to deal with the burden of malaria. It is  
22 really a joy to see that we are increasing the  
23 tools that we can have to treat this disease.

24 [Slide.]

25 In the last couple of years, the World

1 Health Organization has endorsed full management of  
2 malaria as one of the key strategies to try and  
3 reduce the burden of malaria. There are several  
4 tools in trying to help the families in villages  
5 like this to address this problem. First, and the  
6 cardinal one, is prompt diagnosis and treatment.  
7 Invariably, we think mothers and people in the  
8 community can diagnose this disease early.

9           There is also a big push to try and look  
10 at the preventive measures of malaria both in  
11 infancy and in pregnancy and also the use of  
12 insecticide-treated bed nets to protect against  
13 malaria.

14           We look forward to including another tool  
15 which is rectal artesunate to prevent death in  
16 cases where we have severe malaria. If we are to  
17 do this effectively, we think there will be a big  
18 prize at the end of the day.

19           [Slide.]

20           Happy little children in endemic  
21 countries. Thank you very much.

22           DR. GOMES: Mr. Chairman, members of the  
23 advisory committee, this concludes our presentation  
24 and we would be prepared to answer questions.

25           DR. RELLER: Thank you, Dr. Gomes.

1 Dr. Bell?

2 DR. BELL: I want to commend the speakers  
3 for their very nice presentations and for the hard  
4 work that they are doing to address this terrible  
5 problem of malaria. My question is a rather basic  
6 one that has little to do with the scientific  
7 issues involved.

8 Malaria is a terrible problem overseas.  
9 The artemisinin in all their forms, intravenous,  
10 oral, rectal are widely available overseas. In  
11 some respects, therefore, I find the discussion we  
12 are having this morning to be a little strange.  
13 The indication that is being sought for approval by  
14 FDA in the United States is a very limited one to  
15 address a problem that does not exist in the United  
16 States.

17 We don't have babies who die of malaria  
18 before they can get to the hospital. What we do  
19 have are concerns about drug resistance that we  
20 would, perhaps, like to have this drug available to  
21 treat when they do get to medical care. We don't  
22 have to worry. We have facilities to give it  
23 intravenously but it would require, presumably,  
24 repeated dosing.

25 I guess my question is the FDA has

1 regulatory authority in the United States where  
2 this drug is not available. The FDA is being asked  
3 to approve the drug for single-dose rectal--to  
4 address a problem that doesn't exist in the United  
5 States. I guess I am wondering why that is. There  
6 must be some very clear reason that FDA approval is  
7 being sought for this indication that would only be  
8 applicable outside the areas of FDA jurisdiction.

9           Could you explain that please?

10           DR. GOMES: I will provide an initial  
11 comment on behalf of WHO but then I would like Nick  
12 White, perhaps, to take the nearest microphone and  
13 comment in terms of the indication as it applies or  
14 would potentially apply within the United States.

15           We are clearly here with a concern for a  
16 public-health issue that is global, not necessarily  
17 limited or of wider relevance within the United  
18 States. This is a group of compounds which,  
19 essentially, have not been taken to registration  
20 for the purpose between ten. So we took this  
21 submission of a dossier to the regulatory  
22 authorities that would have the highest level of  
23 review as a global health organization, ourselves.

24           So our purpose here is actually for the  
25 infants and children that are in malaria-endemic

1 countries but we do believe that this has an  
2 implication for the United States. I would like  
3 perhaps Nick White to comment on that in more  
4 detail.

5 PROFESSOR WHITE: The currently  
6 recommended treatment for severe falciparum malaria  
7 in the United States is quinidine gluconate. That  
8 was introduced following studies that we did in  
9 Thailand because the previously available  
10 treatment, quinine, was provided from the Centers  
11 for Disease Control and there were undue delays in  
12 getting the quinine out to the various parts of the  
13 United States where people returned and presented  
14 with severe malaria.

15 So quinidine became the treatment because  
16 essentially because of its availability. I will  
17 very briefly show you that.

18 [Slide.]

19 Quinidine is not a safe drug. It is very  
20 difficult to use. It has a very narrow therapeutic  
21 ratio. It requires intensive-care monitoring  
22 certainly which is available, as you quite rightly  
23 say. I think there are serious concerns over the  
24 dosage.

25 [Slide.]

1           This is the basis for your current  
2    recommendation, a study of fifteen patients,  
3    multicenter, multi-instance. It was a  
4    telephone-directed study from CDC. Only five of  
5    them received quinidine alone. Only two of those  
6    would have fulfilled WHO criteria for severe  
7    malaria. The other ten got quinidine plus exchange  
8    transfusion. The three deaths were associated with  
9    low blood concentrations.

10           [Slide.]

11           This was the original study we did in  
12    Thailand with a much higher dose, much higher blood  
13    concentrations.

14           [Slide.]

15           We don't actually know what the  
16    therapeutic range is for quinidine but, by  
17    extrapolation from quinine and the available  
18    evidence would suggest that the currently  
19    recommended dose is relatively low. But that is  
20    not my main point.

21           [Slide.]

22           My main point is that quinidine is  
23    increasingly unavailable. It is no longer widely  
24    used as an antiarrhythmic drug. Therefore, it is  
25    not stocked in pharmacies. Therefore, there are

1 delays and that now approximates the indication we  
2 are talking about. I think that there is a genuine  
3 possibility, if you are admitted in, I don't know,  
4 in Nebraska or Nevada or somewhere like that, your  
5 hospital would not have quinidine and there would  
6 be a delay, a potential lethal delay, instituting  
7 treatment. So I think there would be a strong case  
8 for each pharmacy having in their refrigerator ten  
9 artesunate rectal formulations.

10 DR. RAMIREZ: May I answer his question?

11 DR. RELER: Professor White, if you could  
12 stay close for a moment, there will be at least one  
13 more question.

14 Dr. Ramirez?

15 DR. RAMIREZ: Just to give you my point of  
16 view. Even though at the University of Louisville,  
17 in Kentucky, we have our Claver Clinic. During the  
18 years, I have seen, I would say, several families,  
19 all missionaries, that have been in the middle of  
20 Ghana, in the middle of Columbia, but mostly in  
21 Africa and I have been treating some of  
22 the--usually the fathers with malaria.

23 But they are in areas that they are 10  
24 kilometers from the medical center. This may apply  
25 to some American children. When I came here, the

1 only children that I can see that are going to be  
2 in the bush at 10 kilometers from healthcare are  
3 going to be children of missionaries.

4           Sometimes, people look at the FDA to say,  
5 "If it is approved by the FDA, I am going to give  
6 it to my family." If it is not approved, they have  
7 some questions. I don't see that any military is  
8 going to be--because, wherever there is the  
9 military, there is medical care. But I can see  
10 children of missionaries.

11           If you want to make a case, you want to  
12 see American children that may need this drug--at  
13 least, if the FDA has any other considerations. I  
14 also had your questions before coming to this  
15 meeting. That was my only answer, if there was any  
16 other issue if we need to address.

17           DR. RELER: Dr. Patterson.

18           DR. PATTERSON: Just to echo Dr. White's  
19 comments about I.V. quinidine, we recently had a  
20 case at our medical center of a returning traveler  
21 with severe malaria who had ventricular  
22 tachycardia, was put on amiodarone and really  
23 couldn't take I.V. quinidine and had to be treated  
24 with exchange transfusion. So it would have been  
25 much easier to give them rectal artesunate. So I

1 think there are cases where we would use it in the  
2 United States.

3 DR. RELLER: Dr. Bell, when the Epidemic  
4 Intelligence Service offices are dispatched to the  
5 far corners of the earth, Peace Corps workers, et  
6 cetera--Dr. Albrecht mentioned this earlier--what  
7 is currently in their kits for therapy until  
8 reaching appropriate medical care? I would like  
9 anyone who wants to comment on that and then I  
10 would pose the same question to Dr. White, what  
11 role, if any, might this be for those groups.

12 DR. BELL: Perhaps Dr. Parise knows the  
13 question about the Peace Corps, the answer to that.

14 DR. PARISE: What currently, as far as my  
15 understanding of the Peace Corps, they have is a  
16 drug for self-treatment. In most cases, that is  
17 Fansidar. In areas where there is Fansidar  
18 resistance, it would be malarone, I believe. But  
19 there is not any rectal or other--I mean, that  
20 would be for people who can take oral. That would  
21 be for people who can take oral.

22 PROFESSOR WHITE: So, did you say  
23 malarone?

24 DR. PARISE: I believe. What we are  
25 recommending here at CDC is that, in the areas

1 where there is too much Fasidar resistance like the  
2 Amazon, Southeast Asia and parts of East Africa is  
3 that people should take malarone as self-treatment.  
4 I believe Peace Corps is echoing that.

5 DR. RELER: We will let Professor White  
6 comment on this when we get all of those comments  
7 together. I ask, in particular, because of these  
8 issues, because of resistance to some of these  
9 agents that was pointed out earlier.

10 Dr. Archer and then we will hear Professor  
11 White.

12 DR. ARCHER: Actually, I have a question  
13 for Dr. Binka but maybe Dr. White can answer if you  
14 know the results of the study. In the operational  
15 studies that were conducted, so far, that are  
16 ongoing, one of the questions is the problem of  
17 misdiagnosis of malaria when, in fact, the patients  
18 that are severely ill have something else.

19 How many patients who were unconscious who  
20 had severe malaria in the operational study had  
21 another infection like meningitis or typhoid? Do  
22 you know those data?

23 DR. BINKA: There are four cases of  
24 meningitis out of the 3,000 that were confirmed.

25 DR. ARCHER: Were those initially

1 diagnosed as malaria or were they recognized as  
2 bacterial meningitis?

3 DR. BINKA: The diagnosis was mainly for  
4 people getting the studies not on the basis of the  
5 diagnosis of malaria but the condition that they  
6 cannot take anything orally and they are febrile.  
7 So there it is not a diagnosis of malaria. It is a  
8 clinical diagnosis.

9 DR. ARCHER: So you really couldn't  
10 confirm.

11 DR. BINKA: Yes; in most of those  
12 situations, we don't confirm the diagnosis. We use  
13 the clinical diagnosis of malaria.

14 DR. GOMES: Can I just clarify that point?  
15 All of the children of patients who would have been  
16 non per os, which is the basic criteria for entry  
17 into the study, and likely to be the way in which  
18 it happens in reality, would have had a blood smear  
19 taken. It is not read and cannot be read at the  
20 time they are recruited. They would, following  
21 treatment, have been referred to a hospital. At  
22 the hospital level, a diagnosis would be made as to  
23 the attributable cause of the illness and, in four  
24 of those patients, there would be meningitis.

25 If your question is reaching to a broader

1 issue which is what is the probability that  
2 patients exposed to the drug would have another  
3 underlying cause of the disease, this is a general  
4 issue. Fred can probably answer this very well,  
5 but in malaria-endemic areas where you would have  
6 very high inoculation rates, there would be two  
7 coinfections. One would be acute respiratory  
8 infections and the other would be malaria.

9           Within the WHO, we had gone to a great  
10 deal of trouble to try to separate the two causes,  
11 both clinically and parasitologically. It is very  
12 difficult, even for a very trained pediatrician to  
13 separate the two courses. It is complicated by the  
14 fact that you may have acute respiratory infection  
15 but you will have parasites as well. But we are  
16 dealing essentially with the vast majority who  
17 would have malaria but may have another infection.  
18 They would be treated for malaria with this  
19 particular drug.

20           Some of them, however, a small proportion,  
21 might have meningitis. The issue before us would  
22 be that we don't have an alternative for the  
23 children that do have malaria and have parasites  
24 and for whom parasites would be on board in any  
25 case. The likely prospect would be that if you

1 give the drug, the patient would reach the  
2 hospital. If they hadn't responded, then the  
3 hospital would treat the patient such as in the  
4 case of the children that have meningitis. But the  
5 vast majority of the children would have malaria.

6 DR. ARCHER: Just one follow up while you  
7 are at the microphone. A question on the cerebral  
8 malaria and the possibility that cerebral malaria  
9 might mask neurotoxicity of this drug in some of  
10 the studies, is that a possibility? Is there  
11 enough overlap that you might have missed some of  
12 the toxicity?

13 PROFESSOR WHITE: It is very difficult to  
14 be absolutely categorical, but the neurotoxicity in  
15 the animals is irreversible. The studies of  
16 Professor Folb shown with artemether, I think, is  
17 the most illustrative because that is, by far,  
18 approximately six times more neurotoxic,  
19 intramuscular artemether. That is a very large  
20 database of evidence. Specifically, the studies we  
21 did in Viet Nam, which was a 600-patient study,  
22 about half of them receiving artemether, we looked  
23 very carefully for any of the tell-tale signs.

24 Every patient on discharge had audiometry  
25 and a full neurological examination. There wasn't

1 a hint of any of the aberrances that have seen in  
2 mice, rats, adults and monkeys. So I can't be  
3 absolutely categorical and say there isn't a  
4 transient effect, but it is not detectable.

5           Also, if you look at the--we do four  
6 hourly full neurological examinations in these  
7 patients. In the double-blinded study, there was  
8 absolutely no difference in the evolution of  
9 neurological symptomatology, signs and  
10 symptomatology, in target patients who received  
11 artemether and quinine, the only difference being  
12 slightly faster recovery in the patients who  
13 received artemether.

14           So there is no suggestion. But I can't be  
15 absolutely categorical.

16           DR. RELLER: What is known, if anything,  
17 about the interaction between those patients who  
18 have malaria and the neurotoxicity of these  
19 compounds? Are there any primate studies that  
20 address this of primate malaria with and without  
21 graded doses of artesunate?

22           PROFESSOR WHITE: I know there is somebody  
23 in the audience who knows a lot more about this  
24 than I do but there are studies going on at the  
25 moment. But, to my knowledge, there are not good

1 data to look at that interaction.

2 DR. RELLER: Before taking other  
3 questions, I would like to return to several that  
4 were related to your views on the potential role of  
5 rectal artesunate for therapy in groups apart from  
6 the recognized primary beneficiary, namely children  
7 in impoverished and rural distant areas away from  
8 healthcare, but the potential ancillary use in  
9 selected other populations, be it workers abroad  
10 from various backgrounds and for various roles.

11 PROFESSOR WHITE: I think that the  
12 artemisinin derivatives in whichever form you can  
13 get them are the drug to have if you are away from  
14 medical attention and you are ill because of the  
15 rapidity of action and the fact that you don't get  
16 vomiting and so on.

17 Now, the specific role of rectal  
18 artesunate hasn't been assessed as a standby  
19 treatment, but the oral drugs are widely used as  
20 standby treatments. I think they do have a very  
21 important role particularly in that group of people  
22 who are becoming sick.

23 If you can take malarone, for example, you  
24 have uncomplicated malaria, that's fine. There has  
25 never been a good population PK study with

1 malarone, interestingly. The one that was done  
2 didn't address severity. But if we borrow from  
3 data with mefloquine, which would be the  
4 alternative, it is quite clear that you malabsorb  
5 mefloquine in proportion to your disease severity.  
6 I would have grave concerns with malarone, a  
7 lipophilic drug, in somebody who is developing  
8 severe malaria. I think the absorption of that  
9 would be unpredictable.

10           It is an excellent antimalarial drug. It  
11 is effective against parasites throughout the  
12 world. But I would be concerned about taking that  
13 drug. So I think there is a specific benefit for  
14 these drugs in people who are becoming severely  
15 ill. While I am speaking, I don't think that that  
16 provides a resistance generator as some people have  
17 said. I think that the proportion of people  
18 involved is so small that it doesn't impinge on  
19 resistance.

20           But this is speculative and it has not  
21 been formally assessed.

22           DR. RELLER: Dr. Sumaya and then Dr.  
23 Cross.

24           DR. SUMAYA: My question relates back to  
25 the issue on a population studied in the intended

1 population for use. You had data, in some of the  
2 literature I was reading on this, on children but I  
3 was still unclear how many children under the age  
4 of five, for example, have been studied in the age  
5 brackets one, two, three, four and five. I'm sure  
6 you have that data but it wasn't presented. I  
7 think it would be very useful to have that, not  
8 only for efficacy but certainly for safety.

9           Secondly, related to that, outside of the  
10 U.S., presumably, in poor populations,  
11 transportation problems, rural areas, who is the  
12 intended prescriber? Who would be the intended  
13 prescriber of this rectal suppository? Would this  
14 be the traditional worker? Would this be, as you  
15 had a slide on home management, somebody within the  
16 family, or would it be in a more clinical setting?

17           DR. GOMES: In relation to your first  
18 comment, we do have an age breakdown of the  
19 patients. One of the trials is in Bangladesh where  
20 the majority of people who are exposed to malaria  
21 happen to be adults. So a large proportion of what  
22 you saw in the 3,366, from just that study, would  
23 be adults.

24           But, on the African continent, because of  
25 the studies in Tanzania and Ghana, we are finding

1 that a significant proportion of the people who are  
2 recruited into these trials in the real-life  
3 situation tend to be under 24 months.

4           The irony of the development of this drug  
5 is we wanted to be able to do the initial work in  
6 conditions in which we could manage the patients  
7 very carefully and, therefore, we obviously did all  
8 the phase-3 studies and the phase-2 studies in  
9 hospitals. The inclusion criteria were very broad.  
10 They were six months upwards. But, clearly, the  
11 patients that we saw in the hospital-based studies  
12 in terms of age don't represent what happens in the  
13 community in terms of age.

14           I think it was Fred Binka that stated that  
15 many of the children die and you may never see them  
16 in the statistics. So, what we find in the  
17 community-based studies in this one that has been  
18 referred to is we see a much younger population  
19 than we ever see in hospitals. So, although we are  
20 not asking for registration for children below 24  
21 months because we have very few that we have  
22 studied and, therefore, have been submitted in the  
23 dossier, we are, therefore, asking for registration  
24 for children above 24 months.

25           We have in place--we have had to produce a

1 smaller dosage form for children under 24 months  
2 and we have in place studies that would address  
3 this issue for the age, the pediatric age, under 24  
4 months.

5 But, since your question was phrased what  
6 proportion, I just want to tell you that, in  
7 reality, what happens is we get a much younger  
8 population that has never before been described.

9 If you want further details on the age  
10 breakdown, we can provide them, but we would be  
11 able to provide them, perhaps, later.

12 DR. RELLER: Dr. Cross?

13 DR. CROSS: You showed us neurotoxicity  
14 data in mice and rats. I am just wondering whether  
15 any of those studies have included a different  
16 range of ages of those animals; for example, have  
17 you looked at the potential neurotoxicity in very  
18 young or newborn rodents or, as a measure of its  
19 potential, have you even looked at its potential  
20 effect on the developing nervous system in  
21 experimental animals?

22 PROFESSOR WHITE: While Professor Folb is  
23 coming to the microphone, I will mention about the  
24 pregnancy experience. In Thailand, we don't have  
25 such multidrug-resistant parasites that we are

1 forced to use these derivatives in pregnancy having  
2 no alternatives.

3 If you can show that.

4 [Slide.]

5 We basically prospectively followed up all  
6 the children born to women who were exposed at any  
7 stage in their pregnancy to an artemisinin  
8 derivative with a standardized and validated full  
9 neurological assessment at birth and developmental  
10 milestones. The bottom line is we may not be able  
11 to find it in time, but there is absolutely no  
12 evidence of any difference between those children  
13 and the other children.

14 I can say that in experiments that we did  
15 in mice, we did not specifically address young  
16 mice.

17 PROFESSOR FOLB: We don't have the  
18 experimental data on very young rodents or other  
19 experimental animals. We are not in a position to  
20 answer that part of your question.

21 [Slide.]

22 We can draw on human experience, in  
23 particular the work of Dr. McGready and colleagues.  
24 Here is a study of pregnancy outcomes in women  
25 treated with artemisinin compared with those not

1 treated with artemisinin, compared with the  
2 community in general. You will note that the  
3 incidence of spontaneous abortion, stillbirth,  
4 congenital abnormality, gestational age at delivery  
5 and low birth weight do not appear to be  
6 meaningfully different. That is the best source of  
7 information on which we can draw to give you some  
8 assurance about the safety of the unborn child.

9 DR. RELLER: Dr. Ramirez?

10 DR. RAMIREZ: Some questions regarding the  
11 drug, itself, because my interpretation seems to be  
12 that this will be the mother or the traditional  
13 healer giving this drug to a child. I imagine that  
14 you have to distribute this drug almost to all  
15 households to have the drug available. What do we  
16 know about the stability of the drug and what do we  
17 know about--do we have an expiration time?

18 Also, this concept of 10 milligrams per  
19 kilogram, and then you have to have suppositories  
20 for different milligrams, that this is going to be  
21 extremely difficult for a mother to figure out, at  
22 four years old. I don't know if you even know the  
23 weight or the kilograms.

24 DR. GOMES: I realize that we have not  
25 completed our response because you had asked who,

1 in fact, would be prescribing or making the drugs  
2 available. So it is a good point. Our approach,  
3 the onset, to it is that we have not formulated  
4 exactly how it would be delivered. In the  
5 conditions in which we are doing the work, it is  
6 what we refer to as Study 013 and the operational  
7 studies, these are given by field workers.

8 This would be the basic indication for  
9 delivery of the drug, would be that the child  
10 cannot, or the patient cannot, take drugs by mouth.  
11 There are several ways in which they are assessed  
12 in terms of suspected malaria during the malaria  
13 season, and so forth. In those trials, we have an  
14 age group. The majority of patients, as I said,  
15 have essentially come below the age of five. In  
16 those cases, when we have only one suppository now  
17 that is for pediatric use which is 100 milligram,  
18 the children that are recruited, we gave one  
19 suppository and it averages at 10 milligrams per  
20 kilogram if you are above 9 or 8 kilograms and  
21 below the age of two years.

22 So either if you have a weight or age, you  
23 can work within that group to get the dosing around  
24 10 milligrams per kilogram. But we would like to  
25 become much more accurate, particularly for the

1 younger child. So, as I referred to earlier, we  
2 would like to include a 50 milligram suppository  
3 that includes the age range from about three months  
4 to about a year old so that they have a much more  
5 targeted dose that is given to that age group.

6 DR. RELLER: Do you have any issues to  
7 maintain this drug at room temperature--

8 DR. GOMES: Not so far. In terms of the  
9 stability, no. We have taken a great deal of  
10 advice from the review team of the Food and Drug  
11 Administration in terms of the packaging that we  
12 must make the drug available. Our intention is to  
13 have at least a two-year stability for the tropical  
14 conditions that we, of course, have in mind.

15 So we have put the drug in that packaging  
16 for that period of time to be able to examine the  
17 conditions. We are talking about, of course,  
18 conditions that are not only hot but humid at the  
19 time the drug would be used.

20 DR. RELLER: Dr. Shapiro?

21 DR. SHAPIRO: I had two points. The first  
22 one is that the artemisininins are arguably the only  
23 class of antimalarials we have for which there are  
24 not drug-resistant parasites recognized. I can't  
25 think of a better scenario for selecting

1 drug-resistant parasites than to give a  
2 subtherapeutic dose of a single agent to people  
3 with immature immune responses who are teeming with  
4 parasites. To me, that is the ideal recipe for  
5 selecting resistant parasites.

6           The second point is human nature being  
7 what it is, if one is treated for malaria and has  
8 symptomatic improvement, the incentive for getting  
9 in the boat and getting to the healthcare center is  
10 very much reduced. If people can't get there when  
11 the child is dying, they are certainly not going to  
12 get there when the child is better.

13           So it would seem that this temporizing  
14 measure perhaps may provide time for people to get  
15 to the hospital but, perhaps, will prevent people  
16 from going to the hospital and may result in just  
17 repeated doses whenever the parasitemia rises above  
18 a threshold of concern.

19           That scenario plays into both the issue of  
20 resistance and the issue of safety; that is to say,  
21 repeated subtherapeutic doses.

22           PROFESSOR WHITE: I quite agree with you.  
23 You are quite right. This is the ideal way to  
24 induce resistance. Therefore, it is incumbent on  
25 us to try and do everything we can to educate

1 people on the need to provide an adequate  
2 treatment. I think I will ask Fred to speak to  
3 that, but our approach is, as Fred explained, an  
4 integrated approach. But you are quite right. It  
5 is a major concern.

6 DR. BINKA: I think you are quite right.  
7 This is a major concern to everybody but the  
8 direction now is to try and make sure that when  
9 drugs are developed, they are developed in such a  
10 way that we take into consideration those who are  
11 going to use them. There is an increasing push to  
12 try and make sure that we can package these drugs,  
13 like in the previous question, and make sure that  
14 the mothers can differentiate between the different  
15 weights and the amount of drug they have to give  
16 them.

17 So, if they are packaged and labeled  
18 appropriately, showing whether a very young child  
19 or a middle-aged child, the mothers are able to  
20 read these pictures and are able to use this  
21 appropriately. This has been ongoing. In fact,  
22 currently, the regular antimalarial drugs that are  
23 prescribed in most of the countries, WHO is  
24 seriously advising countries to package these drugs  
25 in such a way that illiterate mothers can

1 appropriately decide on the dose that is supposed  
2 to be given and to appropriately administer the  
3 drugs.

4 So, yes; these are some of the issues why  
5 the plan is to have a phased implementation and to  
6 address some of these issues that are truly there.  
7 But I think this can be overcome.

8 DR. RELLER: There will be much time for  
9 discussion this afternoon so, because we are at the  
10 time of our break, we will take brief questions  
11 from Dr. Patterson, Dr. Bell and then that's it for  
12 before the FDA presentation and our break.

13 Dr. Patterson?

14 DR. PATTERSON: The briefing document  
15 indicates that the drug is metabolized by the  
16 liver. In the clinical studies or in clinical  
17 experience, is there any evidence of hepatotoxicity  
18 and should the drug be adjusted in patients with  
19 liver disease?

20 PROFESSOR WHITE: That is a good question.  
21 Artesunate is very readily hydrolyzed in neutral pH  
22 to the dihydroartemisinin which is the main  
23 biologically active metabolite. And then the main  
24 route of elimination of the dihydro appears to  
25 glucuronidation which is impaired in liver

1 dysfunction.

2           We have looked at--we have got  
3 pharmacokinetic data which are not published and  
4 you have not before you and, therefore, I can't  
5 really comment, but I can tell you that there isn't  
6 a relationship between liver dysfunction, per se.  
7 But there is certainly a relationship between  
8 overall disease severity measured in terms of  
9 metabolic acidosis, renal impairment and so forth  
10 and reduced clearance.

11           But the inter-individual variability  
12 actually is greater. So the intrinsic  
13 inter-individual variability in pharmacokinetics is  
14 greater in the disease effect on contraction volume  
15 and distribution and reduction and clearance.

16           Finally, we haven't found any side effects  
17 so we haven't got any side effects to relate to  
18 anything.

19           DR. RELLER: Dr. Bell?

20           DR. BELL: I would like to ask the  
21 presenters if they could to expand their comments  
22 on the safety and efficacy of repeated dosing.  
23 Again, I am trying to think of the problems we face  
24 in the United States and how this drug is actually  
25 likely to be used.

1           I attend, sometimes, on the pediatric  
2 infectious-disease service at Emory University. I  
3 take the point about the difficulties in acquiring  
4 quinine. In my experience, we do have a rare case  
5 of cerebral malaria but it is much more common to  
6 get a sick infant who is febrile, anemic and we  
7 don't know the drug-resistance profile of the  
8 parasite.

9           I suspect the principal advantage here is  
10 going to be that there is very low resistance so  
11 far to the artemisinins and so it is likely that  
12 this drug will be given once, followed by clinical  
13 improvement. Then, the question is going to be,  
14 "Then what?" I suspect there will be almost  
15 irresistible pressures for repeated off-label  
16 dosing because you can't argue with success.

17           You have clinical improvement. What is  
18 your experience with this in the field in terms of  
19 its safety and efficacy? When do you switch to  
20 what? I know this isn't the indication that is  
21 being sought but, realistically, this is the  
22 problem that we have in the United States and this  
23 is how it is likely to be used.

24           So, could you talk a little bit more about  
25 the hazards to the extent of what is known about

1 repeated dosing and how would you use this? What  
2 would you switch to and when?

3 PROFESSOR WHITE: First the benefits and  
4 then the risks. I actually think that the benefit  
5 is the rapidity of action. That is so apparent to  
6 the consumers in endemic areas that there have been  
7 tremendous problems with fake drugs. So,  
8 basically, you get better a day quicker, go back to  
9 work, back to school, a day quicker than any other  
10 drug. So that, to me, is their great benefit.

11 Certainly, the fact that you don't have to  
12 think about resistance is an advantage but I think,  
13 operationally, it is the rapidity of the action.

14 What do you do next? This drug is to stop  
15 the person dying. They then have to have a full  
16 course of antimalarial treatment, whatever the  
17 national recommended program, whatever is  
18 available. What do we do? In Thailand, where we  
19 have multidrug-resistant malaria, we continue with  
20 oral artemisinin in combination with Mithracin. It  
21 is whatever you have available, but it must be a  
22 full course of treatment. Otherwise we are going  
23 to return to the scenario of selecting for  
24 resistance. Outside endemic areas, resistance  
25 selection is not an issue but, in practice, you

1 give the full course of treatment.

2           Repeated treatment, well, that is what  
3 people will get. That is what everybody has in  
4 endemic areas because of the frequency of  
5 infection. But, as Professor Folb has shown, we  
6 haven't been able to show any adverse effects  
7 associated with that, either in terms of toxicity  
8 or induction of resistance.

9           DR. RELLER: Thanks to the WHO and the  
10 committee members for a rigorous discussion. We  
11 will return in fifteen minutes at twenty minutes  
12 before the hour of 11:00 to hear the FDA  
13 presentation.

14           [Break.]

15           DR. RELLER: Dr. Leonard Sacks will begin  
16 the FDA's presentation of rectal artesunate.

17                           FDA Presentation

18           DR. SACKS: Good morning.

19           [Slide.]

20           I am Leonard Sacks. I am a medical  
21 officer in the Division of Special Pathogens. What  
22 I will be doing during the next half hour or so is  
23 reviewing the clinical efficacy of rectal  
24 artesunate as evidenced in this submission.

25           [Slide.]

1           Before progressing, I just want to  
2 acknowledge the excellent help from the rest of my  
3 colleagues in the review team and several other  
4 members of the Division who are not listed on the  
5 slide.

6           [Slide.]

7           I am going to spend a short time recapping  
8 some of the background information which, at this  
9 point, has been very adequately covered by the  
10 previous presenters.

11          [Slide.]

12          Just a word about the rationale for  
13 product development. I think it has been  
14 adequately addressed that malaria carries a very  
15 high mortality, especially in children. This is  
16 largely due to delays in effective therapy.  
17 Malaria patients are often unable to take orally  
18 and this may be the result of cerebral involvement.  
19 It may be the result of the fact that many of these  
20 patients are vomiting.

21          Finally, parenteral therapy is not  
22 available in the bush.

23          [Slide.]

24          The goal of a the applicant, in this  
25 application, was to develop an effective

1 antimalarial that can be administered rectally that  
2 serves as an emergency treatment until definitive  
3 therapy can be reached and, finally, that decreases  
4 malaria mortality and morbidity.

5 [Slide.]

6 The indication, as provided by the  
7 applicant--I will read it out to you. We have  
8 covered it in previous slides. The indication is  
9 for the initial management of acute malaria in  
10 patients who cannot take medication by mouth and  
11 for whom parenteral treatment is not available. In  
12 the label, there is additional information  
13 suggesting that treatment with rectal artesunate  
14 must be supplemented and/or followed by effective  
15 oral or parenteral drug therapy for malaria as soon  
16 as possible.

17 [Slide.]

18 The product that was chosen to satisfy  
19 these objectives was rectal artesunate. The  
20 question is whether this is a suitable candidate.  
21 This is just a brief recap of some of the issues in  
22 favor and against the product. Artesunate is an  
23 artemisinin derivative. We know that artemisinin  
24 products are very potent antimalarials. They have  
25 been used with a lot of success in areas of

1 drug-sensitive and drug-resistant *P. falciparum*  
2 malaria.

3           The downside is that they have a short  
4 half life. They have been associated with  
5 recrudescences of infection and they carry the  
6 potential for some neurotoxicity.

7           [Slide.]

8           I want to digress briefly and just to make  
9 a few remarks about the clinical pharmacology of  
10 rectal artesunate. Artesunate, as we have heard  
11 earlier, is rapidly biometabolized to  
12 dihydroartemisinin. Dihydroartemisinin is also an  
13 active agent against *P. falciparum*.

14           When we look at the pharmacokinetics of  
15 these products in healthy volunteers, given a  
16 single 400-milligram dose, the T<sub>max</sub> for both  
17 products is somewhere between 2.5 and 3.5 hours.  
18 The C<sub>max</sub> for the parent compound and for the  
19 principal metabolites is similar. Note that the  
20 elimination half-life is less than three hours for  
21 both of these moieties.

22           [Slide.]

23           In the course of the product development,  
24 the formulation that was used in the clinical  
25 trials and the formulation that is to be marketed

1 were different.

2 [Slide.]

3 Attempts were made to establish the  
4 bioequivalence between the formulation in clinical  
5 trials and the formulation to be marketed. A  
6 bioequivalence study, Study 009 in the submission,  
7 was performed in healthy volunteers. This study  
8 failed to satisfy the regulatory requirements for  
9 bioequivalence.

10 A couple of comments on the results of  
11 this study. First of all, the point estimates of  
12 the area under the curve and for the Cmax for the  
13 clinical-trials product and the to-be-marketed  
14 product were similar. But the problem was that the  
15 90 percent confidence intervals around these point  
16 estimates were too wide for the regulatory  
17 requirements.

18 This was partly ascribed to the fact that  
19 there was variability due to difficulties,  
20 technical difficulties, in the measurement of both  
21 artesunate and dihydro artemisinin in plasma.  
22 There was a wide range of inter- and intrasubject  
23 variability both in absorption, distribution, m  
24 metabolism and elimination. Finally, given all  
25 these variables, the study left adequate power to

1 demonstrate tighter confidence intervals.

2           To address these concerns, the applicant  
3 performed an equivalence study with clinical  
4 endpoints, Study 014 in the submission. This was  
5 performed in malaria patients. This study showed  
6 similar parasite clearance of 24 hours in patients  
7 treated with the product used in clinical trials  
8 and in patients treated with the product to be  
9 marketed.

10           [Slide.]

11           In taking all these issues into account,  
12 we addressed the totality of the data. We were  
13 aware and cognizant of the technical difficulties  
14 and the intrasubject variability in the measurement  
15 of bioequivalence study. We took into account the  
16 satisfactory clinical perforation of the  
17 to-be-marketed product in Study 014 and we viewed  
18 this in the context of its potential use for a  
19 life-threatening illness where really no  
20 alternative therapy is available in that particular  
21 setting.

22           [Slide.]

23           To return to our theme of efficacy, the  
24 applicant was faced with the challenge to develop  
25 appropriate clinical studies. The underlying

1 scientific question was as follows: prior to a  
2 definitive treatment, is the emergency use of a  
3 single dose of rectal artesunate more effective  
4 than no treatment in reducing malaria morbidity and  
5 mortality.

6 [Slide.]

7 To address this question, there were  
8 several practical challenges. I am going to go  
9 through a couple of them. Firstly, given the high  
10 mortality from untreated malaria and the dangers of  
11 delaying effective therapy, treatment cannot be  
12 clinically withheld for the first 24 hours if  
13 effective therapy is available.

14 So, it was for these reasons that the  
15 clinical trials submitted in this NDA have employed  
16 active comparators. In the studies in this NDA,  
17 provisions are made for the rescue of patients  
18 showing an unsatisfactory clinical or  
19 parasitological response. We should bear in mind  
20 that, while these studies do not directly address  
21 the advantages of rectal artesunate over no  
22 treatment, they do give a relative idea of the  
23 efficacy versus the standard of care.

24 I have added a point here about Study 013  
25 which was mentioned earlier. This is a trial which

1 is currently underway to investigate the product  
2 under conditions that more closely reflect the  
3 intended use. This trial has not been submitted to  
4 the FDA and will not be reviewed as part of this  
5 efficacy overview.

6 [Slide.]

7 There are other problems in modeling the  
8 projected use. I have listed them in two columns  
9 here. Firstly, in the clinical studies in this  
10 NDA, most of the participants in the trials lived  
11 in malaria-endemic areas and they had some degree  
12 of malaria immunity. We anticipate that, in  
13 projected use, this may be used in U.S. travelers,  
14 in U.S. military recruits, in Peace Corps  
15 participants as well as in residents of  
16 malaria-endemic areas. So there is likely to be a  
17 spectrum of malaria immunity in the projected use.

18 In the clinical studies, the diagnosis was  
19 confirmed on smear before entry into any of the  
20 regimens whereas the diagnosis will not be  
21 confirmed before treatment in the field. There  
22 were entry criteria in the clinical studies which  
23 determined that patients coming into study were  
24 diagnosed with moderately severe malaria whereas we  
25 anticipate that all degrees of severity will be

1 seen in the clinical setting.

2 Patients were hospitalized in all the  
3 clinical trials and they will not be hospitalized  
4 in the clinical setting at least until they get to  
5 definitive care.

6 [Slide.]

7 Ancillary treatment was provided to all  
8 patients in the clinical studies as needed. This  
9 may have included fluids, glucose, anticonvulsants  
10 and antipyretics whereas clearly ancillary  
11 treatment in the field will not be available.

12 Suppository retention was supervised in  
13 these studies whereas retention may be supervised  
14 in the field depending on the abilities and the  
15 cooperation of family members. Patients failing on  
16 parasitological grounds in these studies were  
17 rescued with other antimalarial therapy whereas no  
18 rescue would be available during emergency therapy  
19 in the field.

20 Finally, definitive therapy was provided  
21 to all study participants at 24 hours whereas, in  
22 the field, we anticipate that access to definitive  
23 treatment will depend on the local infrastructure.

24 [Slide.]

25 There was also another question in the

1 selection of suitable endpoints. The first problem  
2 is although the object is to reduce mortality,  
3 mortality is really not a realistic endpoint  
4 because deaths are very rare in patients with  
5 moderately severe malaria who are properly treated.  
6 So we have been left with a number of alternative  
7 endpoints to consider. I have listed the most  
8 important of these.

9           Firstly, the response in the degree of  
10 parasitemia after drug therapy. Second of all,  
11 clinical responses to drug therapy. Finally, an  
12 overall evaluation of the success of the regimen in  
13 terms of recrudescence rates.

14           [Slide.]

15           I am going to move on now to review some  
16 of the studies of efficacy in NDA 21-242 in a  
17 little bit more detail.

18           [Slide.]

19           This is just a quick overview of the  
20 studies which were regarded as supportive of  
21 clinical efficacy. There were three studies which  
22 we designated pivotal studies, 005, 006 and 007.  
23 These were comparative, randomized and unblinded  
24 studies and they employed the projected dose of the  
25 drug for the first 24 hours given alone.

1           There was a bioequivalence study which we  
2 have spoken of a little earlier, 014, which  
3 compared three formulations of rectal artesunate  
4 used in the projected dosing regimen.

5           [Slide.]

6           There were two other supportive studies  
7 which were primarily biopharmaceutical studies, or  
8 pharmacokinetics studies, 003 and 004. These were  
9 crossover dose-escalation studies comparing rectal  
10 and intravenous artesunate given sequentially over  
11 periods of twelve hours.

12           Finally, there were a couple of additional  
13 studies which were previously published and  
14 reanalyzed by the sponsor, Studies 010, 011 and  
15 012. These studies employed twice the recommended  
16 dose and they did not, in our view, support the  
17 efficacy of the projected dose. I will not review  
18 these further.

19           [Slide.]

20           This is an overview of the three pivotal  
21 studies, the first performed in Thailand, 005, the  
22 second in Malawi, 006 and the third in South  
23 Africa, 007. As you can see, in the experimental  
24 arm, the regimen was the same in all three studies.  
25 All patients received a single dose, 10 milligrams

1 per kilogram, approximately, of rectal artesunate  
2 given alone for the first 24 hours of therapy.

3 The comparator, in the case of the Thai  
4 study, was oral artesunate, again given as a single  
5 dose for the first 24 hours. In the Malawian and  
6 South African study, the comparator was three doses  
7 of quinine given parenterally over the first 24  
8 hours.

9 After the first 24 hours, a consolidation  
10 regimen was given, or definitive therapy, was given  
11 in each of the three studies. Notice that in the  
12 Thai study, the consolidation regimen incorporated  
13 several sequential doses of oral artesunate plus  
14 two doses of mefloquine. In the Malawian study,  
15 the follow-up therapy was a single oral dose of  
16 sulfadoxine/pyrimethamine. Parenteral quinine  
17 could be given if patients were not yet able to  
18 take orally, and the same effectively applied in  
19 the South African study where the consolidation  
20 therapy was oral sulfadoxine/pyrimethamine given as  
21 a single dose.

22 [Slide.]

23 In this next slide, I want to mention some  
24 comments on the study drugs. First of all,  
25 quinine, which, as you may remember, was the

1 comparator used in the Malawian and the South  
2 African studies. Quinine is generally given as a  
3 course for seven days in the treatment of malaria.  
4 Most would regard 24 hours as inadequate on its own  
5 and almost certainly likely to result in  
6 recrudescences.

7 Sulfadoxine/pyrimethamine, which was the  
8 consolidation therapy used in the South African and  
9 Malawian studies, is a long-acting agent. It is  
10 given as a single dose. But  
11 sulfadoxine/pyrimethamine resistance is high and  
12 exceeds 60 percent in many parts of Africa.

13 [Slide.]

14 As far as mefloquine goes, mefloquine is a  
15 long-acting agent, has a very long half-life. It  
16 may be given as a single dose and it has been used  
17 very effectively together with artemisinin for the  
18 treatment of drug-resistant malaria in areas of the  
19 world where this is prevalent.

20 [Slide.]

21 This is just a brief overview of the  
22 inclusion and exclusion criteria, to give you some  
23 idea of the population in the clinical studies.  
24 The noteworthy points here are that in the Thai and  
25 the Malawian studies, children were recruited. In

1 the South African study, the recruited population  
2 were adults.

3 In two of the studies, there was a  
4 requirement for a minimal eligible parasitemia with  
5 *P. falciparum*, greater than 4 percent,  
6 approximately, in the Thai studies, greater than  
7 0.4 percent in the Malawian study. There was no  
8 such criterion in the South African study.

9 There were some clinical criteria in two  
10 of these studies. In the South African and the  
11 Malawian study, patients had to be unable to eat or  
12 drink. As far as exclusion criteria go, for  
13 obvious practical reasons, diarrhea was an  
14 exclusion criteria. Previous antimalarials in the  
15 24 hours before therapy were also an exclusion  
16 criterion.

17 Attempts were made to exclude patients  
18 with severe or complicated malaria based on the  
19 presence of the factors I have listed here;  
20 acidosis, severe anemia, jaundice, bleeding, shock,  
21 decreased consciousness, et cetera.

22 Patients were also excluded if they had  
23 excessive levels of parasitemia, either greater  
24 than 20 percent in the Thai study or greater than  
25 10 percent in the South African and Malawian study.

1 I just want to mention before going on to the next  
2 slide that the South African study also  
3 incorporated another arm which attempted to look at  
4 patients with severe and complicated malaria. In  
5 this particular arm, all the patients were to be  
6 given quinine together with or without concurrent  
7 artesunate.

8           For this reason, the fact that all  
9 patients were treated with another effective  
10 antimalarial medication, we regarded this as not  
11 valid evidence of the efficacy of rectal artesunate  
12 alone, so I am not going to discuss that section of  
13 the study in any more detail other than to say  
14 that, among those complicated patients, there were  
15 three deaths that were probably due to malaria and  
16 they will be addressed later in the safety  
17 discussion presented a little bit later.

18           [Slide.]

19           The baseline characteristics of the study  
20 patients: first of all, study numbers. We see that  
21 there were 46 patients in the artesunate arm of the  
22 Thai study, 87 in the artesunate arm of the  
23 Malawian study. This was the biggest study. 27 in  
24 the South African. Comparator numbers were  
25 substantially smaller, 17 in the oral artesunate

1 arm of the Thai study, 22 in the quinine arm of the  
2 Malawi study and eight in the quinine arm of the  
3 South African study.

4           The mean age; children, again in the Thai  
5 study and the Malawian study, adults in the South  
6 African study, a slight preponderance of male  
7 patients across the board. Entry parasitemias,  
8 again, with *P. falciparum* in the artesunate arm.  
9 First of all, in the Thai study, entry parasitemias  
10 were the highest. These were the median counts,  
11 245,000 in the artesunate arm, 376,000 in the  
12 comparator arm.

13           I have to add that these were  
14 statistically significantly different, the median,  
15 or at least the parasitemia, in the comparator arm  
16 was higher. Slightly lower parasite counts on  
17 entry in the Malawian study, 183,000 to 230,000.  
18 These were not significantly different and even  
19 lower counts in the South African study of 51,000  
20 and 58,000.

21           I have included the platelet counts here  
22 just as an indication of the disease severity.  
23 Suffice it to say, there were no statistically  
24 significant differences between the arms in terms  
25 of the parasite in each of the three studies.

1 [Slide.]

2 I wanted to mention the criteria for  
3 rescue therapy within the first 24 hours. This was  
4 during the first 24 hours when rectal artesunate  
5 had been given alone. If the parasite density,  
6 after the first twelve hours of observation, had  
7 not fallen to below 60 percent of the baseline  
8 count, then patients were eligible, in some of the  
9 studies, for alternative antimalarial therapy.

10 If there was frank clinical deterioration  
11 with development or features with severe malaria or  
12 repeated convulsions or coma, patients were also  
13 eligible for rescue therapy. Rescue therapy was  
14 not equitably applied. In the Thai study, rescue  
15 was available to both arms. In the Malawian study,  
16 rescue therapy was only available to the rectal arm  
17 and not to the quinine arm. In the South African  
18 study, some form of rescue therapy was available to  
19 both arms.

20 [Slide.]

21 The primary endpoint defined by the World  
22 Health Organization was the fractional remaining  
23 parasite count at 24 hours. There were  
24 difficulties with this endpoint in terms of the  
25 ability to incorporate data from patients who were

1 rescued or had failed because, once they been  
2 rescued or once they had failed prior to the first  
3 24 hours, some of the effect would have had to have  
4 been attributed to the rescue therapy.

5           So, on this basis, we derived a couple of  
6 other endpoints which we thought would best  
7 represent the clinical efficacy of the product.  
8 The first was the 24-hour clinical success rate.  
9 This referred to all treated patients who were  
10 evaluated after 24 hours on study drug, who had not  
11 received rescue therapy or alternative antimalarial  
12 therapy and who neither died nor deteriorated  
13 clinically since the baseline evaluation.

14           We then defined the 24-hour  
15 parasitological success rate as all 24-hour  
16 clinical successes, as referred to here, whose  
17 24-hour parasite count was less than 10 percent of  
18 the baseline parasite count; effectively, those  
19 patients who had cleared 90 percent of their  
20 baseline parasitemia.

21           Finally, as an indication of the overall  
22 efficacy of the regimen, we looked at the 28-day  
23 recrudescence stroke reinfection rate. This  
24 referred to any patient who received study drug and  
25 was found to have a recurrence of parasitemia

1 between the time that there was stopped and Day 28.

2 [Slide.]

3 Just a synopsis of the important  
4 study-related events with an impact on the clinical  
5 results. Again, a recap of the number of patients  
6 enrolled. There were small numbers of exclusions  
7 in all three studies and these were based on  
8 technical difficulties, problems with a mistake in  
9 drug administration. As you see, there were five  
10 and three in the Thai arms, three and one in the  
11 Malawian arms and one in each of the South African  
12 arms.

13 In terms of the patients rescued for  
14 failing to reach 60 percent at baseline parasitemia  
15 within twelve hours, in the Thai study, there were  
16 seven patients in the rectal-artesunate arm, four  
17 in the comparator arm. In the Malawian study,  
18 three patients were rescued in the artesunate arm  
19 and, just to remind you, there were no provisions  
20 for rescue in the comparator arm among these 22  
21 patients.

22 In the South African study, one patient  
23 rescued in the artesunate arm, two in the  
24 comparator arm. In terms of clinical  
25 deterioration, one patient was designated clinical

1 deterioration in the Thai study rectal-artesunate  
2 arm, four in the rectal-artesunate arm in the  
3 Malawian study and none in the South African study.

4           There was one death in all these three  
5 studies. The death occurred in the artesunate arm  
6 of the Thai study and it occurred in a  
7 three-year-old child who evidently was admitted  
8 ambulant to the study, was given rectal artesunate,  
9 showed a response in the parasitemic counts but was  
10 given rehydration, subsequently developed Type-0  
11 neutremia, mental deterioration. The death was  
12 ascribed to overhydration and not to malaria. That  
13 death will also be dealt with further in the safety  
14 study.

15           There were a couple of other sundry  
16 failures for reasons of expulsion of suppositories.  
17 That really covers the study-related events.

18           [Slide.]

19           What this slide shows are the 24-hour  
20 clinical success rates for each of the three  
21 pivotal studies. What you see here are 76 and 71  
22 percent success rates in the Thai study where both  
23 arms, remember, were treated with artesunate,  
24 rectally in the yellow bar, orally in the blue bar.  
25 24-hour clinical success in the Malawian study was

1 91 percent in the rectal arm and 100 percent in the  
2 quinine arm. Bear in mind that there was no  
3 provision for rescue in this arm and, in fact, had  
4 rescue been implemented for patients failing to  
5 reach 60 percent of the baseline parasitemia at  
6 twelve hours, the success rate in this arm would  
7 have been 14 percent.

8 In the South African study, again, 96  
9 percent clinical success rate, 24 hours in the  
10 artesunate arm, 75 percent in the quinine arm.  
11 Between all these arms, there were no statistically  
12 significant differences.

13 When we look at the 24-hour  
14 parasitological success rates, we see the same  
15 figures for the Thai study where both arms were  
16 treated with artesunate rectal and oral. When we  
17 look at the Malawian study, there is a very  
18 impressive difference between the 24-hour  
19 parasitological results, 88 percent success rate  
20 according to the defined criteria for the  
21 rectal-artesunate arm and only 14 percent success  
22 rate in the Malawian arm. A similar picture in the  
23 South African study where, bear in mind, the  
24 numbers of patients were very much smaller. For  
25 example, there were only eight patients in the

1 comparator arm here.

2           The point really is that again this  
3 illustrates the very rapid decline in parasitemia  
4 that we can attribute to artesunate.

5           [Slide.]

6           The next slide shows the 28-day  
7 recrudescence rates for each of these studies.  
8 Now, this slide refers to blood smears that are  
9 positive within the follow-up period from the end  
10 of therapy to 28 days. For those of you who have a  
11 briefing package, you will notice that there is a  
12 difference in the figures for the South African  
13 recrudescence rate. That is because those  
14 reflected in the briefing document show the results  
15 of PCR analysis where this is restricted to  
16 smear-positivity. I will go into that in a little  
17 bit more detail.

18           Suffice it to say that, in the Thai study  
19 where the consolidation regimen incorporated  
20 repeated doses of artesunate plus mefloquine, there  
21 were no recrudescences on blood smear. However, in  
22 the Malawian study, there was an enormous rate of  
23 recrudescence in both arms but significantly higher  
24 in the rectal-artesunate arm. Almost half the  
25 patients had a recrudescence of a positive smear

1 within the 28 days of follow up. 23 percent of  
2 those treated with quinine in the initial 24 hours  
3 had recurrence of positive smear. Very high  
4 recrudescence rates.

5           Bear in mind that, in this study, a single  
6 dose of sulfadoxine/pyrimethamine was used as the  
7 consolidation therapy and also, I guess, one should  
8 be cognizant of the fact that high rates of  
9 sulfadoxine/pyrimethamine resistance are prevalent  
10 in Africa. So the geographic location may have  
11 some impact on this result.

12           In the South African study, among the 27  
13 patients in the artesunate arm, there was only one  
14 patient who had a smear-confirmed recrudescence or  
15 reinfection. There were none in the quinine arm.

16           Just to complete the thought on patients  
17 with PCR-detected recrudescences, in this  
18 particular study, there were a couple of patients  
19 who presented during the follow-up period with  
20 clinical symptoms and PCRs were performed on these  
21 patients. There were two in each arm which were  
22 found to be PCR-positive. But these were not  
23 confirmed on smear owing to the fact that we really  
24 haven't established the validity of PCR  
25 diagnostics. In this setting, I have really

1 confined the analysis to those who are  
2 smear-positive.

3 [Slide.]

4 What can we conclude from these three  
5 pivotal studies? First of all, at 24 hours, the  
6 clinical success rates for rectal artesunate are  
7 similar to those seen with oral artesunate or  
8 quinine. Secondly, at 24 hours, the parasite  
9 clearance is significantly more rapid with rectal  
10 artesunate than with quinine.

11 In terms of recrudescence, by Day 28,  
12 recrudescence rates are high when  
13 sulfadoxine/pyrimethamine was used as definitive  
14 therapy and recrudescence rates may be higher in  
15 artesunate-treated patients than in quinine-treated  
16 patients. Again, this may depend on the geographic  
17 location.

18 [Slide.]

19 What can we not conclude from the pivotal  
20 studies? First of all, we cannot conclude that we  
21 have adequately characterized the impact of rectal  
22 artesunate on malaria mortality. Secondly, we  
23 cannot conclude that the same result will be seen  
24 in the field where hospitalization, supportive  
25 therapy and laboratory diagnostics are unavailable.

1 [Slide.]

2 I want to raise a couple of other sources  
3 of data from some of the supportive studies. This  
4 is the equivalence study with clinical endpoints,  
5 Study 014, which aimed to compare the efficacy of  
6 the product used in the clinical studies with two  
7 formulations of the product to be marketed.

8 [Slide.]

9 The next slide shows the study regimens.  
10 In this study, all patients in the study were given  
11 a single rectal artesunate dose of 400 milligrams,  
12 one of the three preparations. The follow-up  
13 treatment in this study was oral artesunate given  
14 daily for three days and two doses of mefloquine.

15 [Slide.]

16 The study populations; the study was  
17 performed in Thai hospitalized adult patients. The  
18 patients were diagnosed with uncomplicated  
19 moderately severe malaria and there were 23  
20 patients in each of the three arms.

21 [Slide.]

22 The 24-hour clinical success rate in this  
23 study was 100 percent for all of the arms. None of  
24 the patients were given rescue therapy. In terms  
25 of the parasitological outcome in this study, this

1 was the clinical product and these were the two  
2 products to be marketed. These were the admission  
3 parasitemias, somewhere between 30,000 and 47,000  
4 on admission.

5           Within twelve hours, a rapid fall, which  
6 we see with most artemisinin products, somewhere  
7 between 3,000 and 13,000. By 24 hours, effectively  
8 the parasites were below 100 in all three arms. At  
9 48 hours, they were virtually undetectable  
10 recognizing that, by this stage, consolidation  
11 therapy had already been given, so, again, showing  
12 a rapid decline for all three arms with effective  
13 elimination by 24 hours.

14           [Slide.]

15           In terms of recrudescence, or new  
16 infections in the study, unfortunately, this is  
17 data that we do not have. Data was not collected  
18 beyond seven days in these patients.

19           [Slide.]

20           So our conclusions on Study 014; this  
21 study showed equivalent efficacy of the three  
22 formulations in the 24-hour parasite clearance.  
23 The study also served to demonstrate the  
24 noncomparative efficacy of rectal artesunate given  
25 alone for the first 24 hours to 69 adult patients

1 with moderately severe uncomplicated malaria.

2           Among these 69 patients, none were judged  
3 by the study physicians to require rescue therapy  
4 or made an uneventful clinical and parasitological  
5 recovery. But, of course, the outcome beyond seven  
6 days in these patients in terms of recrudescence or  
7 new infection is not known.

8           [Slide.]

9           Just a brief comment on Studies 003 and  
10 004. These were pharmacokinetic studies. They  
11 were crossover studies between rectal and  
12 intravenous artesunate given at two different  
13 dosing strength. The patient population; again,  
14 patients with moderately severe uncomplicated  
15 malaria. 003 was performed in hospitalized Thai  
16 adults. 004 was performed in hospitalized Ghanaian  
17 children.

18           [Slide.]

19           These were the treatment arms. As you can  
20 see, in intravenous therapy given for the first  
21 twelve hours followed by rectal artesunate, 10  
22 milligrams per kilogram in this arm. This was the  
23 reverse; rectal artesunate, 10 milligrams per  
24 kilogram followed, after twelve hours, by  
25 intravenous. In these two arms, intravenous

1 followed by a double-dose of rectal and double dose  
2 of rectal followed by intravenous after twelve  
3 hours. Approximately twelve patients per arm.  
4 This arm was not represented in the Ghana study.

5 [Slide.]

6 Consolidation therapy in this study, in  
7 the Thai Study 003. Mefloquine was used at 36 and  
8 48 hours. In the Ghana study, chloroquine was used  
9 over the first three days although a proportion of  
10 patients unable to tolerate chloroquine was given  
11 sulfadoxine/pyrimethamine.

12 [Slide.]

13 The next slide shows the inclusion  
14 criteria. The Thai study was conducted in adults.  
15 The Ghana study was conducted in children. Entry  
16 parasitemia; the minimum parasitemia for entry in  
17 the Thai study was high, was greater than 100,000  
18 per microliter. In the Ghana study, it was  
19 substantially lower, greater than 10,000. Patients  
20 were non per os. Patients with severe or  
21 complicated malaria were excluded. Patients with  
22 diarrhea were excluded.

23 [Slide.]

24 The 24-hour clinical success rate was high  
25 in all the arms, twelve out of twelve in the

1 intravenous followed by projected dose of rectal,  
2 23 out of 24 in the reverse. In the double-dose  
3 rectal, 22 out of 23, 22 out of 24.

4 I have just made a note that it was these  
5 two patients that were diagnosed with clinical  
6 deterioration whereas the failures in these two  
7 arms were for reasons of inability to retain the  
8 suppository or to receive intravenous therapy. So  
9 there were two clinical failures across the four  
10 arms of the studies.

11 [Slide.]

12 The parasitological success rates; more  
13 than 90 percent clearance at baseline  
14 parasitological at twelve and 24 hours. We looked  
15 at two endpoints here. The 90 percent clearance at  
16 twelve hours was low but, by 24 hours, a very large  
17 percentage of all treatment arms had achieved 90  
18 percent clearance, eight out of twelve, 21 out of  
19 23, 20 out of 22 and 21 out of 23.

20 [Slide.]

21 In terms of recurrent parasitological  
22 during the two to three weeks following therapy, I  
23 have pooled the two studies and divided them  
24 according to the consolidation regimen they  
25 received. What this shows is that recrudescence

1 rates were clearly highest in the patients who had  
2 chloroquine as consolidation therapy, 30 percent of  
3 the 23 patients. They were lowest in the patients  
4 who received mefloquine, 15 percent, seven of the  
5 48, and they were intermediate in the patients who  
6 received sulfadoxine/pyrimethamine, 22 percent.

7 [Slide.]

8 What did we learn from Studies 003 and  
9 004? Well, first of all, there was no clinical  
10 advantage in using 20 milligrams per kilogram of  
11 rectal instead of 10 milligrams per kilogram of  
12 rectal. We saw a confirmation of the rapid  
13 reductions in parasitological with artesunate. The  
14 other thing to note is that, despite the twelve  
15 hourly regimen in these studies, recrudescence  
16 rates were still high.

17 [Slide.]

18 So, in summary, among the 229 evaluable  
19 patients with moderately severe malaria treated  
20 with 10 milligrams per kilogram of rectal  
21 artesunate over the first 24 hours, we saw one  
22 death which was probably due to fluid overload.  
23 The 24-hour clinical success rates were similar to  
24 comparator. The 24-hour parasitological success  
25 rates were superior to the comparator and the

1 28-day recrudescence rates ranged between 0 and 45  
2 percent for the rectal-artesunate arms and from 0  
3 to 25 percent for the comparator arms, bearing in  
4 mind that follow-up rates were rather low, and we  
5 will address this further.

6 [Slide.]

7 Some considerations which I wanted to  
8 raise were, first of all, delays in therapy are one  
9 of the most important contributors to malaria  
10 mortality. Given the potent effect on parasitemia  
11 and the good short-term clinical perforation of  
12 rectal artesunate, does this imply that it will  
13 reduce malaria mortality? Second of all, are there  
14 any potential hazards in the empirical use of  
15 rectal artesunate for emergency treatment?

16 [Slide.]

17 I just want to finish off by drawing your  
18 attention to some statistical issues. First of  
19 all, in the evaluation of these studies, there are  
20 difficulties in interpreting the parasitological  
21 responses based on the fact that patients were  
22 rescued before the 24-hour endpoint. Second of  
23 all, due to significant losses in follow up at  
24 later time points, the recrudescence rates that we  
25 calculated may be inaccurate.

1           To help us out with these, I am going to  
2 turn over the podium to my colleague, the  
3 statistical reviewer on the review team, Ruthanna  
4 Davi. She will discuss the statistical  
5 implications of these problems.

6           Thank you.

7           MS. DAVI: Thank you, Dr. Sacks.

8           [Slide.]

9           As Dr. Sacks told you, I intend to discuss  
10 the interpretation of the parasite-count  
11 measurements in light of the rescue of subjects.

12          [Slide.]

13          Secondly, I would like to address the  
14 issue of the recrudescence rates that we are seeing  
15 in the artesunate arm in light of some of the  
16 missing data in lost-to-follow-up. Finally, I will  
17 present an exploratory analysis looking for any  
18 other risk factors that may be predictive of  
19 recrudescence.

20          [Slide.]

21          Let's start by looking at the  
22 parasite-count endpoint. You have seen, so far,  
23 that artesunate-treated subjects seem to experience  
24 a significant decrease in parasite counts from the  
25 0 to 12-hour time point. I first want to motivate

1 you to look at this endpoint rather than the  
2 clinical or parasitological success type endpoints  
3 that we have seen so far which are dichotomous  
4 endpoints giving a response of success or failure.

5           The parasitological endpoint, however, is  
6 a numerical endpoint allowing a continuum of  
7 responses and therefore allowing statistically more  
8 chance of seeing a difference between treatment  
9 arms.

10           In considering the parasite-count  
11 analyses, though, we have problems with the rescue  
12 subjects. To handle that, we will consider three  
13 cases. First, we will consider the case where we  
14 exclude subjects who were rescued. This is  
15 problematic, however, because this would exclude  
16 subjects who were doing poorly and the resulting  
17 analysis would, therefore, look at the success of  
18 the successes.

19           The second possibility is that we could  
20 agree on some method for imputation of the data  
21 beyond the point at which subjects were rescued.  
22 Again, this is a biased analysis because we would  
23 be considering data that would was not actually  
24 observed.

25           Finally, we could ignore the fact that

1 subjects were rescued and look at their actual  
2 observed parasite counts, but this analysis would  
3 have the problem of attributing the efficacy of the  
4 rescue therapy to the randomly assigned treatment.

5 The truth about this endpoint probably  
6 lies somewhere between these three analyses.

7 [Slide.]

8 I want to start with presenting Study 007  
9 to you. You will notice I am doing these studies  
10 in reverse numerical order. That is not to confuse  
11 you. That is merely because there were a smaller  
12 number of subjects in Study 007 and it is  
13 advantageous to see the plots with a smaller number  
14 of subjects first.

15 This is the South African study. What is  
16 displayed in the top plot is one line per subject  
17 illustrating the parasite count across time for  
18 artesunate-treated subjects. So, on the X axis, we  
19 have the time variable from zero, twelve to 24  
20 hours. On the Y axis, the parasite-count response.

21 Here you will see a rapid decline in  
22 parasitemia from zero to twelve hours and that  
23 continuing, then, from twelve to 24 hours. The  
24 plot below that is the similar plot for the quinine  
25 subjects. Again, there is a decline in parasitemia

1 from zero to twelve hours but, perhaps, not so  
2 rapid as that observed in the artesunate group.

3           If we add, now, the rescued subjects,  
4 plotting their observed parasite counts, ignoring  
5 the fact that they were rescued, we can see that it  
6 would not, in all likelihood, make a substantial  
7 impact on the analysis of that data being that  
8 there is only one rescued artesunate subject and  
9 two rescued quinine subjects. I intend to quantify  
10 that statement later in the presentation.

11           Finally, I would like to show you one last  
12 presentation of the data and that is including the  
13 rescued subjects as what we refer to as last  
14 observation carried forward meaning that, at the  
15 point at which they were rescued, we took that  
16 observation and carried it forward through the rest  
17 of the trial.

18           So, since they were rescued at twelve  
19 hours, their twelve-hour measurement was carried  
20 through to the 24-hour time point.

21           [Slide.]

22           If we continue, then, to Study 006, these  
23 plots are a little harder to look at because of the  
24 number of subjects, but the same trend is evident.  
25 This is a Malawi study and we see a rapid decrease

1 in parasitemia in the artesunate group from the  
2 zero to twelve-hour time point and that continuing  
3 from twelve to 24 hours.

4           There is, again, a decrease in the quinine  
5 group but not as rapid as that seen in the  
6 artesunate group. We quickly can illustrate the  
7 rescued subjects with their actual observed values.  
8 Again, remember subjects in the quinine group in  
9 this trial were not eligible for rescue so we make  
10 modifications only to the artesunate plot.

11           Finally, I will show you the results  
12 including these subjects as last observation  
13 carried forward.

14           [Slide.]

15           We will now go on to the Thailand study  
16 where the comparator arm is oral artesunate. We  
17 observed the same rapid decrease in parasitemia  
18 from zero to twelve hours and continuing from  
19 twelve to 24 hours in both the rectal and the oral  
20 artesunate plots.

21           We will illustrate now how the rescued  
22 subjects impact and this is with their actual  
23 observed values and finally with their last  
24 observation carried forward.

25           [Slide.]

1           At this point, I will show you the  
2 numerical results for what you have seen in the  
3 plots. These are median fractional remaining  
4 parasite counts at twelve and 24 hours. What we  
5 can see is that, in both Study 007 and 006, the  
6 median remaining fractional parasite count is  
7 statistically significantly lower for the rectal  
8 artesunate group than the quinine group. In Study  
9 005 we observed no statistically significantly  
10 difference in that endpoint between oral and rectal  
11 artesunate.

12           Again, this is the analysis excluding  
13 patients who were rescued.

14           [Slide.]

15           Let's move now to the analysis where we  
16 include rescue patients with their observed values.  
17 You will notice there are not substantial  
18 differences in the qualitative conclusions. The  
19 statistical significance of the results remain.  
20 There is a statistically significant result in  
21 favor of rectal artesunate in both Studies 007 and  
22 006 and no statistically significant difference  
23 between the oral and rectal artesunate in Study  
24 005.

25           [Slide.]

1           Finally, just for completeness, let's  
2 consider the analysis where patients are--we use  
3 their last observation carried forward. The  
4 results are much the same. The statistically  
5 significance remains with only minor changes in the  
6 magnitude of the difference.

7           So I think, in conclusion, regarding the  
8 parasite count endpoint, you can feel comfortable  
9 that the data we are seeing is not an artifact of  
10 the rescued patients.

11           [Slide.]

12           Let's move now to an endpoint that is  
13 longer-term follow up, and that is recrudescence  
14 with artesunate. As Dr. Sacks told you, we did  
15 observe a fairly high recrudescence rate in one of  
16 the studies and that is the motivation for the  
17 presentation of this.

18           Subjects' malaria status was assessed at  
19 seven, 14 and 28 days post-treatment in all three  
20 of the pivotal studies. After a positive result  
21 for malaria was found, that subject was given  
22 additional malaria treatment. Therefore, we are  
23 considering cumulative failure rates in this  
24 analysis being that, after another treatment is  
25 given, the results can no longer be applied to the

1 randomly assigned treatment.

2           Part of the challenge in examining this  
3 endpoint is that the follow up of these patients  
4 was quite difficult. The missing values, as you  
5 will see, were quite rampant. Again, I am going to  
6 consider three cases. The first will be where we  
7 consider missing values as success; in other words,  
8 they did not have malaria.

9           The second will be if we consider them  
10 failures; in other words, they were positive for  
11 malaria. The third case will be that we will  
12 ignore the missing values, not counting them in  
13 either the numerator or the denominator of the  
14 recrudescence rates.

15           [Slide.]

16           First, this is an overview of the  
17 recrudescence rates in each of the three studies.  
18 There were no, or very small, recrudescences  
19 observed in Studies 005 and 007 and, in contrast to  
20 that, Study 006 in Malawi, we observed a much  
21 higher recrudescence rate and much higher even in  
22 the rectal-artesunate arm than that which was  
23 observed in the quinine arm. This was our  
24 motivation for exploring the data in Study 006  
25 further.

1 [Slide.]

2 If we continue, then, the results for  
3 Study 006 are displayed in this table at each of  
4 the time points assessed. In this analysis, we are  
5 considering missing observations a success.

6 At Day 7, we observed a 16 percent  
7 recrudescence rate in the rectal artesunate group  
8 and no recrudescences in the quinine group.  
9 Continuing to Day 14, there was a 29 percent  
10 recrudescence in the rectal artesunate group and a  
11 9 percent recrudescence in the comparator group.  
12 Finally, by Day 28, the results you have already  
13 seen were evident, a 45 percent recrudescence in  
14 the rectal artesunate group and a 23 percent  
15 recrudescence in the quinine group.

16 [Slide.]

17 Let's move now to consider the missing  
18 observations of failure. Perhaps this is the least  
19 likely of the three cases that I will present to  
20 you. This analysis relies on the assumption that  
21 patients who did not return to their follow-up  
22 visit were positive for malaria. Here we see much  
23 higher recrudescence rates because of considering  
24 the missing data failures but we still see a  
25 discrepancy between the rectal-artesunate arm and

1 the quinine arm in terms of the recrudescence  
2 rates.

3           Continue, then, to the analysis where we  
4 ignore missing observations. This analysis makes  
5 the assumption that subjects who did not return for  
6 their follow-up visits would have similar  
7 recrudescence rates to those who did return for  
8 their follow-up visits.

9           Again, we see an early discrepancy between  
10 the arms in terms of recrudescence rates. At Day  
11 7, there was a 16 percent recrudescence rate in the  
12 rectal-artesunate arm and no recrudescence in the  
13 comparator arm. Day 14, there was a 29 percent  
14 recrudescence rate for rectal artesunate, 9 percent  
15 for the comparator and, finally, 45 and 23 percent  
16 at Day 28.

17           [Slide.]

18           Having said that, we took one more  
19 approach to the analysis of this endpoint and  
20 considered a time-to-event-type response where we  
21 looked at the time to recrudescence. This analysis  
22 afforded us the luxury of considering missing data  
23 as censored data. We found that there was a  
24 statistically significant result, that  
25 recrudescence appeared earlier and more frequent in

1 the rectal artesunate group.

2           In that same type of analysis, we aimed to  
3 identify other covariates that might be impacting  
4 recrudescence rates. In particular, we were trying  
5 to see if there might be some imbalance in the  
6 treatment groups in some other covariate that was  
7 impacting the recrudescence rates and could explain  
8 the possible treatment effect that we were seeing.

9           We considered several demographic factors  
10 such as age and gender. We also considered  
11 numerous baseline disease-status endpoints such as  
12 baseline parasite count and baseline temperature.  
13 None of the endpoints we considered were found to  
14 be statistically significantly predictive of  
15 whether or not someone would recrudescence with the  
16 possible exception of the Blantyre coma score.  
17 However, the result was not statistically  
18 significant and this was an exploratory analysis of  
19 numerous variables.

20           [Slide.]

21           So, in summary, I would like to leave you  
22 with three thoughts. First, you can feel  
23 comfortable with the results of the parasite-count  
24 analysis because, regardless of how we handled the  
25 rescued patients, the results still were highly

1 statistically significant in favor of rectal  
2 artesunate

3 [Slide.]

4 The second point is that we did see  
5 statistically significantly earlier and more often  
6 recrudescence in the rectal-artesunate arm than in  
7 the quinine arm in Study 006. Finally, an  
8 exploratory analysis of that data did not reveal  
9 any other covariates that were important in the  
10 prediction of whether or not a patient would  
11 recrudescence.

12 At this point, I would like to introduce  
13 Dr. Johann-Liang who will address the safety review  
14 of rectal artesunate.

15 DR. JOHANN-LIANG: Good morning. It is a  
16 pleasure to address such a distinguished and global  
17 panel this morning.

18 [Slide.]

19 My task is to present the integrated  
20 safety assessment by the FDA of NDA 21-242, rectal  
21 artesunate.

22 [Slide.]

23 Once again, the proposed indication is  
24 single, 10 milligrams per kilogram dose of  
25 artesunate, rectal capsules, in the initial

1 management of acute malaria in patients who cannot  
2 take medication by mouth and for whom parenteral  
3 treatment is not available.

4 [Slide.]

5 As we go through this talk, please keep in  
6 mind the implications of the indication that is  
7 being proposed. These are, use in the field, use  
8 as empiric therapy. Patients with other severe  
9 febrile illnesses such as meningitis, pneumonia,  
10 bacteriemia, et cetera, will be exposed, use in  
11 patients with severe disease who are at least  
12 unable to take PO and use in mainly very young  
13 children.

14 [Slide.]

15 Three sets of information relevant to the  
16 safety evaluation of rectal artesunate was  
17 submitted to this NDA by the applicant. They are  
18 the WHO-sponsored studies consisting of thirteen  
19 study data and reports, the safety review of  
20 published and unpublished safety information on  
21 studies of artesunate derivatives and a summary of  
22 the data on artesunate injection presented to the  
23 Chinese regulatory authorities in 1989.

24 This was reviewed for the sake of  
25 completeness but will not be part of this talk. I

1 will go through an overview of these two safety  
2 submissions. I would also like to touch upon the  
3 issue, the important issue, of neurotoxicity.

4 [Slide.]

5 Let's start with the overview of the  
6 WHO-sponsored studies.

7 [Slide.]

8 The WHO-sponsored studies consist of a  
9 total of 501 patients, 435 with malaria and 319 of  
10 that 435 in clinical studies. I have broken out  
11 for you here the types of trials and the numbers of  
12 patients by disease severity populating those  
13 studies. So there were two bioavailability and two  
14 bioequivalency studies and then the six clinical  
15 studies, three in adults and three in pediatric, of  
16 which Studies 5, 6 and 7 were the three pivotal  
17 clinical studies that were presented to you in the  
18 efficacy evaluation.

19 There were 66 healthy volunteers, 344  
20 moderately severe disease patients and 91 severe  
21 malaria patients making up this application. There  
22 were 166 children in total in this safety database  
23 all of whom had moderately severe disease. I want  
24 to point out that only eight patients out of this  
25 166 were less than two years of age and only five

1 in this group of severe malaria patients were from  
2 the clinical studies.

3 [Slide.]

4 This table accounts for all the patients  
5 enrolled into the six WHO-sponsored clinical  
6 studies separated out by numbers in the adult and  
7 children. All six clinical studies were  
8 open-labeled. This table illustrates the lack of  
9 comparative control data in this application.

10 For adults, there were 153 patients, 148  
11 with moderately severe disease and five with severe  
12 disease. Comparative statements are not possible  
13 for adults because, in the comparator group, there  
14 were only 14 patients and they were all categorized  
15 as having severe disease, all receiving I.V.  
16 quinine.

17 Comparative statements may be possible for  
18 the children which consisted of about 166 patients  
19 all categorized as moderately severe disease in the  
20 rectal-artesunate arm as compared to the comparator  
21 arm where there were 39 patients all, again, with  
22 moderately severe disease, 17 who received P.O.  
23 artesunate and 22 I.V. quinine.

24 [Slide.]

25 Looking at the comparative adverse-event

1 counts in children, the overall adverse events was  
2 20 percent for the rectal-artesunate group versus  
3 26 percent for the comparators. Looking at the  
4 adverse events by systems, the most common  
5 complaint was gastrointestinal consisting of  
6 nausea, vomiting, abdominal pain. The rates were  
7 similar in both groups.

8 Next, the CNS adverse events consisted of  
9 headaches, impaired consciousness and convulsions  
10 and the rates were again similar between the two  
11 groups. Also, to point out, the impaired  
12 consciousness or convulsion complaints was not  
13 attributed to the drug.

14 [Slide.]

15 Due to the noncomparative nature of the  
16 data in this application, plus the fact that  
17 patients received subsequent antimalarials shortly  
18 after the rectal artesunate and the difficulty in  
19 sorting out what is disease effect versus drug  
20 effect, definitive conclusions are hard to make  
21 about adverse events in the safety datasets.

22 Moving on to deaths on study, in total  
23 there were seven deaths across the thirteen  
24 studies. There was that one pediatric death that  
25 Dr. Sacks had pointed out earlier. This was a

1 three-year-old boy who received a rectal-artesunate  
2 dose of 11.5 milligrams per kilogram times 1. The  
3 site investigators and the WHO attributed the cause  
4 of death to iatrogenic water intoxication.

5           While in agreement with this conclusion as  
6 a plausible etiology, I want to point out that this  
7 little boy's dihydroartemisinin, the serum levels  
8 at two hours and post hours were quite high,  
9 remembering that this boy died right after this  
10 four-hour time point.

11           I want to also show you the reference mean  
12 and the standard deviation for a similar age group  
13 taken from the Ghana children. So, what  
14 contribution, if any, did the high DHA level make  
15 in the demise of this child? I don't think we have  
16 that answer.

17           The three adult deaths in the clinical  
18 studies, and these are three deaths coming from the  
19 South African study, Study No. 007, were all in  
20 patients with severe malaria. The one death was an  
21 artesunate arm and the two other deaths were in the  
22 I.V. quinine arm. The WHO concluded these deaths  
23 were due to underlying malarial disease and we are  
24 in agreement with that.

25           The three additional studies are coming

1 from the reanalysis studies, Studies 010, 011 and  
2 012. Actually, these three deaths were all from  
3 Study 010 and patients all had severe malaria.

4           The thing to point out about these deaths  
5 is that all three deaths occurred at a time point  
6 when these patients were cleared of their  
7 parasitemia. So the cause of death in these three  
8 patients were not determined.

9           [Slide.]

10           Laboratory monitoring was limited in the  
11 clinical studies of the WHO-sponsored program.  
12 Only one study, Study No. 003, had comprehensive  
13 labs recorded including CBC chemistry and LFTs. In  
14 the 250 patients with malaria who had hematocrits  
15 monitored, overall, there was a transient decrease  
16 at twelve to 24 hours with rise to baseline by Day  
17 7 and normalization by Day 28.

18           Four the 48 patients monitored for  
19 liver-function changes in Study 003, there were  
20 three patients whose amino transferase levels rose  
21 to three times upper limit of normal after starting  
22 with normal baseline levels peaking at Day 7 to 14.  
23 It is possible that this lab abnormality may be  
24 drug effect.

25           Again, only one study, Study No. 003, had

1 EKG monitoring and no significant abnormalities  
2 were noted.

3 [Slide.]

4 The majority of patients in PK and  
5 clinical studies received one rectal-artesunate  
6 dose of 10 milligrams per kilogram with a range  
7 from 6.8 to 22.2. In the reanalysis studies,  
8 repeated rectal dosing over three to four days with  
9 mean doses between 25 to 32 milligrams per kilogram  
10 total dose occurred. So the maximum dose exposure  
11 for adults was 45.7 milligrams per kilogram total  
12 dose given over four days in eight divided doses.

13 The maximum exposure for children was  
14 21.4 milligrams per kilogram. This was the  
15 exposure of seven days where one rectal dose was  
16 followed by multiple oral dosing. It occurred in  
17 Study No. 005.

18 [Slide.]

19 Some specifics to point out about special  
20 populations in this safety data. Only eight of the  
21 166 children, again, were less than two years of  
22 age. Only six of the 153 adults in the clinical  
23 studies or 269 adults with malaria in total were  
24 older than 50 years of age. Neither renal nor  
25 hepatic-insufficient patients were specifically

1 studied. Pregnant patients were also not included  
2 in these studies. However, looking at what  
3 information is available from preclinical evidence  
4 or in the literature, the overall impression from  
5 the preclinical evidence is consistent findings of  
6 impaired fetal survival but no evidence of  
7 teratogenicity in the babies born following first  
8 trimester exposure to artemisinin in the animal  
9 studies.

10 From the clinical studies in the  
11 literature, there is no evidence of fetal injury or  
12 impairment of maternal health over and above the  
13 effects on reproductive health or malaria, itself.

14 [Slide.]

15 So, then, in summary, about the  
16 WHO-sponsored studies, this was a very small safety  
17 database. Studies were all open-labeled with  
18 multiple drugs and mainly noncomparative. It is  
19 hard to differentiate safety issues between disease  
20 and drug effect. Furthermore, safety assessment  
21 was not available for special populations, in  
22 particular the very young children.

23 The dose exposure was mainly one rectal  
24 dose around the 10 milligrams per kilogram dose.  
25 Overall, no unusual or serious pattern of adverse

1 events was identified but, again, minimal numbers  
2 were available for comparison. Overall, no unusual  
3 or serious laboratory abnormalities were reported  
4 but, again, monitoring was sparse.

5 The deaths on study were few but not all  
6 had clear etiology.

7 [Slide.]

8 Let's turn our attention now to a  
9 different set of safety submission. I would like  
10 to present an overview of the applicant's  
11 submission safety review of the published and  
12 unpublished clinical studies on artemisinin  
13 derivatives. Once again, highlighting as I go  
14 along, some issues that particularly relate to the  
15 implication of the proposed indications of the  
16 proposed indication.

17 Please also note that this safety  
18 submission did not contain any source data for the  
19 FDA to review. This was really a summary report by  
20 the applicant of the clinical experience to date  
21 with this class of compounds.

22 [Slide.]

23 151 published and eighteen unpublished  
24 studies were reviewed by the WHO and safety  
25 information was available on 130 studies consisting

1 of 13,639 patients. I have broken out for you how  
2 the applicant broke out the data of different study  
3 types. The numbers are quite impressive when you  
4 look at the comparative and randomized trials.  
5 There were 7,848 patients in this safety  
6 information.

7           However, with the implications of the  
8 proposed indication in mind, I want to draw your  
9 attention to the fact that when these same patients  
10 are recategorized by the level of disease severity,  
11 we get the following picture.

12           [Slide.]

13           On the left side is a pie figure showing  
14 artemisinin derivatives taken together. It is  
15 around 13,000 patients. The majority of patients  
16 included in this safety information were patients  
17 with uncomplicated malaria here in the yellow. The  
18 patients with moderate or severe malaria, the red  
19 and the little blue here, was really 16 percent, a  
20 much smaller percentage of the whole safety  
21 information.

22           A similar picture applies even when just  
23 the patients treated with artesunate, around 6,000  
24 patients, are pieced out. The number of patients  
25 with moderate and severe malaria, again in the red

1 and this sliver in blue, is a much smaller  
2 percentage when compared to the uncomplicated  
3 malaria patients.

4 [Slide.]

5 The applicant makes several conclusions  
6 regarding adverse events from their safety review.  
7 For comparative studies, the safety review states  
8 that the most common adverse event in the order of  
9 less than 1 percent, were mild GI events like  
10 nausea, vomiting, diarrhea and abdominal pain.

11 For severe malaria patients, the applicant  
12 concluded that fewer incidents of hypoglycemia,  
13 skin reactions, tinnitus, dizziness, occurred as  
14 compared to quinine. For uncomplicated malaria  
15 patients, the conclusion was that less pruritus  
16 than chloroquine, less nausea, dizziness, tinnitus  
17 than quinine and less vomiting than mefloquine.

18 Again, it is important to keep in mind  
19 that this pooling of adverse events across many  
20 studies is quite problematic, especially in light  
21 of the fact that there were no source data reviewed  
22 either by the applicant or by the FDA.

23 [Slide.]

24 Laboratory abnormalities noted by the  
25 applicant in the order of 1 percent included

1 neutropenia, reticular cytopenia, eosinophilia,  
2 anemia, transaminitis, culture-negative pyuria,  
3 hemoglobinuria and a few cases of elevated  
4 bilirubin. EKG abnormalities in the order of 1  
5 percent included bradycardia, prolongation of QT  
6 interval and a few cases of first-degree AV block,  
7 atrial extrasystoles and T-wave abnormalities.

8 [Slide.]

9 The vast majority of studies included in  
10 the safety review did not have neurological  
11 assessments. Of the available information,  
12 dizziness appears to be the most common adverse  
13 event. The paper by Price, et al., is the article  
14 that the applicant refers to largely for the  
15 clinical neurologic safety of artemisinin  
16 derivatives.

17 In keeping with what the implications of  
18 the indication that is being sought here, I want to  
19 point out that, in this particular clinical  
20 experience, patients with uncomplicated malaria  
21 were assessed. In the author's own words in the  
22 paper, neurological examination could be performed  
23 reliably only in patients greater than five years  
24 of age.

25 Dr. Folb, in his earlier presentation,

1 also pointed out two other papers in the more  
2 recent literature by Kissinger and Van Vugt from  
3 Viet Nam and Thailand. When you look at the age  
4 brackets and the neurological assessments that were  
5 done in those patients as well, I think there were  
6 only two patients for each of the papers that had  
7 children that were less than actually five years of  
8 age.

9 The human histopathology experience in all  
10 the safety information really is just six patients  
11 treated with artemether from Hien, et al., in Viet  
12 Nam. Here, the slides were looked at at autopsy  
13 and no neuronal necrosis was seen but chromatolysis  
14 was frequently seen.

15 [Slide.]

16 So, in summarizing the second safety  
17 submission, we agree with the applicant that this  
18 was a comprehensive effort to examine the available  
19 safety information on artemisinin derivatives.  
20 Relatively few side effects were noted overall and  
21 mainly mild and transient. No patterns of adverse  
22 events were seen.

23 For comparative studies, the safety review  
24 did not find that patients receiving artemisinin  
25 derivatives had an increase in adverse events over

1 comparators. In fact, the safety review concluded  
2 that artemisinin derivatives showed a better  
3 tolerability profile over comparators that are  
4 available.

5           Moreover, there was a lack of clinical  
6 evidence to suggest an association between the  
7 artemisinin derivatives and increased neurotoxic  
8 adverse events, neurotoxic sequelae or death.

9           [Slide.]

10           What are some of the problems with the  
11 safety review? There are the obvious  
12 methodological deficiencies of pooling all  
13 different types of studies together, particularly  
14 in this case when the safety parameters examined  
15 may be a result of either drug or disease effect  
16 and the uncertainties of pooling adverse events  
17 across studies become magnified.

18           As I have pointed out, although the number  
19 of patients in the collective safety information  
20 appears to be large, the relevant assessments in  
21 relevant populations are not as large.

22           One other issue that is worth mentioning  
23 is the issue of the quality of the active  
24 ingredient. The application has pointed out that  
25 apart from two WHO-sponsored studies, the rest of

1 the safety data derived from studies which used  
2 artemisinin active ingredients not produced to good  
3 manufacturing practices and that this supports how  
4 remarkable the safety of artesunate is.

5 I would submit that this issue could be  
6 looked at in a different light. It is possible  
7 that the many years of actual-use safety that we  
8 have is based on drugs that contain subpotent  
9 content of active ingredient. Moreover, there is  
10 at least one article, if not more, in the  
11 literature that discusses the relative abundant use  
12 of counterfeit drugs which contains really no  
13 active ingredient.

14 [Slide.]

15 I would like to focus now on the important  
16 safety issue of neurotoxicity and spend a few  
17 minutes discussing what we do know at this point  
18 and what we do not know at this point.

19 As you have heard neurotoxicity is  
20 considered a class effect of artemisian derivatives  
21 in animals. Dose-related patterns of  
22 neuropathologies starting with chromatolysis, the  
23 necrosis of specific neurons in the brain stem of  
24 rats, monkeys, dogs and mice have been described  
25 with artemisinin, artemether, arteether and the

1 principal metabolite dihydroartemisinin.

2 [Slide.]

3 The conclusion from the WHO sponsor expert  
4 consultation by Professor Dayan, et al., stated  
5 that only limited information was available for  
6 artesunate but no neuronal necrosis was seen at 420  
7 milligrams per kilogram IM or 200 milligrams per  
8 kilogram per day PO over five to seven days.

9 The applicant concluded in their briefing  
10 document that, for artesunate, a total of 210 to  
11 300 milligrams per kilogram by I.V. or PO did not  
12 result in neurotoxicity. They further concluded  
13 that this is 21-fold to 30-fold greater than the  
14 proposed human dose and, thus, a wide margin of  
15 safety.

16 If we accept this dose of 212 to 300  
17 milligrams per kilogram as the best available  
18 approximation for no adverse-effect level for  
19 artesunate, the body-surface-area convergent from  
20 the animal model to human equivalent dose would be  
21 35 to 50 milligrams per kilogram which is only  
22 3-fold to 5-fold greater than the proposed dose,  
23 giving us a small safety margin.

24 [Slide.]

25 If we take a more conservative approach to

1 the available preclinical evidence, the safety  
2 margin gets even more narrow. For artemether and  
3 arteether, Professor Dayan's expert review of the  
4 neuropathology materials concluded that the NOAEL  
5 for neurotoxicity in rats was 45 to 75 milligrams  
6 per kilogram.

7 This dose by body-surface-area conversion  
8 would translate to a human equivalent dose of 7.5  
9 to 12.5 milligrams per kilogram parenterally. For  
10 artesunate, looking down the right side of this  
11 schema, the seven-day rat in the study in the WHO  
12 Committee Toxicology Program was not specifically  
13 targeted enough for the determination of  
14 neurotoxicity NOAEL.

15 Furthermore, there were unexplained death  
16 in one of 16 animals on Day 4 on the 75 milligrams  
17 per kilogram dose and two of 16 on Day 3 of 150  
18 milligrams per kilogram dose. So, for the overall  
19 NOAEL, the human equivalent dose calculated from  
20 adjusting for body-surface area from this rat model  
21 is 12 and 25 milligrams per kilogram if we use the  
22 dose at which the unexplained deaths occurred.

23 This human equivalent dose is right around  
24 the proposed 10 milligrams per kilogram rectal dose  
25 not giving us any margin of safety.

1 [Slide.]

2 Having said all that for the preclinical  
3 evidence, what about neurotoxicity and clinical  
4 experience in humans? The WHO-sponsored studies  
5 contain neurological assessments in the three  
6 pivotal studies, Studies 5, 6 and 7, which gives  
7 164 rectal-artesunate recipients out of the total  
8 safety database of 435 patients with malaria.

9 However, these data were problematic due  
10 to the many missing data, especially in young  
11 patients who could not be reliably assessed.  
12 Nevertheless, no pattern of neurologic  
13 abnormalities were identified. In the literature  
14 on the actual-usage experience regarding  
15 neurological safety, the applicant has stated that  
16 there is a large body of safety experience with  
17 artesunate.

18 Again, I point out to you that the actual  
19 safety information in patients with severe disease,  
20 especially the very young with severe disease who  
21 actually underwent systematic neurological  
22 assessment, is a very small percentage of the  
23 actual usage experience.

24 What about the highest doses used in  
25 humans? From the literature in adults, it was the

1 45.7 milligrams per kilogram given over three to  
2 four days in eight divided doses. For children,  
3 the highest dose found in the literature was 57  
4 milligrams per kilogram given over three days in  
5 daily divided doses.

6 I just want to tell you that, for both of  
7 these experiences, the numbers are very small  
8 again, both less than 30 patients.

9 [Slide.]

10 In trying to put together our current  
11 collective knowledge about neurotoxicity, what do  
12 we know of the artemisinin compounds? We know that  
13 the neurotoxic lesion occurs in dose-dependent  
14 fashion in animal models and that the most  
15 neurotoxic substance from this group of compounds  
16 appears to be the major metabolite, the DHA.

17 So why are neurotoxic lesions seen more  
18 with the lipid-soluble artemether and arteether  
19 than the water-soluble artesunate when, actually,  
20 artesunate is converted to DHA the most. This is  
21 probably because the lipid-soluble agents  
22 artemether and arteether are eliminated more slowly  
23 than water-soluble compounds like artesunate and  
24 thus producing much longer periods of DHA activity.

25 Hence, the critical factor leading to

1 neurotoxicity appears to be sustained levels of DHA  
2 rather than peak levels.

3 [Slide.]

4 With that in mind, what about what we do  
5 not yet know and thus causes us concern regarding  
6 neurotoxicity. The safety margin from preclinical  
7 to clinical has not been determined yet for  
8 artesunate and may not be as wide. So, for now,  
9 one rectal dose of 10 milligrams per kilogram  
10 probably is okay but it is unclear with higher and  
11 repeated dosing.

12 We know that artesunate elimination is  
13 faster for I.V. over PO over rectal. So, with  
14 repeated dosing, could rectal formulation also act  
15 as a depot-type of compound like artemether and  
16 arteether causing sustained levels. Rats and dogs  
17 show damage to the olfactory and auditory nuclei  
18 whereas monkeys more to the vestibular nuclei.

19 What nuclei in humans? We don't yet know.  
20 We could be doing the wrong types of neurological  
21 assessments. Also, in the animal neurotoxicology  
22 studies, it has been all done in non-disease  
23 models. In humans with malarial disease, using  
24 artemisinin, could the tissue distribution of DHA  
25 to parasitized cells act as buffers routing the DHA

1 away from CSF and vulnerable neurons.

2           If this is a theoretical possibility, then  
3 could the empiric use in febrile children which  
4 includes children without malarial disease  
5 potentially result in high enough sustained levels  
6 of DHA in the CNS to cause toxicity?

7           [Slide.]

8           So, finally, starting to summarize our  
9 view of the integrated safety, I want to once again  
10 touch upon the gap in populations. In the NDA  
11 application which constitutes the WHO-sponsored  
12 studies, the studies were all done with  
13 hospitalized patients, all with proven malaria and  
14 mainly in patients with moderately severe disease  
15 and mainly in adults and older children.

16           The implications of the actual usage in  
17 the proposed indication would impact a very  
18 different population, those in the field with  
19 severe disease unable to take PO and mainly very  
20 young children receiving rectal artesunate as  
21 empiric therapy. This is a population we have very  
22 little information on, even in the large body of  
23 clinical experience, to draw upon for safety  
24 evaluation.

25           Could we make this link at this time?

1 [Slide.]

2 In the end, what we have to make is the  
3 benefit and risk balance assessment. The applicant  
4 has stated that artesunate has a highly favorable  
5 safety profile and that the number of adverse  
6 events are small and no consistent pattern of  
7 toxicity has been identified.

8 I have tried to highlight for you some of  
9 the uncertainties about safety, particularly the  
10 as-of-yet-unknown safety margin of neurotoxicity  
11 especially in the very young children and the  
12 as-of-yet-unknown safety parameters of the  
13 population implicated in the proposed indication.

14 [Slide.]

15 Given all that, we are mindful of the  
16 impact of the disease malaria due to the individual  
17 human suffering and to global public health. Based  
18 upon our collective current knowledge, the proposed  
19 rectal dose of 10 milligrams per kilogram for one  
20 dose we feel is within the safety limits.

21 However, uncertainties and concerns expand  
22 if higher doses or repeated dosing becomes an  
23 issue.

24 I thank you for your attention.

25 DR. RELLER: Dr. Albrecht?

1 DR. ALBRECHT: Thank you. As the last  
2 speaker of the morning, I intend to keep my remarks  
3 quite brief. While my slides are coming up, I just  
4 wanted to comment that I think now, having heard  
5 the presentations from this morning, you are  
6 familiar with the indication that the WHO is  
7 requesting.

8 [Slide.]

9 It is for the use of a single dose  
10 rectal-artesunate capsule in initial management of  
11 malaria.

12 [Slide.]

13 Having heard the presentations by the  
14 speakers, I think I would like to refer to some of  
15 the comments I made in the opening remarks and say  
16 we have now identified for you some of the  
17 difference in the populations that were studied.

18 [Slide.]

19 The patients that would receive this drug  
20 in the actual-use setting of the rural community  
21 compared to the patients who received these  
22 products in the hospital setting with available  
23 medical infrastructure.

24 [Slide.]

25 The differences in the age

1 representations. The certainty in the diagnosis in  
2 the study populations with some uncertainties  
3 possible in the actual-use patients as well as  
4 issues on timing of drug administration.

5 [Slide.]

6 I think we have now heard about the  
7 endpoints of the studies. There is convincing  
8 evidence of parasite-count reduction at 24 hours.  
9 The question is does that serve as an effective  
10 surrogate for clinical success and perhaps, as  
11 importantly, is that an appropriate surrogate for  
12 the desired endpoint of mortality reduction in  
13 these patients.

14 We have also seen the statistical  
15 difference in recrudescence, particularly in the  
16 Malawi studies at 28 days. What is the clinical  
17 significance of this finding and, importantly, what  
18 impact might this have on the emergence of  
19 resistance to the artemisininins?

20 [Slide.]

21 So I think what we would like you to think  
22 about is what is the impact of these differences,  
23 what is the significance and the possible  
24 limitations, how much of the available data can, in  
25 fact, be generalized to the proposed target

1 populations and how much probably needs additional  
2 investigation or, perhaps, studies.

3 Thank you.

4 DR. RELLER: Thank you, Dr. Albrecht. We  
5 will look forward to continuing our discussion  
6 before the questions are posed later in the  
7 afternoon.

8 It is eight minutes after 12:00 by my  
9 watch. Let's reconvene at 1:10 just over an hour  
10 from now. We will begin with the Open Public  
11 Hearing and a presentation from Swissmedic.

12 [Whereupon, at 12:08 p.m., the proceedings  
13 were recessed to be resumed at 1:10 p.m.]



1 which I will refer to in the next minutes and which  
2 give us some headaches for the decision.

3 [Slide.]

4 First, I want to show you what we have  
5 done in Swissmedic up to now or the time line which  
6 we adhered to. We received the submission in  
7 April, 2000. We had our first discussion in our  
8 advisory committee in November, 2000 and sent our  
9 first comments to the WHO in December, 2000.

10 That was also a little bit an unusual  
11 procedure because we agreed to make some kind of  
12 rolling review. Also, we knew at the time in April  
13 that the documentation would not be complete. We  
14 agreed to receive additional material and we got it  
15 in March and August, September of 2001 and the last  
16 one just last month.

17 We also obtained advice from external  
18 experts, from Swiss external experts, in tropical  
19 medicine, first in December, 2000 and the last one  
20 in January this year. We had, apart from the  
21 comments we sent to the WHO, discussions with the  
22 WHO and the last one was in December, last year.

23 [Slide.]

24 The main issues after our clinical  
25 evaluation which we have to consider are very

1 basic. To obtain an approval, a drug must provide  
2 more benefit than harm when used and there has to  
3 be a little bit of a distinction. Of course, it  
4 has to provide more benefit than harm when used as  
5 intended but, in addition, it should also have a  
6 positive benefit-risk relation when it is used in  
7 the actual setting; here, in this case, in the bush  
8 or in the field.

9           So we are aware of the fact, all of us are  
10 aware of the fact, that some extrapolation is  
11 always necessary. This extrapolation can normally  
12 be based on some recent experience.

13           [Slide.]

14           This is the normal cascade of the  
15 benefit/risk evaluations or the benefit/risk  
16 extrapolations. We assess the benefit/risk in the  
17 clinical trial, make some extrapolation to the  
18 target population and see the benefit/risk in the  
19 intended use. Then we have some experience on  
20 difference of intended versus actual use and can  
21 guess what the benefit/risk and actual use will be.

22           [Slide.]

23           So the usual situation is that from the  
24 clinical trial to the intended use that the  
25 clinical-trial population and administration

1 circumstances are not too different from the  
2 intended use. Then we have a quite good experience  
3 on the unlabeled use to be expected which I don't  
4 know if you are aware of that. It is sometimes  
5 rather important, maybe up to approximately 50  
6 percent unlabeled use. But, in most cases, the  
7 indications of this unlabeled use are at least near  
8 the labeled use.

9 [Slide.]

10 For rectal artesunate, the situation is a  
11 little bit different. We have, from the Studies  
12 005, 007 and 007, which are considered as pivotal  
13 studies, only a relatively small line of evidence  
14 to the intended use because the clinical-trial  
15 population and administration circumstances are  
16 very different from the intended use as you have  
17 heard already in the last presentation about the  
18 safety of rectal artesunate.

19 In addition, we have, in this case, very  
20 little experience on the unlabeled use to be  
21 expected. So this is clearly a little bit more  
22 problematic than the usual situation.

23 [Slide.]

24 In addition, we have one further problem  
25 which makes things even more complicated. We have

1 the pivotal studies of with Formulation 2 and  
2 intended use is with Formulation 3 and the actual  
3 use, of course, will also be with Formulation 3.  
4 The bioequivalence between these galenical  
5 formulations is not shown up to now.

6 [Slide.]

7 This is the situation already mentioned  
8 several times, Study 013 will drop in. At least I  
9 hope so.

10 [Slide.]

11 Study 013 is, at least as far as we know  
12 up to now, somewhere between the intended use and  
13 the actual use, maybe not directly between the two  
14 but somewhere in this field which comes near to the  
15 intended or the actual use.

16 [Slide.]

17 If we already knew what Study 013 could  
18 show us, then we would have also have one problem  
19 less because Study 013 is done with the Formulation  
20 3 and bioequivalence would no longer be an issue.  
21 So, what do we know, or what is Study 013? It has  
22 been mentioned a little bit. I want just to repeat  
23 that.

24 [Slide.]

25 It is a placebo-controlled, randomized,

1 double-blind study and the projected enrollment was  
2 10,000 patients of approximately, at least, the  
3 target population. This study, in contrast to the  
4 studies which have been submitted up to now, has  
5 very clear efficacy-related endpoints.

6 One caveat of this study was it should be  
7 halted by the independent monitoring committee if  
8 proved beyond reasonable doubt that rectal  
9 artesunate is indicated or contraindicated.

10 [Slide.]

11 This study is still ongoing and blinded  
12 and we know some data already through the 120-day  
13 safety update. Another 3,366 patients have been  
14 enrolled as of March 22, 2002. 56 percent of the  
15 patients in the African studies are age below  
16 twelve months. That relates, as has already been  
17 mentioned, to an intended use in children beginning  
18 with 24-months old.

19 We have 74 percent positive smears but, as  
20 also has already been mentioned, these 74 percent  
21 positive smears do not exclusively mean that all of  
22 these patients have fever from malaria but it could  
23 also be that they have a positive smear and the  
24 actual problem of their fever and their non per os  
25 status was another one. But, most probably, at

1 least more than half of the patients had malaria.

2 99 of these 3,356 patients have died.

3 This is 2.9 percent, 4 percent of them children and

4 1 percent adults. We have at least four cases of

5 bacterial meningitis as was also mentioned already.

6 But this fact we have to keep in mind that it was a

7 very low number of patients which have been

8 investigated if they have bacterial meningitis, but

9 laboratory analyses have been done.

10 [Slide.]

11 The monitoring committee did not stop the

12 trial after 3,366 patients, after the second

13 interim analysis which the monitoring committee had

14 the unblinded data available which are not

15 available to us, to the regulatory authorities, and

16 not available to the WHO.

17 At least they did conclude that the study

18 can go on. So the questions which arise then are

19 is the advantage of using rectal artesunate as

20 important as the results of the study which have

21 been already submitted would suggest. After 3,300

22 patients, it is not clear whether rectal artesunate

23 provides a real benefit or poses a special risk.

24 The second question relating to the 56

25 percent of patients in the African studies with an

1 age below twelve months and also to other issues is  
2 it inappropriate and possibly counter productive  
3 use, even in this clinical-trial situation so  
4 widespread that a possible beneficial effect of  
5 artesunate is diluted.

6           If the use in young children is so high  
7 even in this trial, is there any point in  
8 restricting treatment to those aged twelve months  
9 and over. This relates clearly also to safety  
10 issues because those children obviously will  
11 receive much higher doses on a milligrams per  
12 kilogram body weight basis than the patients we  
13 have had in the clinical trials, 005, 006, 007 and  
14 the other ones.

15           [Slide.]

16           Our current considerations are that we  
17 don't have any major concerns about toxicity if  
18 used as intended. If a single dose is given, even  
19 if it is given to an eight or six-month-old child,  
20 we don't have too many concerns because all we know  
21 up to now, the therapeutic margin is high.

22           But, of course, we have to have to keep in  
23 mind that, most probably, at least outside of the  
24 clinical-trial situation as it is in Study 013, for  
25 example if we assume that a mother has rectal

1 artesunate available for an adult with 400  
2 milligrams and her little baby gets sick and she  
3 thinks that it may be malaria, given the situation  
4 we have already in Study 013, can we assume that  
5 she will not give the 400 milligrams to her baby,  
6 if it has helped especially, and the child becomes  
7 feverish again or the artesunate was not indicated  
8 because it has a fever of another origin, will she,  
9 perhaps, then use such a capsule again.

10           These are the things we don't know and are  
11 clearly the risks which are accompanied by this  
12 possibility of giving the rectal artesunate.

13           One other consideration; the  
14 bioequivalence of the clinical-trial formulation  
15 and the market formulation is not shown. But this,  
16 of course, is also an issue because of the  
17 relatively broad margin of rectal artesunate that  
18 it may be that this is less important even if we  
19 don't have Study 013 available. At least in the  
20 oral dosage, the maximum effect is already reached  
21 with 1.6 milligrams per kilogram and we can assume  
22 that at least even a single dose with 20 milligrams  
23 is not possible for the difference between the two  
24 galenical formulations

25           Even at doses, 20 milligrams was

1 tolerated, so it may be that this is less important  
2 given the overall picture of this application.

3 [Slide.]

4 Where we really do have concerns is about  
5 the benefit/risk relation in the actual use. I  
6 want to cite what our critics from the FDA have  
7 written in the briefing document. Patients in the  
8 field might get a false sense of security after  
9 rectal treatment and fail to present for definitive  
10 treatment or therapy and may use another capsule  
11 and still another.

12 This is especially difficult or, perhaps,  
13 a difficult situation if you consider that the  
14 diagnosis of malaria will, in a substantial part of  
15 the population, not be correct and they will be  
16 treated with artesunate for another febrile  
17 illness.

18 Perhaps it is even a little bit more  
19 complicated than in Study 013 because these are  
20 highly endemic countries where you have a high  
21 prevalence of malaria. But this may be a little  
22 bit different in other areas where the malaria  
23 makes only about 10 or 20 or 50 percent of all  
24 children which will be treated.

25 So we have still the question which we

1 have had already two years ago in our first  
2 assessment and the results, so far as we know up to  
3 now, of Study 013 only add a few more question  
4 marks to this question.

5 [Slide.]

6 What it not be wiser to wait for the  
7 results of Study 013 before taking a decision?

8 Thank you very much.

9 DR. RELLER: Are there any questions for  
10 Dr. Kemmler?

11 Dr. Kemmler, would you be willing to  
12 answer some queries from the committee?

13 Dr. Glod?

14 DR. GLODE: I just had two clarifications  
15 questions about the study design. I just wanted to  
16 confirm that the placebo is a true placebo. It is  
17 not a comparator; it is just a rectal suppository  
18 containing no active ingredient?

19 DR. KEMMLER: Yes; this is a  
20 placebo-controlled study.

21 DR. Glod: My second question was is the  
22 study design to be essentially done under what  
23 would be considered to be sort of real-life  
24 considerations so that distance and time to getting  
25 to a hospital is kind of what we would be thinking

1 about in the actual use of this, do you know, in  
2 those locations?

3 DR. KEMMLER: I didn't totally understand  
4 the question. This is a real-life situation. This  
5 is given--at least near a real-life situation.  
6 But, certainly, the representative of the WHO could  
7 answer that much clearer. As far as we understood,  
8 it is provided from a healthcare worker in the  
9 villages and then the child is transported to a  
10 healthcare facility.

11 DR. GLODE: With distance and time delays  
12 being sort of about what one might anticipate if  
13 this were used widely.

14 DR. KEMMLER: Yes.

15 DR. GLODE: Thank you.

16 DR. RELLER: Dr. Leggett.

17 DR. LEGGETT: A point of clarification.  
18 On the bottom slide on Page 4, you state about your  
19 conclusions; is the inappropriate and possibly  
20 counterproductive use even in this trial so  
21 widespread that a possible beneficial effect of  
22 artesunate is diluted. Are you referring to the  
23 widespread use of counterfeit artesunate or are you  
24 talking about the misdiagnosis and, therefore,  
25 malaria only representing 20 percent of febrile

1 illnesses or something of that--

2 DR. KEMMLER: I assume that the product  
3 which is distributed in this study is the real drug  
4 and is not a counterfeit. I mean the unlabeled  
5 use, the use outside the indication which has been  
6 applied for.

7 DR. LEGGETT: So you are talking about the  
8 problem of diagnosis of malaria.

9 DR. KEMMLER: Yes.

10 DR. RELLER: Any other questions  
11 specifically for Dr. Kemmler? Dr. Bell?

12 DR. BELL: You probably said this and I  
13 apologize because I missed it, but artesunate given  
14 in the study, is it the single dose or is it  
15 repeated dose?

16 DR. KEMMLER: Single dose.

17 DR. ARCHER: Assuming that the study goes  
18 to completion and all 10,000 patients are enrolled,  
19 how long would you predict that it would take to  
20 finish the study, on the basis of how long it has  
21 taken so far?

22 DR. KEMMLER: You should ask a  
23 representative of the WHO.

24 DR. RELLER: With the questions  
25 specifically for Dr. Kemmler, I was going to--any

1 others? I think if someone from Dr. Gomes, others  
2 from the WHO, realizing this is an ongoing blinded  
3 study, No. 013, could you share with the committee  
4 what the design is and what the endpoints being  
5 monitored are and what the expected time table is.

6 DR. O'FALLON: Can I ask one more  
7 question? How is the drug being distributed "in  
8 the bush?" Who gets it? That isn't clear to me.

9 DR. RELLER: This is what I hope we will  
10 hear with the study design.

11 DR. O'FALLON: That is another thing I  
12 want to hear addressed.

13 DR. RELLER: Dr. Binka?

14 DR. BINKA: Thank you, Mr. Chairman. I  
15 will start with the last question first. The drug  
16 is being distributed within the communities by  
17 field workers that are recruited and working with  
18 the study. This provides the distribution of the  
19 drug and encourages the mothers to apply the drug.  
20 So the mothers insert the drug and it is supervised  
21 to make sure that the children do not expel the  
22 rectal artesunate.

23 So it is both a learning process for the  
24 mothers to be able to do that. This has been  
25 distributed within the community in the different

1 settings. There has been quite some innovation in  
2 the way this is done.

3           In Ghana, the distribution sometimes takes  
4 place at places where you have herbals. They refer  
5 these cases to the field workers. The field  
6 workers are working in close collaboration with the  
7 herbalist to whom people principally tend to refer  
8 these cases. So we are recruiting the patients  
9 from where we expect to find them. So that is the  
10 first one.

11           [Slide.]

12           The second one is to do with the design.  
13 As I alluded earlier on, this is a double-blind  
14 controlled trial. The study was designed in such a  
15 way that we were expecting to have approximately  
16 10,000 patients as alluded in the previous  
17 presentation, but with an estimate of a mortality  
18 prevalence of about 5 percent.

19           You can see from what we have shown so far  
20 in the recruitment of 3,300 patients the mortality  
21 rate is about 3 percent.

22           [Slide.]

23           So, if you work out those figures already,  
24 we would expect that, to achieve the same level of  
25 difference that we expect, the original thinking

1 was to have a reduction from 5 percent to 3  
2 percent.

3 That will quickly increase the numbers of  
4 patients that need to be recruited to be able to  
5 demonstrate a similar effect; I'm sure almost  
6 fourfold. So we have to keep that in mind because  
7 this is just looking at mortality as the major  
8 endpoint.

9 So we really have a problem with the low  
10 mortality rates that we are demonstrating now.  
11 That study will need to be largely expanded or to  
12 take a much longer time to be able to demonstrate  
13 the survival benefit.

14 I just wish to remind us that this study,  
15 in the way it was designed, was basically trying to  
16 look at some of the personal issues. I have just  
17 alluded to some of them, working with people who  
18 actually see these patients and see how we can work  
19 with them and to find the results and to look at a  
20 real-life situation and address the issues of  
21 survival and also of toxicity.

22 I am not sure this study will add greatly  
23 to some of the endpoints that were discussed today.  
24 We agree with the FDA in their submission that a  
25 selection of endpoints, especially mortality, is

1 not really appropriate in this setting and that the  
2 most likely alternative endpoints are looking at  
3 its effect on both response to parasitemia and also  
4 the clinical responses that we are measuring in  
5 this case. The mortality endpoint would be very,  
6 very difficult to demonstrate.

7           Study 013 will not provide additional  
8 information on these two endpoints. In some cases,  
9 we are not even taking initial blood slides so that  
10 we cannot compare the two.

11           Briefly, just to look at the indication  
12 for which we requested, that this drug should be  
13 used, then Study 013 definitely will not be adding  
14 additional information to what we have already  
15 presented.

16           DR. RELLER: Dr. Ebert?

17           DR. EBERT: Just to confirm what you said  
18 a minute ago, are you saying that--you said 74  
19 percent of the patients were parasitemic so those  
20 samples are not always taken prior to therapy?

21           DR. BINKA: No. The bulk of most of these  
22 studies, I mean the bulk of the patients that were  
23 recruited initially were having a blood film and  
24 then given treatment, and they are referred to the  
25 clinical facility for the final treatment. We have

1 been trying to increase the numbers recently. At  
2 least in one location, some of the patients didn't  
3 have initial blood slides.

4 DR. RELLER: Dr. Archer?

5 DR. ARCHER: You basically said that, the  
6 mortality rate being 3 percent instead of 5  
7 percent, that your 10,000 patient endpoint is no  
8 longer appropriate, that you would have to go to  
9 two or three times that many patients? Is that  
10 what you are saying?

11 DR. BINKA: What I am saying is that the  
12 initial thinking was that this mortality rate would  
13 be about 5 percent and the intention was possibly  
14 to reduce this to 2 percent. We were aware that  
15 this was an estimate and that the Data and Safety  
16 Monitoring Committee would review this very closely  
17 because nobody really knew what the estimates were.

18 Currently, from the number of patients  
19 that we recruited, you can see the mortality rate  
20 is about 3 percent. Obviously, if we needed to  
21 demonstrate the same level of effect, then we need  
22 to increase the study much more, three or four  
23 times.

24 DR. RELLER: Dr. Folb?

25 PROFESSOR FOLB: We need to deal with two

1 points of possible confusion in the last  
2 presentation, one relating to the discussion that  
3 has just happened. Study 013 will not address  
4 further the evidence of efficacy that we have  
5 presented and on which we are in agreement with the  
6 Food and Drug Administration.

7 Study 013 is designed with two principal  
8 objectives. A primary objective is to show that in  
9 the field this drug, the efficacy of which has been  
10 demonstrated, will translate to a reduction in  
11 mortality. Our papers and our datasheet do not  
12 suggest yet that there will be a reduction in  
13 mortality as a result of this intervention.

14 So we do not want the confusion that Study  
15 013 simply adds to the body of evidence that we  
16 have brought before the FDA and this committee.

17 The second point I wish to make relates to  
18 the question of bioequivalence.

19 [Slide.]

20 I would like to share this slide which was  
21 referred to by the Food and Drug Administration.  
22 Our understanding is that we are no longer at issue  
23 with the Food and Drug Administration regarding  
24 bioequivalence, that we are in agreement. We had a  
25 formulation that needed to be upgraded for general

1 application once this medicine is approved.

2           Now, that is, we had to show that  
3 Formulation F2 is equivalent in activity to  
4 Formulation F3. At the start, I must say that the  
5 artesunate content, the active principal content  
6 and the excipients, are identical. This is not at  
7 issue. But the content is different.

8           We had the possibility of doing one of  
9 three things; the first was comparative  
10 dissolution. Clearly, that would not have  
11 impressed ourselves and certainly not yourselves.  
12 The second was to make a comparison of  
13 pharmacokinetics. We have already shown, and the  
14 Food and Drug Administration has drawn your  
15 attention to the fact that, for reasons that extend  
16 from the way this drug is assayed, the assay  
17 accuracy that is available to us, and the inherent  
18 variability amongst humans, pharmacokinetic  
19 comparison could not satisfactorily be made.

20           We predicted that at the outset and we  
21 confirmed it. We would need to do thousands, if  
22 not tens of thousands, of pharmacokinetic studies  
23 to show equivalence. We never proposed that that  
24 would be our evidence.

25           Pharmaco-equivalence was demonstrated by

1 us, we submit, by looking at therapeutic  
2 equivalence of the two formulations. This study  
3 confirms it. In effect, regardless of the  
4 formulation, F2 or F3, we achieved, in this study,  
5 99.7 percent parasite clearance. With the two  
6 formulations, they were identical in effect,  
7 parasite clearance of the two formulations when  
8 compared with patients with moderately severe  
9 malaria. That is the very patients who were the  
10 target of our study.

11 Mr. Chairman, and members of the  
12 committee, since this is likely to be the last time  
13 that I will have the opportunity of addressing you,  
14 I want to remind ourselves, the committee, that  
15 what we are hoping to achieve is what we have in  
16 common agreement with the Food and Drug  
17 Administration; that is, firstly, that intervention  
18 with this particular rectal artesunate in the dose  
19 that we propose substantially, reliably,  
20 predictably and virtually invariably reduces the  
21 parasite count over 24 hours to a point where it is  
22 either not detectable or is detectable by an order  
23 of magnitude less.

24 We have shown the evidence and we have  
25 argued the logic behind the idea that such parasite

1 response and such clinical response that attends  
2 it, as we have demonstrated, translates to  
3 meaningful clinical advantage. We could do  
4 hundreds, tens of hundreds, thousands of additional  
5 studies. But I do not believe, and I understand  
6 the FDA have not proposed otherwise--I do not  
7 believe that that will add to the picture that we  
8 have put before you of efficacy for this particular  
9 indication and in this particular dose.

10 With regard to toxicity, we appeal that  
11 the committee comes to the agreement, the joint  
12 agreement between the Food and Drug Administration  
13 and ourselves and even the Swiss authority that, in  
14 this dose, there is acceptable safety including  
15 neurotoxicity.

16 Thank you.

17 DR. RELLER: Dr. Archer?

18 DR. ARCHER: I am still seriously  
19 confused.

20 PROFESSOR FOLB: Sorry.

21 DR. ARCHER: If Study 013 shows that there  
22 is no difference in mortality between placebo and  
23 rectal artesunate, doesn't that defeat the purpose  
24 for giving rectal artesunate?

25 PROFESSOR FOLB: It means that we will not

1 be able to make the claim that clinical advantage  
2 demonstrated translates in the field to reduction  
3 in mortality. It, in no sense--

4 DR. ARCHER: But isn't that the only  
5 reason you are doing this is to decrease mortality?

6 PROFESSOR FOLB: No. Our reason for doing  
7 it is to intervene, to enable to the patient to get  
8 to hospital. We infer, and we hope--

9 DR. ARCHER: Without dying.

10 PROFESSOR FOLB: Without dying.

11 DR. ARCHER: That's mortality.

12 PROFESSOR FOLB: That's mortality. We  
13 want the patient to get to hospital, in a situation  
14 where there is no treatment available at the moment

15 DR. RELLER: Dr. Glod?

16 DR. GLODE: Could I ask, in Study 013, if,  
17 in a subset of patients, you are planning to look  
18 at secondary endpoints of clinical response and  
19 reduction of parasitemia because the valuable  
20 information, I think, to be gained that is not  
21 present now is the 56 percent of patients less than  
22 twelve months of age.

23 So I don't think we currently have the  
24 information on reduction of parasitemia and  
25 clinical response in that particular young age

1 group that would be relevant; is that correct?

2 PROFESSOR FOLB: We agree. We agree that  
3 our evidence does not answer your question about  
4 children under the age of two. The answer to your  
5 question about secondary objectives is that we  
6 have, indeed, one secondary objective and that is  
7 the safety, in particular the neurotoxicity. So  
8 this is a large simple study aimed, as quickly as  
9 possible, to come to the additional point that we  
10 would hope to prove that implementation in this way  
11 translates to reduction in mortality.

12 If we were not to demonstrate that, it in  
13 now way compromises the clinical evidence that we  
14 have put before you.

15 DR. RELLER: Dr. Patterson.

16 DR. PATTERSON: Could I ask Dr. Binka  
17 about Study 013. Do you think that maybe one of  
18 the reasons the mortality is lower than expected in  
19 the study is because there is a Hawthorne effect  
20 from the education of the field workers and the  
21 community in general about malaria and the  
22 importance of getting to the hospital?

23 DR. BINKA: Yes; I would agree with that.  
24 This is a disease that we all understand kills.  
25 The way that we have designed it is not the really

1 optimal way to design it. We are mindful of the  
2 fact that this disease kills. There is a lot of  
3 support for both those who are in the placebo group  
4 and the treatment group to get to the facility to  
5 get treatment.

6 Obviously, that creates a problem to try  
7 and--whatever estimate we are finding now is a  
8 clear underestimate of what we really would find if  
9 we were to design this in such a way that we  
10 maximize the design effect.

11 DR. RELLER: Dr. Bell and then Dr. Archer  
12 again.

13 DR. BELL: He has a quick follow up.

14 DR. RELLER: Gordon, go ahead.

15 DR. ARCHER: I just have a question. If  
16 this drug is approved at this time, would it  
17 compromise Study Trial 003 at all? That is, would  
18 rectal artesunate become so widely available that  
19 you couldn't do the study?

20 PROFESSOR FOLB: Rectal artesunate, if  
21 approved, is a product of the World Health  
22 Organization. We have made public advertisement  
23 and received a number of responses from countries  
24 where the implementation and further  
25 implementation, contingent on the results of Study

1 013, will be done in collaboration with  
2 governments, regulatory authorities, communities.

3 So we have planned--and we are not  
4 compromising on this--we have planned very strict  
5 release.

6 DR. RELLER: Dr. Bell?

7 DR. BELL: I want to come back again to  
8 the reasons that we would need this drug in the  
9 United States. I am a little confused. There are  
10 obviously decisions that have been made here about  
11 what type of indication to seek, to this agency and  
12 so on. But, again, we don't have people dying  
13 before they can get to hospital of malaria in the  
14 United States.

15 What we do have is the specter of  
16 multidrug-resistant malaria imported from overseas  
17 for which this would, at least for some strains  
18 such as now found in Southeast Asia, be potentially  
19 a lifesaving drugs because these strains are  
20 resistant to the other approved drugs that we have  
21 in the United States.

22 I am a little confused why resistance has  
23 not figured more prominently in this discussion as  
24 a reason for approval of the drug. Even overseas,  
25 the logistics of conducting the necessary trials

1 were more formidable than this one. But could  
2 somebody address the use of this drug for treatment  
3 of multidrug-resistant malaria that would  
4 presumably require multiple doses?

5           What experience is there in Thailand?

6 Perhaps, Dr. White--we know that multidrug  
7 resistance is increasing in Latin America and  
8 Africa. My view is that the reason we would like  
9 to have this drug in the armamentarium in the  
10 United States, whether in this preparation of some  
11 other, is because of the specter of cases of  
12 imported resistant malaria.

13           Could somebody talk about the drug  
14 resistance issues.

15           PROFESSOR WHITE: Firstly, there are two  
16 parts to your question. One was the question of  
17 delay. I don't know whether things have changed  
18 but when the mortality of U.S. servicemen in the  
19 Viet Nam conflict for malaria was compared to those  
20 who were treated in Viet Nam and those who had  
21 malaria when they came back, I understand the  
22 mortality was significantly higher in the United  
23 States. This was attributed to late diagnosis.

24           So there is a delay, whether that delay is  
25 one of referral or diagnosis, and the result is

1 that a higher proportion of patients present with  
2 severe malaria.

3 In Thailand, as in much of Southeast  
4 Asia--as in the adjacent countries, the standard  
5 recommended treatment for multidrug-resistant  
6 falciparum malaria is a combination of oral  
7 artesunate--for uncomplicated disease is oral  
8 artesunate given for three days together with a  
9 split dose of mefloquine. And you don't have oral  
10 artesunate yet.

11 DR. BELL: We don't have any artesunate.  
12 If a person from your area of Thailand were to show  
13 up in a hospital in Atlanta, we would have trouble  
14 because we don't have any artesunate here. I think  
15 that is the issue that needs to be brought up as  
16 well.

17 PROFESSOR WHITE: To be fair, proguanil is  
18 effective against those multidrug-resistant  
19 parasites. I would like to say it wasn't and,  
20 therefore, you would have to use it.

21 DR. RELLER: There are two times for ample  
22 discussion on not only more about resistance but  
23 any other relevant issue after Dr. Goldberger's  
24 charge to the committee. For proper procedure, we  
25 thank Professor White for his comments that were

1 just made.

2 Dr. Kemmler spoke on behalf of Swissmedic  
3 at the Open Public Hearing. Are there any other  
4 members who wish to speak in the forum of the Open  
5 Public Hearing, not sponsor, not committee members,  
6 but from the public.

7 If not, the Open Public Hearing is closed.  
8 We will move to Dr. Mark Goldberger's charge to the  
9 committee and then Dr. Rotstein and others, we will  
10 open the discussion for any topic of relevance to  
11 the charge given us. Then there will be full and  
12 open discussion of all the relevant participants, a  
13 break, more discussion and then the vote on the  
14 questions posed to us.

15 Mark?

16 Charge to the Committee

17 DR. GOLDBERGER: What I will do is go  
18 through the questions, make some comments and try  
19 to emphasize some points that we particularly would  
20 like you to include as you discuss these questions  
21 and issues.

22 For the first question, the applicant has  
23 demonstrated the activity of artesunate by showing  
24 a decline in parasitemia over 24 hours--it is just  
25 important to emphasize that we have no disagreement

1 whatsoever to the fact that that has, in fact, been  
2 shown--and a 24-hour clinical outcome--we have no  
3 disagreement either with that.--similar to that  
4 seen with comparator drugs of hospitalized patients  
5 with moderately severe malaria.

6           Are these results sufficient to support  
7 the approval of artesunate as initial therapy in  
8 patients without other therapeutic alternatives.  
9 We have slightly modified the wording of the  
10 proposed indication from the WHO to, I think,  
11 reflect, at least from our perspective what, in  
12 fact, the actual indication would likely be.

13           In your discussion, please include the  
14 usefulness of parasitemia as a measure of efficacy.  
15 One thing to keep in mind is we believe that, if  
16 this drug were to be approved, it would be approved  
17 under the FDA's accelerated approval regulations  
18 which allow the use of surrogate endpoint.

19           Surrogate endpoints in the past have  
20 included mycobacteremia, CD4 count, viral load and  
21 this would yet be another example. Beyond the  
22 issue of accelerated approval requiring you to show  
23 a benefit over therapies that currently exist, one  
24 would also need to have some evidence, or at least  
25 a suggestion, that the surrogate is likely to

1 correlate with a longer-term, more durable benefit.

2           So one thing is we would like you to at  
3 least make some comment about what you think about  
4 parasitemia as a surrogate. We would also like you  
5 to talk about the importance of recrudescence rates  
6 at Day 28 and issues of understand therapy. I  
7 think that one of the things, when we look at a  
8 surrogate, we look at issues of what is the  
9 longer-term endpoint.

10           At a minimum, we think the longer-term  
11 endpoint, for instance, might be the status at Day  
12 28; that is, whether the person has, in fact, been  
13 cured. That also raises the issue of how to  
14 integrate artesunate into more definitive therapy.

15           Some issues have been raised about  
16 increased rates of recrudescence. We don't know if  
17 those are geographic, if those are specific to  
18 certain treatment regimens, et cetera. These  
19 issues are potentially important in providing  
20 advice in product labeling to physicians who might  
21 be using the product as to how treatment should,  
22 for instance, be continued.

23           There is also the issue that has been  
24 touched upon several times of whether mortality is  
25 the definitive endpoint. That may certainly also

1 be the case. There have been some issues about  
2 whether a mortality difference can be shown. I  
3 think that is something you may want to comment on  
4 as well as to whether that needs to be required or  
5 whether showing ultimately that the Day 28  
6 recrudescence rates can be made similar would be  
7 sufficient.

8           We will come back to the issue of how that  
9 might be shown in subsequent studies in a couple of  
10 minutes.

11           Finally, the issue of the differences in  
12 the study population and the intended-use  
13 population. There has been, obviously, already a  
14 lot of discussion about the point. From a purely  
15 U.S. perspective, it might appear that use within  
16 the United States, how the drug might, in fact, be  
17 used, might not, in fact, be that dissimilar to the  
18 way the drug was, in fact, studied in hospitalized  
19 patients.

20           People from the United States who go  
21 abroad, missionaries, Peace Corps workers, et  
22 cetera, obviously we be somewhat different than the  
23 way the drug was, in fact, studied to date in the  
24 studies that have been submitted to us. Obviously,  
25 there has been considerable discussion about the

1 issue of how the data that has been presented to  
2 date, how that population compares to the actual  
3 intended-use population that might occur in other  
4 countries, for instance.

5 I think that, at one level, it probably is  
6 important for you to think about your level of  
7 comfort as to the real intended-use population, in  
8 fact as stated by the WHO, and how comfortable you  
9 are that that represents a genuine population that  
10 has some degree of unmet need. You have heard a  
11 lot of discussion about Study 013. Study 013 may  
12 be one approach to looking at such a population  
13 but, as has been said, even Study 013 may not  
14 really duplicate what happens in even more remote  
15 settings.

16 If your answer to the above question is  
17 no, then we would like you to indicate what  
18 additional information would be required to  
19 demonstrate sufficient evidence of efficacy.

20 For the second question, is the safety  
21 information and safety profile of artesunate  
22 sufficient to support the approval of artesunate  
23 for use as initial therapy in patients without  
24 other therapeutic alternatives. In your  
25 discussion, please include the differences in the

1 clinical trial and the intended patient  
2 populations, addressing the potential risks and  
3 benefits in the empirical use of the product for  
4 emergency therapy.

5           Again, it is important to include, as has  
6 already been discussed, that patients without  
7 malaria will almost surely receive drug and there  
8 is a question as to whether patients who get the  
9 drug might be more likely to then not seek  
10 additional medical care if they, in fact, have  
11 malaria. Whether that you believe is, on balance,  
12 a significant issue to overcome potential benefits  
13 of the drug in terms of reducing parasitemia.

14           If the answer to the above question is no,  
15 please indicate what additional information would  
16 be required. If the answers to Questions 1 and 2  
17 are yes, please indicate if there any caveats or  
18 restrictions that should be included in product  
19 labeling. These can include the populations who  
20 should or should not receive the drug, issues about  
21 how many doses should be administered, issues about  
22 how definitive therapy might be used, any other  
23 considerations you think are relevant.

24           Again, this information obviously would be  
25 important for any U.S. physicians prescribing the

1 drug. It would also, we think, potentially be  
2 quite helpful to foreign regulators, foreign  
3 countries, perhaps nongovernmental organizations,  
4 et cetera, who may have involvement with present or  
5 future development of this product.

6           Finally, the last question; if this  
7 product were approved under our Subpart H  
8 regulations--that is, the accelerated approval  
9 regulations of which I spoke a few moments  
10 ago--what additional studies would be required in  
11 the post-approval period?

12           The accelerated approval regulations give  
13 us the authority to require additional studies be  
14 completed or performed. Unlike the normal phase IV  
15 postmarketing studies which are agreements reached  
16 between FDA and a sponsor but which FDA has no  
17 means to compel the sponsor to actually do.

18           Examples of such things could be  
19 completing Study 013, providing the results, doing  
20 some studies with different types of definitive  
21 treatment regimens to understand whether there are  
22 interactions, pharmacodynamic or otherwise, between  
23 artesunate and other treatment regimens,  
24 pharmacologic, toxicologic studies or other things  
25 that you think are relevant to better understanding

1 the product.

2 We think that a number of issues  
3 potentially have already been raised and we think  
4 providing that type of advice would be quite  
5 helpful if, in fact, you advise that the drug be  
6 approved so that we can ensure that, over time, we  
7 have satisfactory information about the product.

8 Thank you.

9 Discussion

10 DR. RELLER: The discussion is open and  
11 includes questions to anyone with potential  
12 answers.

13 DR. ARCHER: I have a question about the  
14 questions, the charge to the committee. Are we  
15 just talking about single-dose, 10 milligrams per  
16 kilogram, artesunate? It seems to me the  
17 discussion has also included the availability of  
18 the preparation, itself, in all kinds of doses and  
19 dosage forms. Are we to limit ourselves only to--

20 DR. GOLDBERGER: The WHO is proposing to  
21 make two dosage forms available, an adult  
22 suppository and a pediatric suppository. Those  
23 are, in fact, therefore the subject of the  
24 discussion today. The fact is that these products,  
25 or at least different forms, have been available

1 for some time as over-the-counter products, for  
2 instance, in both, I believe, Africa and Asia, is  
3 obviously an issue but not clearly an issue for  
4 discussion except, perhaps, as how this might  
5 relate to that in terms of resistance, et cetera.

6 I think it is a legitimate issue if you  
7 wish to think about what the likelihood is of  
8 multiple-dose use, how much of a problem that is.  
9 That would potentially be germane to the  
10 discussion.

11 But the WHO is coming forth with a  
12 proposal for artesunate for the suppository dosing  
13 form only in two different strengths. So that  
14 should be the substance of your discussion.

15 DR. RELLER: As important as oral  
16 formulations may be, we have heard no data on their  
17 efficacy or safety directly.

18 The discussion is open now. Dr. Rotstein  
19 had his hand up even before the discussion opened,  
20 so we will fairly turn to him first and then Dr.  
21 Cross and the other hands that were up.

22 At the beginning of the meeting, we had  
23 introductions around the table. We did not have an  
24 opportunity for you to introduce yourself, so  
25 please do so now, your name and affiliation, for

1 the record.

2 DR. ROTSTEIN: Coleman Rotstein, MacMaster  
3 University, Hamilton, Ontario, Canada.

4 DR. RELLER: Thanks.

5 DR. ROTSTEIN: I do have a comment and  
6 then I want to try to answer one of the questions.  
7 The comment I have is what we are seeing around the  
8 table is really tremendous uneasiness with this  
9 compound. We see how it is being used elsewhere  
10 and then we see how it may be used, whether it be  
11 in the United States or whether it be in  
12 Switzerland, in two different types of situations.

13 I think it is being used in young kids  
14 overseas and it is being used readily--here in  
15 North America, it may be used, or, for that matter  
16 in Europe, it may be used totally differently. We  
17 are not exactly sure if it is applicable to our  
18 situation. There is uneasiness about the table  
19 about that, so I think we have to acknowledge that  
20 and approach our discussion with that sort of  
21 jaundiced view, will it be used appropriately here  
22 in North America.

23 A comment about some of the questions.  
24 Usually parasitemia is really relevant in severe  
25 malaria and we don't know if this is going to work

1 in severe malaria where we have more than 5 percent  
2 parasitemia. We have comments about moderate to  
3 severe and we know that there is a reduction in the  
4 parasitemia but will it really work in severe  
5 malaria. Some of the people we are going to see  
6 here, because of the delay in diagnosis that we  
7 have heard about, may actually be severe malaria  
8 with more than 5 percent parasitemia and we are  
9 unsure if it will work there.

10 So I am unclear about that at this moment.

11 DR. RELER: Thank you.

12 Dr. Cross?

13 DR. CROSS: I think part of the issue is  
14 how will this supply of this drug be managed if  
15 approved. It is my understanding that the World  
16 Health Organization will be contracting the  
17 production of this drug to subcontractors.  
18 However, the control of the marketing, if you will,  
19 of this drug will be in the hands of the WHO as  
20 opposed to some independent subcontractor being  
21 responsible for the marketing and supply of the  
22 drug.

23 I am just wondering whether, in fact, the  
24 WHO can confirm how the supply of drug will be made  
25 available and under whose aegis is it distributed.

1 Is it only with the imprimatur of the WHO or will  
2 some other organization or manufacturer also make  
3 this available perhaps independently of their  
4 approval.

5 DR. RELER: Dr. Gomes or others, could  
6 you address this?

7 DR. GOMES: I can address the second of  
8 the two points but, perhaps, Professor White will  
9 refer to the first point. The intention of the WHO  
10 is to control the way in which this drug will be  
11 made available within the malaria-endemic  
12 countries. At present, what we have done is  
13 commissioned all of the work--that is the  
14 development of the active ingredient, the  
15 formulation into the suppository formulation--and  
16 we have agreements with what is often referred to  
17 as the final tool manufacturer, the group that  
18 would be packaging the drug.

19 If this drug is approved by the FDA, we  
20 would want to work with the company with whom we  
21 have worked--it is a small, Danish company with  
22 whom we have worked for fourteen years in making  
23 multidrug therapy for leprosy. We have a  
24 long-standing relationship with this company. We  
25 would want to continue with this company to be able

1 to control the manner in which the drug is made  
2 available which would be within countries through  
3 WHO.

4 DR. RELLER: I can't remember who was  
5 first up here. There were three hands at once.  
6 Let's just go down the line. Dr. Parise?

7 DR. PARISE: I have sort of a related  
8 question. It may be to the FDA. The rectal  
9 formulation today, how would that affect the  
10 ability in the United States, say, for an IV  
11 formulation of this later? Would that have to also  
12 be covered under the agreement with WHO? Would  
13 other companies be able to develop that?

14 DR. GOLDBERGER: That should have no  
15 impact. I don't believe that that would have an  
16 impact. Certainly not for treatment of malaria  
17 which is a very different indication. I am not  
18 even sure that it would have an impact for the  
19 identical incidence such as this because--I would  
20 have to go back and look at the details of  
21 orphan-drug exclusivity but, practically speaking,  
22 clearly this is a different indication than  
23 treatment of malaria and, in fact, it is a  
24 different indication even than prevention of  
25 malaria.

1           So I don't think, actually, it would have  
2 a major impact except for another, perhaps,  
3 suppository dosing form for a similar situation.  
4 That seems, frankly, to be pretty unlikely.

5           PROFESSOR WHITE: I wanted to talk to Dr.  
6 Rotstein's question, if that is possible. Dr.  
7 Rotstein was uneasy. I wanted to try and make him  
8 easy. You said would it work in severe malaria.

9           [Slide.]

10           This is the slide that Professor Folb  
11 showed. This is cumulatively by far and away the  
12 largest treatment experience in severe malaria. It  
13 is the individual patient overview from 1,900  
14 patients, half of whom received artemether.

15           In the prospectively defined group of  
16 adults in Southeast Asia, artemether was associated  
17 with a significant reduction in mortality.  
18 Although the overview of all patients the p was  
19 0.08, in the prospectively defined subgroup of  
20 adults from Southeast Asia, it was significantly  
21 better.

22           [Slide.]

23           Artemether has a much inferior  
24 pharmacokinetic profile to either rectal artesunate  
25 or parenteral artesunate. So we would maintain

1 strongly that this drug would work in severe  
2 malaria. If the ingredient gets into the blood,  
3 then it would work.

4 DR. ARCHER: I have two questions that you  
5 may be able to answer. In the briefing document,  
6 there is some in vitro data about antagonism  
7 between these drugs and other antimalarials. I  
8 wonder if there is any clinical evidence of that.

9 And then, secondly--if you can remember  
10 the second question--the recrudescence problem;  
11 would it be safe to assume that recrudescence is  
12 related to the shorter half-life of this drug  
13 compared to quinine, for instance?

14 PROFESSOR WHITE: Yes. There are a lot of  
15 papers published in synergy and antagonism of  
16 antimalarial drugs. They usually refer to slight  
17 bowings of the isobologram. There is no evidence  
18 at all from the a clinical impact of these. In  
19 fact, the only clinically important synergy that  
20 occurs with antimalarial drugs is the synergy  
21 between the antifolates and the sulfalomides, which  
22 sulfadoxine/pyrimethamine exploits. But there is  
23 no evidence for either faster parasite-clearance  
24 time when you have a synergistic drug or a slower  
25 parasite-clearance time when you have an apparently

1 antagonistic drug. To be quite frank, the degree  
2 of synergy and antagonism is very mild.

3 Thank you very much for the second one  
4 because we have rehearsed, that is the question of  
5 recrudescences.

6 [Slide.]

7 I would like to congratulate the  
8 statistician because I thought it was a very  
9 beautiful analysis and it illustrates a very clear  
10 scientific point. The short answer is yes, but may  
11 I take a few minutes to say why?

12 Here is the paradigm. What happens is  
13 that if you give a single dose of drug, of  
14 artemisinin, you will have a very profound effect  
15 on the parasitemia. But it is such a short  
16 half-life drug that, if you don't give any more,  
17 then you don't have any further reduction. You  
18 have to keep maintaining that. You have to  
19 maintain the presence of the drug until all the  
20 parasites have been eliminated from the body.

21 With a slowly eliminated drug, of course,  
22 the plasma concentrations persist and you have  
23 antimalarial effect persists. But, for a rapidly  
24 eliminated drug, it has to be present until all the  
25 parasites have gone which means seven days in an

1 autoimmune individual.

2           So this illustrates the point that the  
3 longer you give the drug, and this applies to both  
4 the artemesian derivatives and quinine, which is  
5 still a rapidly eliminated drug but much slower.  
6 That is of relevance. That explains beautifully, I  
7 think, the Malawi findings.

8           So, the longer you give the drug, the  
9 greater the opportunity you have of suppressing  
10 parasitemia to cure and the longer it takes if you  
11 don't cure the patient for recrudescence.

12           [Slide.]

13           So if we remember these worrying--or at  
14 least they worried you--the results from Malawi and  
15 we had a much higher recrudescence rate in the  
16 children who received this single dose of  
17 artesunate, followed, then, by, effectively, an  
18 ineffective drug, sulfadoxine/pyrimethamine.

19           So the treatment response relied very much  
20 on the first drug, artesunate versus quinine. Now,  
21 the mean dose of quinine is four doses. Quinine  
22 has a terminal elimination half-life in malaria of  
23 about sixteen hours. So we would estimate that you  
24 would have antimalarial activity in the blood for  
25 at least 48 hours after the last dose of quinine.

1 [Slide.]

2 Now, quinine actually kills more slowly  
3 but it is present for an extra cycle. So the net  
4 result is better and, in some cases, where you have  
5 good background immunity--these were semiimmune  
6 children--you will cure. If you do have  
7 recrudescence, it will occur later on.

8 So what we were seeing with the Malawi  
9 studies is a better contribution from a less  
10 effective drug simply because it is present for  
11 longer in the blood. So the true comparator would  
12 have been to give artesunate for the same number of  
13 cycles. Artesunate only affected one cycle.  
14 Quinine affected two or possibly three cycles.

15 Finally, you showed very beautifully how  
16 the difference was most evident at seven and 14  
17 days. By Day 28, in Malawi, which is a  
18 high-transmission setting, had a lot of  
19 reinfections confounding the issue. So you had a  
20 combination by Day 28--of course, there was no  
21 genotyping done in that study to distinguish it--of  
22 reinfections which would have been equally  
23 distributed amongst the two groups, plus  
24 recrudescences which were more in the artesunate  
25 group because only a single dose was given.

1 DR. RELLER: Dr. Bell?

2 DR. BELL: I have two questions, also.

3 One is I would like to be sure I understand clearly  
4 what is known about the safety of repeated dosing  
5 of artesunate in any form, rectal followed by oral.

6 Is it just that there is limited information to  
7 assess that it is safe or not or is it that we  
8 actually have reason to believe that it is harmful.  
9 That is one question.

10 I know that is not the indication that is  
11 being sought, but Study 005 in Thailand was  
12 repeated dose of artesunate although the subsequent  
13 doses were oral. Just in general, repeated dosing.

14 PROFESSOR WHITE: Humans and then animals.  
15 In man, we use artesunate. Artesunate is standard  
16 treatment now in the multidrug-resistant areas. It  
17 is given either--preferably in a combination with  
18 another antimalarial drug over three days or, in  
19 the case of reduction infections, it is given for  
20 seven days. People have multiple courses.

21 Professor Folb showed you in those studies  
22 where patients were selected for having had  
23 multiple courses and matched with controls from the  
24 same community who had not had artesunate. There  
25 was no evidence, either clinically, audiometrically

1 or, in terms of auditory-evoked potentials, there  
2 were no detectable abnormalities.

3           The animal data that we have presented for  
4 artesunate shows that you need absolutely enormous  
5 doses to produce anything given by any route

6           [Slide.]

7           In fact, I think you brought it out very  
8 nicely in your presentations on toxicity that,  
9 basically, the problem appears, which was  
10 discovered initially by Dr. Berne and his  
11 colleagues, is related to artemether or artemether  
12 given intramuscularly because these provide a  
13 sustained concentration throughout the 24-hour dose  
14 interval.

15           Whereas, give the same drug orally, which  
16 has a much more rapid absorption and elimination  
17 and is much less neurotoxic, if you want to make  
18 the oral drug neurotoxic, you can do so by  
19 providing a plasma-concentration profile that  
20 approximates the intramuscular injection by giving  
21 it constantly with food.

22           So, if you coat food pellets with  
23 artemether, it becomes neurotoxic because the  
24 animal is eating it all the time and has a constant  
25 exposure. But, for artesunate given in any way,

1 considerably, about six times, less neurotoxic.

2 I don't know if that answers what you--

3 DR. BELL: I guess what I think I am  
4 concluding is that, although there is some reason  
5 to be concerned about neurotoxicity and we would  
6 like to have more evidence to assess how common  
7 this is, based on the evidence we have, at least in  
8 people, it is not a significant problem.

9 PROFESSOR WHITE: We just simply haven't  
10 been able to find any. We don't know how else to  
11 look. We thought that auditory-evoked potentials  
12 would be about the best way to bring out any  
13 abnormality. There is not a single documented case  
14 of plausible neurotoxicity in man.

15 DR. BELL: I have another question, but if  
16 somebody else wants to--

17 PROFESSOR WHITE: In fact, I will just say  
18 that they are just remarkably safe antimalarial  
19 drugs when you compare them with all the others.

20 DR. BELL: I will pose my other question  
21 and then the Chair could decide if it should be  
22 postponed. I am a little confused when the  
23 discussion goes around to how this drug will be  
24 used in actual practice overseas. The FDA's  
25 responsibility is for how it will be used here. I

1 am not sure how much it is relevant to FDA approval  
2 for use in this country, how it will be used in the  
3 field overseas. To me, that is more of a WHO issue  
4 and the local governments there.

5 But I would like to ask WHO, because I am  
6 not sure I quite got an answer this morning, how  
7 important is FDA's approval for WHO's plans for  
8 malaria control overseas for FDA's approval of this  
9 indication in the United States. Maybe more  
10 specifically, what will be WHO's future course of  
11 action in terms of distributing this drug if FDA  
12 approves it for use in the U.S. or not?

13 DR. RELLER: Dr. Folb?

14 PROFESSOR FOLB: Our original purpose in  
15 approaching the Food and Drug Administration was to  
16 achieve the highest possible level that we could  
17 think of of review of our proposal. The Food and  
18 Drug Administration has a record and a commitment  
19 to public health that goes beyond the United States  
20 of America.

21 It was to that that we appealed and to  
22 which the Food and Drug Administration responded.  
23 It was important to us, but I do want to make it  
24 clear that we do not have in our minds that this  
25 drug, as proposed by ourselves, will be used

1 differently in the United States or by United  
2 States citizens outside the United States to that  
3 which we propose in developing countries.

4 We believe that it needs to be used well  
5 and precisely and with the same kind of information  
6 support and package. That is inherent in our plans  
7 and we are quite committed to it. All the  
8 countries we work with know that.

9 What was the reason for the importance of  
10 the Food and Drug Administration approval of this  
11 application. Firstly, it is clear that that will  
12 unlock, for any number of countries, the regulatory  
13 process in their countries. The importance of the  
14 Food and Drug Administration is important. This  
15 will enable us rapidly and appropriately to move to  
16 a public-health need that goes beyond the United  
17 States.

18 Secondly, we have worked and will continue  
19 to work very closely in this regard with UNICEF,  
20 the United Nations Children's Fund, and with the  
21 World Bank and other United Nations organizations.  
22 It is remarkably important to them that the Food  
23 and Drug Administration should concur with our view  
24 that this is effective, safe and of good quality.

25 That has been our purpose in coming to the

1 Food and Drug Administration, or rather those have  
2 been our purposes.

3 DR. RELLER: Dr. O'Fallon had a question  
4 earlier.

5 DR. O'FALLON: I thought we were going to  
6 do toxicity later, but we keep getting back and  
7 forth, so now we are there. What is bothering me a  
8 great deal is the lack of information about  
9 toxicity profile in children.

10 We are being told that in Study 013  
11 roughly half, like slightly over half, of the  
12 people enrolled in that study are under the age of  
13 five and we have, just really basically, a handful  
14 of children in these other studies that have any  
15 kind of neurotoxicity evaluation according to the  
16 information that was put out for us, eight in one  
17 study, for example.

18 I believe that the new regulations for the  
19 FDA, when it comes to putting in an indication or  
20 labeling indications, there is much more  
21 information needed in the very young children. But  
22 it isn't just enough to be treating them like this  
23 and looking for mortality.

24 I think we really do need to see some  
25 information, some real toxicity data, about these

1 children and how they are reacting to this drug  
2 since they are going to be the prime target,  
3 apparently, for this treatment.

4 DR. RELLER: Dr. Sumaya, Wald and Poretz.

5 DR. ARCHER: No one answered that  
6 question. Are they monitoring neurotoxicity in  
7 that study in these young children, in Study 013?  
8 Is anybody looking at neurotoxicity?

9 DR. RELLER: It was on the list but the  
10 details of how it is being looked for, we could use  
11 further exposition.

12 DR. SUMAYA: My concern is as those of Dr.  
13 O'Fallon. She mentioned more toxicity. I am very  
14 unclear in my bias is completely insufficient data  
15 on the efficacy of the drug as it is being proposed  
16 in young children again. Being a prime target, I  
17 would want to know where the WHO stands on do they  
18 feel they have sufficient data at this point and,  
19 if not, what is going to be done about that, in  
20 young children.

21 DR. ARCHER: Just as another comment  
22 related to this, in that Study 013, the children  
23 are getting 100 milligrams, not a milligrams per  
24 kilogram dose as I saw the slide. So, in small  
25 kids, that is going to be more than 10 milligrams

1 per kilogram. So the toxicity might be greater; is  
2 that correct?

3 DR. RELLER: Dr. Gomes and then Professor  
4 White will address these questions. We have got  
5 several waiting in the wings. Go ahead.

6 DR. GOMES: To answer the question on the  
7 follow up in relation to neurotoxicity,  
8 specifically in Study 013 and in general, as  
9 Professor Binka would have indicated earlier, this  
10 is an ongoing study with an intended recruitment of  
11 about 10,000 patients initially on the expectation  
12 that there would be about 5 percent mortality.

13 [Slide.]

14 The current recruitment is much greater  
15 than the safety update we showed you earlier for  
16 3,366 patients. The main endpoints are whether or  
17 not there is a survival benefit and whether or not  
18 there are serious neurological sequelae.

19 Every single child is monitored between 7  
20 and 30 days for the second or the first endpoint.  
21 So every single child would be seen with a  
22 case-record form to see whether there are new  
23 behavioral changes with a series of events that are  
24 predictable from the preclinical studies.

25 In one of those study areas that is Ghana

1 where more than 1,000 patients have already been  
2 recruited and where a lot of the patients--in fact,  
3 I think it was Dr. Kemmler that indicated that half  
4 of the patients are in children under the age of 24  
5 months--there is a very specific study which is  
6 full neurological monitoring of those children.

7 All of the children would be followed up  
8 by a clinician and fully examined for potential  
9 neurotoxic effects. So we are as serious as one  
10 can possibly be in attempting to follow up every  
11 human being that has been exposed to this drug in a  
12 proper and coherent and systematic way so that we  
13 can understand, ourselves, what, if any, are the  
14 safety considerations associated with this drug.

15 This will continue until that trial is  
16 terminated.

17 PROFESSOR WHITE: Regarding children and  
18 neurotoxicity, the artesunate in combination with  
19 mefloquine has been the standard treatment for  
20 falciparum malaria in the community in which we  
21 work on the northwestern border of Thailand. It is  
22 about 120,000 people. It has been used since 1994.  
23 It is used in all ages and in all the studies which  
24 we have had before you, there is no relationship at  
25 all between age and parasite-clearance measures.

1           So there is no suggestion that parasite  
2 clearance, or parasite reduction, starts to slow  
3 down when you get younger. The two studies that  
4 Professor Folb presented to you were  
5 case-controlled studies where, as I explained,  
6 cases who had had multiple exposures were compared  
7 with controls.

8           At the time they were studied, they had  
9 had multiple episodes of exposure. Now, both these  
10 were conducted in low-transmission areas, one in  
11 Viet Nam and one in Thailand. Now, the first  
12 exposures were often when they were very young. It  
13 would be possible to go back to those data and  
14 identify, I think, precisely at what age they were  
15 exposed. But, by the time you have had four or  
16 five courses, you are often five-years old. That  
17 is when the study was done

18           So you have it logged at five-year olds.  
19 But, actually, the exposures were much younger.

20           DR. RELER: Dr. Wald.

21           DR. WALD: It just strikes me as a little  
22 bit unusual that the FDA did not either have the  
23 opportunity or take the opportunity to review the  
24 raw data on any of these studies. Is that unusual  
25 and will there be an opportunity to do so and would

1 that be something that we would want to happen?

2 DR. GOLDBERGER: The raw data in which of  
3 the studies?

4 DR. WALD: In general, when industry  
5 presents data, the FDA reviews the raw data.

6 DR. GOLDBERGER: We reviewed the raw data  
7 from multiple clinical trials that the applicant  
8 submitted. I think Dr. Sacks and Dr. Johann-Liang  
9 went through a whole bunch of studies, as many as  
10 thirteen studies, all of which the raw data was  
11 reviewed from.

12 In addition, the applicant presented, in  
13 essence, I believe, a summary of the literature to  
14 support additional safety. That is not something  
15 that they necessarily would have to produce the raw  
16 data. They may not, in fact, have access to it so  
17 that I think, as, in particular, Dr. Johann-Liang  
18 spoke about that issue, I think she made the point  
19 very clearly that we, in fact, did not have the  
20 opportunity to review the raw data.

21 That would be entirely dependent on the  
22 applicant being able to submit that information.  
23 In general, unless an application is  
24 literature-based, which occasionally occurs, and in  
25 which sometimes the raw data is made available, the

1 best that one does with the additional information  
2 from the literature is that it represents some  
3 level of support to the actual clinical trials that  
4 were conducted and whose data was submitted in  
5 detail.

6           So I think that, in this case, there was a  
7 large safety review. I think that, realistically,  
8 the best that can be done with it is the issue that  
9 it may represent some level of support beyond the  
10 controlled clinical trials that were reviewed in  
11 more detail.

12           This issue is slightly more confused by  
13 the fact that, as was mentioned, with regards,  
14 again, to the safety analysis, there is a question  
15 about the differential degrees of potency of  
16 preparations that were used in these different  
17 studies. That, of course, can lead to problems in  
18 drawing conclusions even if you had access to a  
19 certain amount of the raw data about what one could  
20 conclude about safety.

21           That is the best answer we could give you.  
22 The application came with what would be described  
23 as probably the lower limit of what one would  
24 normally expect in terms of actual safety data from  
25 controlled clinical trials that were submitted in

1 detail; in other words, in terms of the number of  
2 patients that one might expect and then supported  
3 by this additional information.

4           But they had somewhere close to 500  
5 patients. That is probably about the lower limit  
6 of what we would normally expect to see. Again, it  
7 is important to keep in mind that how one looks at  
8 all this depends ultimately on the seriousness of  
9 the underlying disease, the expected benefit from  
10 the product and the availability of alternatives.

11           That was a point I made during the charge  
12 to the committee. At some level, in making your  
13 determinations, you have to decide on your level of  
14 comfort with the construct that WHO has put before  
15 you of a group of patients, a substantial group of  
16 patients, out in very remote situations who, at a  
17 high risk of acquiring malaria which may manifest  
18 itself with fairly significant clinical  
19 manifestations, who do not have access to  
20 parenteral therapy and who are not able to take  
21 oral, and that there is this population who would  
22 benefit from a dose of therapy that would allow  
23 them to get to a place where they could get more  
24 definitive therapy and that the benefits of the  
25 therapy would not be outweighed, either by patients

1 not deciding to continue to some other health  
2 center or by the fact that some of these patients  
3 won't have malaria.

4 At some level, you need to be comfortable  
5 with that construct, I believe, is sort of part of  
6 your deliberations.

7 DR. RELLER: Dr. Poretz.

8 DR. PORETZ: Aside from these studies, I  
9 know in some countries this drug and similar drugs  
10 are commercially available. I have had patients  
11 who come to my office with these medications. I  
12 have no experience with it myself. Certainly,  
13 through the years, as these drugs have been  
14 available, there must be Americans and other  
15 individuals who have had access to these drugs.

16 Did they abuse it? Did they take a dose  
17 when they were sick, when they were in the Peace  
18 Corps somewhere in the bush and felt so well that  
19 they didn't seek further medical care? Did they  
20 take another dose? Surely, this has to be  
21 experience of our own population, of our own  
22 people, who have had access to their drugs. Does  
23 anyone know?

24 DR. PARISE: I have talked to some of  
25 these people on the phone. They usually have not

1 take a rectal formulation. My experience in  
2 talking to various physicians or patients it the it  
3 varies how many doses they take. I have the  
4 impression that they usually take as many as they  
5 were given, often five-days' worth or so. But that  
6 is just a general impression.

7 DR. RELLER: Dr. Ramirez?

8 DR. RAMIREZ: I have several issues  
9 regarding resistance because, in this committee, we  
10 always discuss the antimicrobial but we also  
11 discuss how the antimicrobial is going to be used.  
12 We mentioned that antimicrobial, for a single  
13 patient, may be a lifesaving antimicrobial but,  
14 from the public health, may develop a serious  
15 problem if this antimicrobial develop a resistance  
16 for a particular organism.

17 I still concerned with the possibility of  
18 the misuse of the drug and the development of  
19 resistance. It was mentioned during the  
20 presentation this morning that, in several African  
21 countries, 90 percent of these children die at home  
22 with fevers, seizures, and they die at home.

23 I wonder if having one dose of this  
24 medication, we are going to have still 90 percent  
25 that are going to die at home with one drug of the

1 medication. Why is it going to make the mother  
2 travel the ten kilometers to see a doctor, having  
3 suppository or not having a suppository? This, to  
4 me, is a big issue because otherwise you are going  
5 to have a mother with a suppository and I can see a  
6 mother giving a suppository, the patient was in a  
7 coma, today is awake.

8           Tomorrow, the mother is going to use this  
9 suppository for whatever, for headaches, for any  
10 form of fever because the suppository works. It  
11 was already mentioned here that if we want to  
12 develop resistance, overuse of the medication, use  
13 of the medication basically without the right  
14 indication, use of a low dose of the medication  
15 when the patient has the right indication.

16           Besides this, I would like to ask the FDA  
17 if when we discuss here drugs, even when we  
18 discussed recently aseptic drugs that was for  
19 severe asepsis, we are concerned if physicians were  
20 going to use the drug in patients with sepsis that  
21 was not severe sepsis. There was this idea that  
22 this drug should be only for some specialists to  
23 use.

24           I still have a difficult time to approve a  
25 drug that is going to be given by a mother. Or, I

1 am twenty-years old. I am working in the bush or  
2 working and I put my suppository--I don't have any  
3 more fever. I feel better. Why I am going to  
4 travel 10 kilometers?

5           It is difficult for me not to separate how  
6 the drug is going to be used in real life with the  
7 approval of the drug. If we are assuming that this  
8 drug is active against even the resistant  
9 organisms, then are we setting the standard for  
10 developing resistance to this family of drugs  
11 because of misuse of the medication?

12           Should we be concerned with these issues  
13 when we are discussing approval? I can say, well,  
14 the drug is effective. The drug is not toxic. But  
15 I may still not want to approve the drug.

16           Do I set any standard to myself that  
17 tomorrow the company is going to come here, is  
18 going to say, "I have these great antibiotics that  
19 work for all these respiratory infections and it is  
20 going to be given in the pharmacy without  
21 prescription." I would have a problem with this.

22           And I don't say that the drug is good and  
23 I don't say that the drug can be used. And I don't  
24 say that it is perfect for this situation where the  
25 drug has been looked at for these countries in

1 Africa. I totally agree with this approach, but,  
2 do we set a standard of approval with the actual  
3 use that is going to be developed? I see  
4 development of resistance coming.

5 DR. GOLDBERGER: It sounds like some other  
6 people want to comment on this as well. As a  
7 general rule, we certainly think it is appropriate  
8 for the committee to comment on how a drug might be  
9 used in practice if they believe that that is going  
10 to be much different than how the drug was studied  
11 because that sometimes raises different  
12 risk/benefit issues.

13 The issue here is, perhaps, a little more  
14 subtle and that is as you have talked about it,  
15 whether a patient will travel 10 kilometers, the  
16 mother giving the dose, et cetera. That, actually,  
17 really applies to the use in other countries really  
18 apart from how it might be used in the U.S. and  
19 probably how it might be used even by and large in  
20 U.S. citizens outside the country.

21 So, on one hand, as someone asked earlier  
22 or commented earlier, there is the issue of should  
23 you just be limited in terms of thinking how the  
24 drug is going to be used for the U.S. indication,  
25 et cetera. I think that, strictly speaking, you

1 could certainly limit your deliberations to that.

2           However, as was mentioned a few minutes  
3 ago by Dr. Folb, there is a belief or an  
4 expectation that decision that is made by the FDA  
5 will impact upon the availability and use of the  
6 product potentially in many other countries.  
7 Therefore, although you are certainly not required  
8 to do so, I think, if you choose, it is reasonable  
9 to take into account some of the concerns that  
10 might exist about the use in other countries  
11 recognizing that your decision is likely to have an  
12 impact on that.

13           We are not required to do that, but it is  
14 certainly something that you could consider and, in  
15 the past, advisory committees in various situations  
16 have elected to look at issues more broadly than  
17 specifically the FDA, itself, might.

18           DR. RAMIREZ: Then, with this thought  
19 process that if you have to take an early disease  
20 to prevent mortality, why not approve an oral to  
21 give to mothers and they can give an oral  
22 medication for these 24 hours, and we can approve  
23 the rectal and the oral and whatever. It is going  
24 to be one medication after another for the mother  
25 to have in the cabinet as soon as they live 10

1 kilometers from the hospital.

2 I have a problem to add this as a need  
3 because I also agree with Dr. Bell. Are we  
4 approving a drug for five patients that we may have  
5 in one year? As he mentioned, probably that  
6 everybody that goes from the United States to any  
7 one of these places taking the prophylaxis is not  
8 going to need the rectal suppository at any point.

9 It is a challenge. It is a different type  
10 of meeting than our standard meeting.

11 DR. RELLER: Thank you.

12 Dr. Ebert, Patterson, Leggett and Parise.

13 DR. EBERT: This is actually a very  
14 related question for Dr. Goldberger, trying to  
15 narrow down, perhaps, or maybe broaden the  
16 questions that are posed to us. I noticed in all  
17 the phrasing of all the questions the term "initial  
18 therapy" is used, or initial therapy when there are  
19 not other therapeutic alternatives.

20 Should we, as a group, conclude from that  
21 that you mean as a single dose for initial therapy  
22 are is there also the potential that if this is a  
23 patient who is at a very remote location that there  
24 might be a possibility of two days of therapy,  
25 three days of therapy, if that patient doesn't have

1 access to other care or are we restricted to a  
2 single dose.

3 DR. GOLDBERGER: You should include that  
4 issue in your deliberations. You will notice, for  
5 instance, Question 3, in fact, deals with the  
6 issue, for instance, are there any caveats or  
7 restrictions if you believe the drug ought to be  
8 approved.

9 One may be that it needs to be limited to,  
10 for instance, a single dose. That is our  
11 understanding of how the drug would, in fact,  
12 ideally be used. One thing that you may wish to  
13 get clarification from the WHO is the approaches,  
14 for instance, for the situations where they will be  
15 providing drug as to how they will try to ensure  
16 that it is used as it is intended to be used.

17 With regards to U.S. use, I think that the  
18 normal approaches are, in fact, to, at a minimum,  
19 include information in product labeling about how  
20 the drug ought to be used. How effective that  
21 sometimes is is limited although, in truth, for  
22 internal U.S. use, not for travelers leaving the  
23 country, the likelihood of substantial abuse of  
24 this drug, given the amount of cases of malaria and  
25 the cases that it would used in are probably fairly

1 sick people, the risks of substantial abuse are  
2 fairly low.

3           Issues about how the drug might be  
4 employed for people leaving the country to travel  
5 to remote areas, Peace Corps, missionaries, are a  
6 little more complex. Obviously, having clear  
7 information and labeling about this would, at a  
8 minimum, be very important in terms of dealing with  
9 that.

10           DR. PATTERSON: Just with regard to the  
11 issue of self-treatment that you were discussing,  
12 we already have recommendations for self-treatment  
13 for U.S. travelers, Peace Corps workers, for this  
14 disease because of the rapidity with which it kills  
15 and because of where the disease occurs which is in  
16 the field where you don't have access to medical  
17 care.

18           It just seems to me that what we are  
19 considering is facilitating this recommendation to  
20 the communities in developing countries where the  
21 mother would be administering the medicine instead  
22 of the self. But I also wondered a question for  
23 WHO is that, with regard to issue of the concern  
24 for resistance and recrudescence, has there been a  
25 consideration for when this drug is distributed as

1 a single dose, distributing with it a dose pack for  
2 continuation of follow-up therapy of whatever the  
3 standard of care is in the community? Could it be  
4 distributed as a multidose pack?

5 DR. GOMES: The current sense that you  
6 have in relation to the follow-up treatment is one  
7 that we share. We, as Professor Binka would have  
8 indicated to you, are wanting to have a phase of  
9 controlled deployment one of which will be  
10 potentially using the drug or making it available  
11 with the follow-up treatment.

12 There is a risk and a benefit associated  
13 with that. On the one hand, you want to encourage  
14 proper use. You essentially provide an  
15 antimalarial that can have a substantial effect and  
16 you want to refer people to a point at which you  
17 have definitive treatment.

18 So you don't want to encourage a position  
19 in which that referral process does not occur. By  
20 making available the follow-up treatment, and we  
21 would have found, and you would have seen from that  
22 data, a substantial proportion of children return,  
23 or patients return, to per os status within 24  
24 hours.

25 Clearly, the need for going to a hospital

1 declines the longer it takes. So it is this  
2 balance that we have in our minds in attempting to  
3 want to ensure that people actually get to a  
4 hospital for referral treatment and yet  
5 accommodating reality to the extent that we know  
6 that many people, if they return to per os status,  
7 won't actually go that far.

8           It is this balance that we need to get a  
9 better understanding of in the next controlled  
10 phase and ensure that we get and optimize the use  
11 of the drug as much as possible, firstly  
12 restricting its use to the narrow indication and,  
13 secondly, providing the follow-up treatment where  
14 there really is no alternative to that.

15           Peter, did you want to make an additional  
16 comment?

17           PROFESSOR FOLB: I think WHO should deal  
18 with the question as to whether this is  
19 self-prescribed or to be prescribed by mothers.  
20 That is, indeed, a decision that would be taken by  
21 the regulatory authority of the national government  
22 of each country and they will differ between  
23 countries.

24           It would be in the decision of the  
25 different countries. Our proposal to the Food and

1 Drug Administration and for the United States is  
2 that this should be a scheduled medicine to be  
3 prescribed by a physician.

4 DR. PARISE: I wanted to make a couple of  
5 comments. First, as far as the drug-pressure and  
6 drug-resistance issue, it is my impression that, as  
7 combination therapy, if sources become available  
8 and there is a way to pay for this drug, as that  
9 moves out in Africa and other places, my guess is  
10 the drug pressure caused by this indication is not  
11 going to be that much compared to how much use  
12 there may be of other forms like oral artesunate in  
13 combination with other drugs.

14 I thought that the FDA raised quite a few  
15 safety concerns that concern me. My feeling is  
16 that, if the results of Study 013 were available, I  
17 think that would be an important consideration  
18 because that would switch the risk/benefit quite a  
19 bit, I think.

20 As far as use in the U.S., I think, if  
21 this is approved, there will be--I am almost  
22 certain there will be off-label use, there will be  
23 multiple dosing. We want to have some good  
24 mechanism to be able to get a handle on how safe  
25 that is.

1 DR. RELLER: Dr. Shapiro?

2 DR. SHAPIRO: I guess I had two points,  
3 one with respect to resistance. The lessons from  
4 cancer and from HIV and from malaria, itself, have  
5 taught that multidrug intervention helps to protect  
6 against the emergence of resistance. If this  
7 strategy is to go in early, why not go in early  
8 with two agents instead of one. I wonder what the  
9 thinking is on that from the WHO.

10 The second question is whether there are  
11 any data at all relating to this indication from  
12 non-immune patients.

13 DR. RELLER: Professor White?

14 PROFESSOR WHITE: We do agree that  
15 multidrug treatment is the way forward in malaria.  
16 The consolidation treatment, as it is termed here,  
17 would not be with an artemisinin derivative alone.  
18 It would ideally be with a combination. Of course,  
19 it depends on what is approved in the individual  
20 countries.

21 I assume that we are not worried about  
22 resistance developing outside the endemic areas.  
23 So the idea would be that there would be a  
24 consolidation treatment with, ideally, an  
25 artemisinin-based combination so the parasites

1 would not be exposed to artemisinin alone.

2 DR. SHAPIRO: Why not ensure that by  
3 including two drugs in the suppository?

4 PROFESSOR WHITE: By putting both in the  
5 same suppository?

6 DR. SHAPIRO: Yes.

7 PROFESSOR WHITE: It is an interesting  
8 approach. It is that the rectal route hasn't been  
9 particularly easy for the other antimalarial drugs.  
10 There is a rectal formulation of chloroquine, but  
11 chloroquine is no longer very useful.

12 There is some evidence, and some studies,  
13 with quinine. But quinine is quite irritant. So I  
14 think there might be a difficulty choosing which  
15 drug. So our approach at the moment is to try very  
16 much to ensure that the follow-up treatment is  
17 adequate and that treatment will be ideally the  
18 combination preparation.

19 DR. RELLER: Study 013 had what seemed to  
20 me to be impressive proportion of patients with  
21 confirmed malaria, 76 percent. Given, particularly  
22 in younger children, the difficult clinical  
23 distinction early on and a nearly impossible  
24 dilemma, I would think--this is not chicken  
25 pox--but for a parent to recognize malaria versus

1 some mimicker at eighteen months.

2           So I have a question about what is the  
3 effect of, used ideally, a single stopgap,  
4 potentially life-saving, measure of single-dose  
5 rectal artesunate on the ability, if is done what  
6 all would to see happen--that is, get to  
7 healthcare--what does it do to the objective  
8 diagnosis which is so much easier for malaria than  
9 it is for the mimickers.

10           I mean, there is more capacity to diagnose  
11 malaria in impoverished places than there are the  
12 other things that can also be lethal, like the four  
13 known patients with bacterial meningitis and who  
14 knows in the vast number who die at home before  
15 even entering into Study 013 or any other study.

16           So what is the effect of a single dose of  
17 rectal artesunate on the ability to make a  
18 diagnosis when somebody reaches healthcare?

19           PROFESSOR WHITE: That is a good question.  
20 I forgot the second part of Dr. Shapiro's question  
21 and that is simply answered because the patients in  
22 Thailand are relatively nonhuman. They have the  
23 EIR. There is now about 0.3 and there is very  
24 little background immunity, particularly in the  
25 under-10. So I think that population would be

1 equivalent to an expatriate population.

2           It is a very good point that you raise.

3 The advantage of these drugs in producing rapid  
4 parasite clearance is potentially a disadvantage in  
5 that, then, the parasitemia may go below the level  
6 of detection by microscopy.

7           But, fortunately, there may be an answer  
8 to that and that is we hope increasingly that  
9 malaria diagnosis will also be possible by dip  
10 stick which is apparently about 50 cents. So the  
11 dip sticks, which are based on HRP2, remain  
12 positive for considerably longer than the  
13 parasitemia because of the very slow clearance of  
14 HRP2.

15           So, in Viet Nam, where there is a lot of  
16 community use of artesunate, we are seeing patients  
17 admitted late with negative parasitemias, the dip  
18 sticks remain strongly positive. Of course, that  
19 depends on dip-stick availability, but there is as  
20 general move to try and improve the distribution to  
21 rural areas of dipstick diagnosis.

22           DR. RELLER: Another question that I had,  
23 while you are at the podium, is a couple of people  
24 have mentioned, from diverse backgrounds, about  
25 comments on questioning the appropriateness of

1 mortality as an endpoint.

2           In your persuasive presentation, you  
3 emphasized the rapid road to death when the  
4 parasitemia reached, with the amplification, more  
5 than logarithmically. If the principal use of the  
6 single rectal dose of artesunate is to keep people  
7 alive long enough to get a potentially definitive  
8 diagnosis, or at least definitive treatment based  
9 on a clinical algorithm of some sort, why is it so  
10 difficult, and why is it even inappropriate in the  
11 only placebo-controlled trial that we have heard  
12 about, Study 013, to demonstrate what, at the  
13 outset of the presentation, was principal reason  
14 for considering approval of the drug?

15           PROFESSOR WHITE: Do you mean why has it  
16 been so difficult to prove that this drug saves  
17 lives?

18           DR. RELLER: Yes. There is a little bit  
19 of sort of an impossible dilemma here. In fact,  
20 there are two, to me, major concerns from a  
21 big-picture perspective. One is that where this is  
22 likely to have the greatest effect in saving human  
23 lives is in very young children, under two, the  
24 very group for which we have next-to-nil data,  
25 eight patients.

1           Appropriately, there is no intention in  
2 the request, in labeling, to use it under age two  
3 because the data are not there; correct? But, in  
4 fact, knowing what the clinical epidemiologic  
5 realities are, this is potentially, whether it is  
6 off-label or after Study 013 where it may have the  
7 greatest effectiveness, indeed there is some  
8 evidence to suggest that that may be the case in  
9 that 56 percent of the patients enrolled in Study  
10 013 are under age two years, as I recall, if I have  
11 got my numbers straight.

12           The second sort of global concern was that  
13 what is presented, whatever its merits, is quite  
14 different from the actual intended use that was  
15 emphasized in the presentation by Dr. Kemmler.

16           Now, given that, Study 013 sort of moved  
17 closer to intended use but still was pretty  
18 controlled; that is, field workers, village--I  
19 mean, some--and, as a consequence, it was stated  
20 that the reason that the mortality was not as great  
21 as what one would have expected in actual use, is  
22 because this was a semi-supervised, if not actual  
23 in-hospital treatment, at least supervised therapy.

24           It wasn't just putting out an educational  
25 effort to mothers, many of whom have not had the

1 advantage of much education. In other words, the  
2 mortality is down but the flip side is that the  
3 opportunity to assess more rigorously safety is  
4 there. So you sort of have a dilemma.

5           If you put it in the real world where it  
6 is going to have the greatest use, we have got the  
7 greatest concerns about safety because it is almost  
8 like making it an over-the-counter drug except that  
9 it is not sold, it is distributed.

10           So one has this dilemma of greatest  
11 effect, greatest evidence for efficacy, would be  
12 placebo-controlled with an unequivocal endpoint and  
13 what at real purpose is to save children to enable  
14 definitive treatment.

15           So why have people raised the question  
16 of--not that you would go on and children would die  
17 unnecessarily, but that you would stop as soon as  
18 there was a definitive answer that, in fact, it did  
19 save lives which is the whole intent of your  
20 presentation in the first place.

21           Is that clear enough?

22           PROFESSOR WHITE: Yes. We have a response  
23 to that and then a plan for the future. So the  
24 response to that is that, as you quite rightly say,  
25 you inevitably perturb the system by studying it.

1 We could not ethically start studies in a  
2 life-threatening disease in patients who had a high  
3 mortality. So that is why the studies are  
4 presented in an intermediate risk group rather than  
5 in severe malaria.

6 Study 013 has had 99 deaths. The Data and  
7 Safety Monitoring Committee want to go on to their  
8 three standard deviations between the two groups.  
9 That is what they say and that is what they have  
10 done in other--for example, ISIS 2, the pivotal  
11 myocardial-infarction intervention--and that to  
12 provide absolutely unequivocal evidence.

13 With 99 deaths, we know there is some way  
14 to go. There may be a huge effect. But I think it  
15 is probably premature to say that at this stage.

16 So it may not be too difficult to show  
17 this difference. It is in their hands and it is  
18 not in ours.

19 DR. RELLER: Do you think in the context  
20 of Study 013 that, from your scientific clinical  
21 perspective, that, for the purpose intended in this  
22 study that mortality is a legitimate endpoint?.

23 PROFESSOR WHITE: I think it is legitimate  
24 endpoint but this is not a phase IV study. We are  
25 testing an approach of which the rectal artesunate

1 is a component. If we don't show a difference, it  
2 may not mean that rectal artesunate isn't a  
3 life-saving drug. It may just mean that that  
4 approach needs modifying.

5           So we are testing an approach in a  
6 situation where healthcare is imperfect. Of  
7 course, if you are present in a place where  
8 healthcare is imperfect, it is very difficult not  
9 to intervene and make healthcare better. So it has  
10 been very difficult to approach this very delicate  
11 subject which you phrase quite clearly.

12           It is a very difficult path. We have  
13 progressed towards, to try and to provide you with  
14 the data that would be convincing.

15           DR. RELLER: Just a follow up because it  
16 is, I think, an important and crucial--I mean, it  
17 is a delicate issue. The approach, perhaps, being  
18 flawed if it doesn't show but it doesn't mean that  
19 it wouldn't or couldn't show. Do you mean that,  
20 then, the challenge would be to try to--if you  
21 believe, and I think everyone here does believe,  
22 that parasitemia is given--I mean, it is not a  
23 single-dose knockout but it is a severe blow to the  
24 organism; that is, the malaria parasite to  
25 experience the metabolic derivatives of artesunate.

1           But the challenge would be to move the  
2 treatment back even further than the context of  
3 Study 013 to get at those 90 percent, 80 percent,  
4 70 percent of children, the under-fives, who are  
5 dying at home before they get to a village  
6 healthcare worker or anybody else. Is that what  
7 you are saying?

8           PROFESSOR WHITE: Yes. My own opinion,  
9 for what it is worth, is that the benefit would be  
10 inversely proportionate to the level of healthcare.  
11 The worse the situation, the greater the benefit.

12          DR. RELER: It is a sort of pushing back  
13 where you get that full benefit with the potential,  
14 then, for what--but then, the greater the benefit,  
15 then the more risk you are willing to, in terms of  
16 potential toxicity, to sustain to achieve that  
17 difference in mortality is what you are saying.

18          PROFESSOR WHITE: Yes, although I would  
19 say that it is remarkably nontoxic.

20          DR. RELER: What I mean is that there is  
21 that balance. But it becomes--what is already  
22 small may be vanishingly small pretty early in the  
23 process of moving back toward the level required  
24 for greatest efficacy, or greatest likelihood of  
25 being able to definitively show the efficacy as

1 judged by mortality differences--not that you need  
2 it to show efficacy, but to show efficacy with that  
3 clinical endpoint.

4 Yes; Dr. O'Fallon?

5 DR. O'FALLON: You bring up a question  
6 about--I keep having trouble with this. How do you  
7 foresee using this drug? You keep talking about  
8 pushing it back. Right now, it is going into  
9 places where there are trained medical people to  
10 help with the distribution and administration, in  
11 essence, and education of the mothers that would be  
12 giving this drug.

13 If we were to approve it, recommend  
14 approval, how would it be used in these nations? I  
15 come from a small-town area, myself. Some places  
16 don't have a pharmacy anymore even in the United  
17 States. How is it going to be distributed to the  
18 people further back than are involved in Study 013.

19 How are they going to be trained? If a  
20 child in some tiny little area, there are just a  
21 few people living there, gets sick, how is the  
22 mother going to get hold of the drug? How will  
23 they go for it? What kind of training? That is  
24 the issue that I am concerned about.

25 DR. GOMES: Just to repeat what Professor

1 Folb said earlier, at least in the case of the  
2 United States, we would be making an application  
3 for a prescription-only drug. The scheduling in  
4 the different countries would really depend upon  
5 what the regulatory authorities for each of those  
6 nations decide.

7           However, there will be certain countries,  
8 perhaps Ghana would be one of them, that would want  
9 to push it back to the point at which you get the  
10 greatest benefit and as early as possible.

11           I would like to hand over to Professor  
12 Binka.

13           DR. BINKA: Thank you. I think,  
14 generally, for the control of malaria, we all agree  
15 that there is a need to push back the treatment  
16 further to the home. In most of these countries,  
17 there is clearly recognition that we need to  
18 incorporate what currently called the private  
19 sector in all this process.

20           So there is training going on for most  
21 people and we are making sure that, as far as we  
22 can, there are people who are trained and  
23 supervised to be able to help provide care. In  
24 fact, if you read recently in the last Malaria Day  
25 on April 25, I think in Uganda--Uganda is about to

1 put together about 80,000 people who have been  
2 trained and located in places to help.

3 In my own country, in Ghana, currently  
4 there is a major move to move community-health  
5 nurses into villages where they live, are trained  
6 and they provide care. So, gradually, that  
7 recognition is there and is a massive effort to try  
8 and support this process.

9 So when I showed the last slide, I was  
10 indicating that this is part of several  
11 interventions, part of which includes both the  
12 preventive measures and also the treatment. I  
13 think this will not just be left to the hands of  
14 people who are not trained but the level of  
15 training will vary from each country--I mean the  
16 person that is trained.

17 We cannot have pharmacists trained all  
18 over in Ghana, for example. But we can have  
19 another level of health worker that is trained to  
20 be able to help the mothers to administer this  
21 drug.

22 DR. RELLER: Dr. Sumaya and then Dr. Wald.

23 DR. SUMAYA: My question is will the  
24 approval or disapproval or recommendation from this  
25 committee to the FDA, from the FDA's standpoint,

1 approval or disapproval of your request affect or  
2 influence whatever happens with this drug in other  
3 parts of the world, distribution, access,  
4 investigations?

5 PROFESSOR FOLB: I have indicated that if  
6 FDA approval will probably profoundly affect the  
7 decisions of other authorities. That is always  
8 true for FDA decisions regarding medicines that are  
9 considered in other countries.

10 But that question introduces trouble to  
11 our proposal because our proposal clearly--our  
12 proposal and our request clearly--is that the  
13 judgment should be made in terms of the evidence  
14 that we have provided for efficacy against safety,  
15 the risk/benefit between the two and the quality of  
16 the product that we have produced.

17 So I feel that, as important as that  
18 question is, as profound as the influence will be  
19 of the FDA, that the decision be made on its  
20 merits.

21 DR. RELLER: Dr. Wald and then Dr.  
22 Ramirez.

23 DR. WALD: I have two questions. How  
24 different are the patients that are being entered  
25 into Study 013 in overall severity as the patients

1 in the pivotal studies, 005, 006. The second  
2 question is are there not sufficient safety data  
3 already generated from Study 013 to reassure us if  
4 more than 3,000 patients have been entered, 56  
5 percent were less than one year of age, are there  
6 not already a lot of safety data that might be  
7 reassuring?

8 DR. GOMES: My colleague will be putting  
9 up a slide that was, I think, part of the original  
10 presentation of Professor White in terms of the  
11 representativeness of the population that we are  
12 likely to see in reality that is Study 013 and the  
13 population that we saw, we examined, in the pivotal  
14 trial.

15 [Slide.]

16 Essentially, all of the studies, apart  
17 from one in Thailand where there had been previous  
18 data that showed there was high risk of mortality  
19 in patients who were hyperparasitemic. All of the  
20 patients in the other studies were non per os which  
21 is the same inclusion criteria for Study 013, the  
22 same degree of consciousness, more or less the same  
23 history of seizures.

24 The difference here is that, in the  
25 hospital-based studies, the inclusion criteria for

1 entry into the trial was positive parasitemia.  
2 That inclusion criteria, in reality, is clinical  
3 presentation, inability to take drugs by mouth and  
4 suspicion--normally it is associated with malaria  
5 season in different countries--that the likely  
6 reason for this clinical condition would be  
7 malaria.

8           As I said earlier, the likelihood of the  
9 clinical condition being malaria, very often you  
10 have an overlap in presentation, particularly with  
11 acute respiratory infections. So no further or  
12 better diagnosis, you will have patients with acute  
13 respiratory infections who are essentially  
14 parasitemic as well.

15           So you would not be able, in a certain  
16 proportion of cases, be able to separate the two.  
17 In a large proportion of the cases, 74 percent,  
18 essentially, this would have been the primary cause  
19 of the illness.

20           There was a second question.

21           DR. WALD: That is why I think it is  
22 confusing that we can't show any difference in  
23 mortality that, on the one hand, the pivotal  
24 studies, we are loathe to use a placebo and we use  
25 a comparator drug because we say we can't risk

1 placebo. In this field, the mortality is  
2 decreasing and so we are not going to be able to  
3 show a difference in 10,000 cases. We are  
4 estimating that we are going to need a sample size  
5 that is two or three times greater than that. So  
6 it still leaves me a little bit confused if the  
7 overall severity of these patients is so similar.

8           The second question was about are there  
9 sufficient safety data at this point from Study 013  
10 to reassure us?

11           DR. GOMES: This essentially would be  
12 safety data from Study 013.

13           [Slide.]

14           All patients, 3,366 would have been  
15 examined between seven and thirty days for the  
16 second major endpoint of that study which is severe  
17 neurological sequelae. You have been taken through  
18 the presentation of those patients of which two out  
19 of the 16 patients could possibly be sequelae that  
20 are attributable to artesunate.

21           Your question, the way you phrased it,  
22 also referred to a very young age group. We are  
23 not applying for a label that would be under two  
24 years. We have very few patients in our pivotal  
25 trials, nine in total, that were under two.

1           We plan, and we have submitted a pediatric  
2 plan, to recruit four hospital-based trials, a  
3 significant number of patients where we can examine  
4 the efficacy, clinical efficacy--and this is not a  
5 mortality trial--in this young age group.

6           [Slide.]

7           But, in order to study this population  
8 well, we would have to include patients only with  
9 that age group and we would have had to have  
10 produced a dosage form that can be taken by that  
11 age group. So this has limited our ability and we  
12 essentially plan to start that study as soon as  
13 possible.

14           So this is a dedicated study that would be  
15 looking at patients between three months to 24  
16 months in this young age group. We would be  
17 looking at moderately severe malaria.

18           DR. RELLER: Thank you. It is exactly  
19 3:30. We will take a brief break, come back  
20 refreshed for follow-up discussion and voting on  
21 schedule. We will be back at 3:45, please.

22           [Break.]

23           Continued Discussion and Voting

24           DR. RELLER: I should like to call this  
25 afternoon's portion of the meeting to order. I am

1 certain that our brief break enabled generation of  
2 additional questions to continue the discussion  
3 before voting on the questions put to the committee  
4 by Dr. Goldberger and colleagues.

5 Any additional clarifications of  
6 information presented before the voting, additional  
7 questions or comments from the committee members?

8 Dr. O'Fallon?

9 DR. O'FALLON: It was pointed out to me  
10 during the break, actually there is quite a lot of  
11 information available about the neurotoxicity in  
12 children that has already come off of that infamous  
13 Study 013.

14 It is in our packet but I didn't recognize  
15 it when I read it, myself. Perhaps the WHO guy  
16 would like to explain it a little bit better so  
17 that we knew a little bit better about what was  
18 going on.

19 DR. GOMES: Study 013, this was slightly  
20 repeating the discussion that I would have had  
21 earlier with Dr. O'Fallon, recruits patients that  
22 would be the intended population, patients who have  
23 suspected malaria, who would not be able to take  
24 drugs by mouth and who would, essentially, be  
25 referred to a hospital or healthcare center for

1 definitive treatment.

2           The protocol is essentially to randomized  
3 patients to either receiving a placebo or an active  
4 that is a suppository with either 100 milligrams or  
5 400 milligrams of artesunate. All patients in this  
6 study are automatically followed for two endpoints;  
7 one is whether they survive or do not and the  
8 second endpoint is whether they have serious  
9 neurological sequelae.

10           There is a case-record form during the  
11 follow-up period between seven to thirty days where  
12 every single patient, if that is alive, is examined  
13 by the health worker who does the follow up and is  
14 assessed for different behavioral--have you had new  
15 problems in walking and talking, in speech, in  
16 playing.

17           Essentially, it is a form that evaluates  
18 each child or each patient that would have been  
19 exposed to the drug for potential neurotoxicity.  
20 If there is any one of the items that has been  
21 marked yes--that is, there is a new difficulty or  
22 behavioral problem, each and every one of those  
23 patients is then followed up by a clinician and has  
24 a full clinical evaluation by a clinician.

25           In one of the study sites, this goes even

1 further. There would be a very detailed  
2 neurological examination of those patients. The  
3 study began in different countries, at different  
4 points. It is about eighteen months into  
5 recruitment. Our approach was to begin very slowly  
6 so that we could do it--it would work perfectly;  
7 that is, we wanted to be able to be sure that, in a  
8 community-based study, we could effectively monitor  
9 every child or every patient between seven to  
10 thirty days.

11 It is a very unusual thing in communities  
12 to be able to actually get 100 percent, or as close  
13 to 100 percent, follow up in the kinds of  
14 conditions that we are talking about. We wanted to  
15 have as little lost-to-follow-up so that there was  
16 no bias in the results at the end of the day.

17 So we have moved in a phased direction to  
18 include patients in our target population. We now  
19 have sufficient confidence about eighteen months  
20 into the study that we can, in fact, recruit  
21 properly, that there is adequate follow up. We  
22 gave a safety update to the Food and Drug  
23 Administration. This would have been at the end of  
24 March where the DSMC evaluated the data and said  
25 there was no reason to terminate the trial, we

1 should move forward.

2           Between March and today, we have doubled  
3 the recruitment. It is almost a geometric  
4 progression because once you get confident in doing  
5 this kind of study where, initially, we would have  
6 wanted to make sure that every single child was  
7 monitored and the hospital had adequate drug in the  
8 hospital.

9           Of course, these things affect the  
10 endpoint, mortality. Now, we broaden it into  
11 places which are much closer to the bush where we  
12 give the drug. This is much further from a  
13 hospital. Patients take longer to get into the  
14 hospital and likely the effect will be seen as we  
15 expand the study.

16           I gather this was not clear either from  
17 the documentation or the description in the  
18 briefing document and I just wanted to make sure  
19 that you had this information because it was  
20 pointed out to me it wasn't there.

21           DR. RELLER: Despite the Study 013 being  
22 closer to the reality of the potential population  
23 for treatment, still, it has all ages, differences  
24 in background immunity. Quite honestly, is it  
25 designed to show the endpoint or, as Professor

1 White alluded to in the earlier discussion, if it  
2 doesn't show differences in mortality or much  
3 larger numbers are necessary, that wouldn't negate  
4 the possibility of showing that difference with the  
5 appropriate population.

6 So, are we putting too much emphasis,  
7 potentially, on Study 013 for showing the very  
8 thing that your briefing document emphasized is the  
9 goal of the appropriate use of this formulation?

10 Related to that, and I realize we don't  
11 have the code broken yet, but is part of that  
12 design to capture in actuality what the time is by  
13 patient and age group and outcome of time from  
14 receiving rectal artesunate to the time of getting  
15 definitive therapy, recognizing that no one has the  
16 intention of a single dose of rectal artesunate  
17 being sufficient for the appropriate therapy of  
18 malaria?

19 DR. GOMES: You have brought up two  
20 issues; one concerns the design of the protocol and  
21 the secondary endpoints that allow one to measure  
22 whether or not in the subgroup analysis if one  
23 doesn't see an overall effect, one would see, let  
24 us say, an effect in patients that are further from  
25 the hospital than those who might be closer.

1           That kind of secondary endpoint varies  
2 between studies but certainly it is there in the  
3 majority of cases; that is, parasitemia would be  
4 measured at--there would be different endpoints,  
5 one of which would be time to return to per os  
6 status and distance, what time it took to reach  
7 definitive treatment.

8           The second point that you brought up, to  
9 which Professor White referred to, is the emphasis  
10 on Study 013. Our indication, the way that we have  
11 phrased it, is for the initial management of acute  
12 malaria in patients who are unable to take oral  
13 drugs and cannot reach parenteral treatment, we are  
14 making a claim that we have shown benefit  
15 parasitological that has converted into return to  
16 per os status for patients who cannot take drugs by  
17 mouth and who normally would be at risk of death,  
18 an unknown unquantifiable risk of death, but, if  
19 they had progressed further, would have certainly  
20 have died in the absence of treatment.

21           We are making the argument that the way we  
22 have phrased that indication is that we have shown  
23 a clinical benefit. We have not yet shown a  
24 survival benefit and we do not make a claim in the  
25 indication that we do so.

1           So what we are asking for approval is on  
2 the benefit that we have shown in the pivotal  
3 studies bearing in mind the safety that we have  
4 also shown and keeping in mind the fact that, for  
5 these patients, there would be no alternative.

6           We have put in place, corresponding with  
7 the regulations of the FDA, what we originally  
8 referred to as phase IV trials and now are  
9 referring to as Study 013, trials which we believe  
10 would convert the clinical benefit that we have  
11 shown, returning to per os status, returning to a  
12 clinically stable position, so that the patient can  
13 take definitive treatment, that we want to confirm  
14 whether or not that actually converts into a  
15 survival benefit in real-life conditions.

16           Our argument is that you do not need to  
17 wait for the results or the continuation of that  
18 study although we would be wanting to present you  
19 with those results. In as much as you would want  
20 to know whether or not there would be a survival  
21 benefit, the WHO would wish to know whether or not  
22 there is a survival benefit because it affects  
23 policy. We would want to be able to, should we  
24 confirm that benefit, to convert to labeling to  
25 stating that there would be a potentially

1 life-saving benefit with this drug.

2           But we are not claiming that at present  
3 and we would only want to do that should Study 013  
4 show that benefit was there. We have tried, in  
5 every way we can, to show that the patient  
6 population that we have seen in the pivotal trials,  
7 although they were done in hospitals, was as close  
8 as you can get to the patient population at  
9 baseline that we actually see in Study 013 so that  
10 they are clinically not substantive different.

11           We are making an argument, the case, that  
12 if we had done more hospital-based studies, it  
13 would not change the picture substantially, that  
14 what we see with rectal artesunate compared with  
15 quinine is what you see in any form of artesunate  
16 given compared with quinine and that adding patient  
17 numbers to the hospital patient population will not  
18 change.

19           So, in my view, the emphasis on Study 013  
20 would be to establish whether or not it confirms  
21 the survival benefit would not change the  
22 indication that we are seeking but it might change  
23 the indication at a later point. Its value, I  
24 think, in this connection would be that it has a  
25 substantially much larger number of patients for

1 which there is secure safety data in relation to  
2 this indication.

3 DR. RELLER: Dr. Shapiro?

4 DR. SHAPIRO: Can I follow up on that  
5 presentation by asking what happens if Studies 013  
6 and 014 don't show a reduction in mortality? We  
7 then have an approved intervention that affects the  
8 laboratory results and clinical results but not  
9 survival.

10 DR. RELLER: Comment?

11 DR. GOMES: If it did not show survival  
12 benefit, we would not want to persuade you to  
13 change the indication; that is to say, we would  
14 essentially live with the indication we have at  
15 present. We are essentially saying that we would  
16 be, then, limiting ourselves to the clinical  
17 benefit that we are seeking now and not a survival  
18 benefit. I am making the distinction.

19 We have shown a return of the patient to a  
20 clinically stable position on the current evidence.

21 DR. SHAPIRO: But one interpretation of a  
22 lack of impact on mortality is that you have  
23 protracted or, perhaps, eliminated the visit for  
24 definitive treatment and so, to pursue and continue  
25 using a drug for the indications that you seek

1 currently might be counter productive.

2 DR. GOMES: I am not quite sure I  
3 understand the question. Would you be able to  
4 repeat it?

5 DR. SHAPIRO: Yes. One of my concerns is  
6 that intervening--the mother's intervention with  
7 rectal artesunate will achieve some clinical  
8 response and will either delay or prevent the  
9 mother taking the child for definitive treatment.  
10 If the intervention in Studies 013 and 014, which  
11 are looking at mortality, don't show a difference  
12 or, perhaps, even show a difference in the wrong  
13 way, one possible reason for that is that you have  
14 taken away the incentive for the mother and child  
15 to go for definitive treatment.

16 So, to persist with the use of the drug in  
17 this indication in the face of either adverse or  
18 nonexisting mortality benefit, I can't quite  
19 understand.

20 DR. GOMES: I would interpret it in a  
21 slightly different way although I would like Fred  
22 to come up. I may have misunderstood the question,  
23 but there are two points I wish to make.

24 Firstly, this trial is in progress and we  
25 are informed by the Data Safety Monitoring

1 Committee that we should continue, that there is no  
2 evidence one way or the other for a termination of  
3 the trial from which I understand that there is no  
4 evidence one way or another and, therefore, I don't  
5 think that anyone can conclude that we have not  
6 shown a difference definitively. We are still  
7 dealing with a trial in progress.

8           The second issue, and it might just be an  
9 interpretation, if, let us say, that we do not show  
10 a difference at the end of the day, it would not  
11 necessarily mean that people would not have taken  
12 definitive treatment. I am not quite sure I  
13 understand the second point but, perhaps, Fred--

14           DR. BINKA: I think the second point you  
15 raised is quite important and that is the reason  
16 why this study is in place, because we will be able  
17 to answer that question that you have raised, will  
18 this drug lead to--if mothers do not go to seek  
19 additional treatment from one short treatment from  
20 rectal artesunate, what will be the consequences of  
21 that.

22           I think that is what is the strongest  
23 drive to have a placebo-controlled trial in this  
24 phase of the trial because we really didn't have to  
25 a placebo-controlled trial. So that question will

1 be answered. I think the likelihood is much lower.

2 DR. SHAPIRO: But the fact that you are  
3 seeking approval prior to the availability of those  
4 results--Study 013, as I understand it, could show  
5 three things; rectal artesunate reduces mortality,  
6 rectal artesunate is no different from placebo in  
7 affecting mortality, rectal artesunate is worse  
8 than placebo in affecting mortality.

9 Those are three possible outcomes from  
10 that study and we don't know which of the three it  
11 will be. At the moment, it seems not to be the  
12 worst-case scenario. Otherwise we wouldn't be in  
13 limbo.

14 But if approval is rendered at this point  
15 while Study 013 is still outstanding, the  
16 possibility exists that we will learn something,  
17 either that rectal artesunate does not improve  
18 mortality or, arguably, that it makes mortality  
19 worse. And we will have on the market an approved  
20 drug with uncertain data about mortality.

21 DR. BINKA: That is a good point. I think  
22 this is where we need to restate our case. I think  
23 the most important thing is that we are not trying  
24 to put in the drug one rectal capsule to reduce  
25 mortality. I think you should look at the other

1 benefits that we get from bringing children who are  
2 getting seriously ill into a situation where they  
3 can't take oral drugs.

4           If you see the huge advantage they will  
5 get in terms of hospital admissions, in terms of  
6 being able to treat these people appropriately even  
7 in the conditions in which they are, then that  
8 makes a lot of difference in the benefit.

9           Most of these kids that we are directing  
10 this drug to are those ones that are getting into  
11 the severe case. If you were to succeed in doing  
12 that, in preventing of the progression mild  
13 disease into severe disease, this would be a real  
14 major contribution towards reducing the burden. I  
15 think that is the case that we are putting across  
16 now, that even if this doesn't show survival  
17 benefit, it definitely provides lots of benefit to  
18 the control of this disease in terms of making sure  
19 that patients don't produce severe disease and that  
20 we are able to treat them appropriately.

21           DR. RELLER: I had asked earlier about the  
22 time to definitive therapy because, within the no  
23 differences, it may be that there are fewer deaths  
24 owing to malaria but more deaths owing to something  
25 else depending on what the distribution of the

1 background illnesses are.

2           But the other possibility is that, on  
3 balance, the delays in getting definitive therapy  
4 are sufficiently short that it is not possible to  
5 show a difference either in--realizing that study  
6 is not complete, do we have some idea of what the  
7 ranges are in the population being studied? It may  
8 not be a population that is--the populations may  
9 not be distant enough to be able to demonstrate a  
10 difference because the delays to therapy--because  
11 this is something outside the hospital,  
12 community-based, but maybe not far enough away to  
13 be able to--any comments on that? It is just an  
14 additional complication within the basic concern  
15 that Dr. Shapiro has raised.

16           DR. BINKA: I think Dr. Gomes alluded to  
17 extension of this project. In the initial phase,  
18 when we started recruiting patients, we had to do  
19 it in such a way we could learn how to do this  
20 properly. Currently, lots of the patients that are  
21 being recruited are being recruited from quite a  
22 few more places that would allow us to address this  
23 question.

24           I think that the Data Safety Monitoring  
25 Committees request that this should not be

1 unblinded should not necessarily mean that this is  
2 not showing any benefit. I think the need for the  
3 study to be stopped or to be unblinded, you don't  
4 only have to show a benefit but you have to show it  
5 within a reasonable doubt.

6           Maybe that is a part of the confusion.  
7 Nobody is saying that this study might not show the  
8 difference. But, at the time that the data was  
9 reviewed, there was truly no reason to unblind the  
10 study. Maybe that should correct it. I think the  
11 way it is designed it will answer the question.

12           DR. RELLER: Dr. Ebert and then Dr. Sacks  
13 and Ramirez.

14           DR. EBERT: This is potentially a two-part  
15 question. At what point are the children enrolled  
16 in the study? Are they enrolled when they present  
17 to the physician for follow-up care or at an  
18 earlier time.

19           DR. BINKA: Currently, once the mothers  
20 find that the children cannot take things orally  
21 and they are sick, we have field workers that live  
22 in communities that are recruiting these patients.  
23 So they are not necessarily reporting to the  
24 facilities but they are being recruiting in those  
25 distant places where there is no care. That is

1 where the studies have been carried out.

2 DR. EBERT: So there is not a risk of a  
3 mother administering the dose and then not  
4 presenting and not being enrolled in the study so  
5 that you could have more than 50 percent of the  
6 patients who might have received placebo.

7 DR. BINKA: No. This is a well-controlled  
8 study. We have shown the follow up to 99 percent.  
9 I think that is what is happening now.

10 DR. SACKS: I just wanted to perhaps  
11 communicate my own discomfort with making too much  
12 of a judgment on the results of Study 013 which,  
13 a), we don't have and b), we do not really know the  
14 details of the protocol.

15 In the course of the review, we requested  
16 copies of the protocol at least to understand what  
17 was being done. To me, the study does carry  
18 potential difficulties which I think we should be  
19 aware of before we can conclude that it either may  
20 or may not show a mortality benefit.

21 In particular, I think, in my mind, it is  
22 important to stress the drug effect in order to see  
23 the mortality benefit. For example, if patients  
24 who are getting the placebo prior to definitive  
25 therapy only receive placebo for four hours and end

1 up getting their definitive therapy at that point,  
2 the difference between the two arms will really not  
3 be visible in terms of morality benefit.

4           Second of all, the study population,  
5 although it bears some similarity to that shown in  
6 clinical trials is different in a substantial way  
7 and that is the clinical trials admitted patients  
8 with a certain minimum level of parasitemia whereas  
9 the study population is likely to dilute that  
10 considerably because we don't know what the entry  
11 parasitemia is in this patients.

12           We don't know what the level of immunity  
13 is. We don't know what the level is of other  
14 illnesses in patients who have immunity with  
15 background parasitemias so I think before knowing a  
16 little bit more detail about the actual structure  
17 of the protocol and before knowing more detail  
18 about the nature of the results, it is difficult to  
19 conclude whether the results show a mortality  
20 benefit, no mortality benefit or are unable to  
21 demonstrate a mortality benefit.

22           DR. RELLER: Dr. Parise?

23           DR. PARISE: I am sorry to go back to  
24 Study 013 but is there an ability in that study to  
25 look at the safety of kids who may have gotten

1 multiple doses and do you have any data on that if  
2 there isn't the ability to look at that?

3 DR. GOMES: Can I clarify that you mean  
4 multiple initial doses?

5 DR. PARISE: No; I mean may have been  
6 treated several times.

7 DR. GOMES: We do have data on all of the  
8 people that are recruited into the study. All  
9 patients just get one dose, as you know, initially.  
10 But, in the study that we have in Tanzania where it  
11 would be something like 1,400 patients so far,  
12 about ten in total would have had an attack more  
13 than once.

14 DR. RAMIREZ: I just wanted to make a  
15 comment that even though, in real life in some of  
16 these countries, you may have to go one step  
17 farther. For the Americans that are going to be in  
18 this countries, the design of this study, the study  
19 that we don't have the answers yet, is probably  
20 more real life because you are going to have a  
21 mother that is going to be very committed, is going  
22 to be educated, is going to know that this is one  
23 suppository that you want to run to see the  
24 physician, either one hour, two hours, or ten  
25 hours.

1           This is a study that, even though they are  
2 influencing now the mothers with education, this,  
3 to me, is a more real-life study how people are  
4 going to be using this drug.

5           If we don't show that there is benefit in  
6 mortality, then, in my mind, when I start looking  
7 risk/benefit, I will have to say to this mother,  
8 well, you take this pill and what happens; you  
9 decrease the number of parasites? I would like to  
10 see something else because we still don't have  
11 enough on the safety in these children.

12           Even if it is two cases of neurological  
13 deficit, we still don't know how many are there.  
14 Then it is going to be a balance as you have this  
15 risk for neurological deficit and what is the  
16 benefit. The benefit is that you are going to be  
17 sick two extra days or three extra--I would rather  
18 take being sick for a couple more days.

19           I am looking at the second question here.  
20 I still don't have probably enough information to  
21 answer the second question.

22           DR. RELLER: Dr. Parise?

23           DR. PARISE: I still think one of my  
24 concerns is that, in African children, they will  
25 get multiple treatments. You know, we don't know a

1 lot about that. Granted, there are about 320  
2 patients in Southeast Asia but not African kids.  
3 Is there any data on that? I am assuming no  
4 because we haven't heard about it and is there any  
5 plan to get any of that? It may even be in  
6 postmarketing. I don't know what the plan is but I  
7 think that is a concern.

8 DR. GOMES: Can I try and understand your  
9 question? Is it related to this repeated  
10 treatment?

11 DR. PARISE: Yes. Kids of Africa, as you  
12 know, get malaria many times. Many times, they  
13 will be able to take an oral medication but  
14 sometimes they won't. So they will get multiple  
15 doses of this potentially.

16 DR. GOMES: Every single patient that is  
17 recruited, we essentially monitor. That is why we  
18 would know that about ten of the total would have  
19 had a repeated attack. Perhaps I should also say,  
20 while you were talking about postmarketing  
21 surveillance, we are in the process, in Study 013  
22 of, of course, understanding what goes on in  
23 communities in relation to a presentation of  
24 disease that we have never done community-based  
25 studies about before.

1           So this kind of work is done for the first  
2 time. But in our plans, and the plans are already  
3 in effect, we plan to not just make the drug  
4 available in any quantity to anyone but to be able  
5 to record in the same way that we have recorded in  
6 the current study, every single patient who does  
7 get exposed to the drug. So we would have not only  
8 repeated the understanding of safety and efficacy  
9 for repeated exposures in special populations,  
10 young children, pregnant women. Essentially, this  
11 forms part of a broader postmarketing survival that  
12 we consider or take very seriously and are  
13 committed to.

14           DR. RELLER: Dr. Bell.

15           DR. BELL: I also have questions about the  
16 safety of multiple dosing. Let me just ask, in  
17 Africa, say, if a child is unable to take oral  
18 medications and isn't near a place where they have  
19 intravenous medications, what happens to them? Do  
20 they die? Do they progress to cerebral malaria and  
21 by the time they get IV medications, they have had  
22 possible neurologic damage due to that?

23           Is the question about safety of multiple  
24 dosing the difference between a theoretical unknown  
25 versus a highly likely adverse outcome?

1 DR. RELLER: Dr. Binka?

2 DR. BINKA: If children developing severe  
3 malaria cannot take things orally and cannot get  
4 injectables, yes, they go on to develop severe  
5 malaria and they die. They do die. Lots of them  
6 die for not getting treatment. I think that is the  
7 real emergency that we have now that there is a  
8 need to find something that can have that emergency  
9 situation.

10 DR. RELLER: The time is soon approaching.  
11 Any other questions or comments from the committee  
12 members or any additional comments the sponsor  
13 wishes to make before we address the questions?

14 Dr. Wald?

15 DR. WALD: Just under ordinary  
16 circumstances, when a child is not that advanced  
17 and they can take oral medicine, who does dispense  
18 it? I am revealing my ignorance. I have no idea  
19 about the healthcare system? So you have a remote  
20 village and a child who probably has malaria but he  
21 is not the sick yet. Who dispenses the medication?

22 DR. RELLER: They have to get to someone  
23 who has the medication. That may be a health  
24 center dispensary.

25 DR. GOMES: I'm afraid I didn't hear the

1 question very well.

2 DR. WALD: Under ordinary circumstances,  
3 when a child develops early malaria and seeks  
4 medication, where is it dispensed? Where do they  
5 go?

6 DR. BINKA: Currently, the majority of  
7 oral medication is dispensed by healthcare workers.  
8 A large population of private-sector workers who  
9 provide services to most of the population. That  
10 is what I said earlier on, that there is a major  
11 move to make sure that these people are part of the  
12 formal health system and training has been provided  
13 to make sure that the services that are provided  
14 are better. But, generally, healthcare workers  
15 provide the drugs.

16 DR. RELLER: Dr. Folb.

17 PROFESSOR FOLB: Mr. Chairman, you have  
18 invited a concluding comment from the sponsor.

19 DR. RELLER: Please.

20 PROFESSOR FOLB: Dr. Shapiro raises a  
21 possibility that in more realistic practice than we  
22 have shown, the benefit that we claim may not,  
23 indeed, be seen. On the contrary, mothers may  
24 decide not to follow through with what is  
25 advocated for this treatment.

1           Our concluding comment must be that this  
2 efficacy must be seen in terms of this indication  
3 and the claim for efficacy must be seen in terms of  
4 what we advocate. We advocate that the mother  
5 takes her child to a clinic for substantive  
6 curative follow-up treatment as is the policy, as  
7 may be the policy, in the health center in the  
8 country concerned.

9           Our indication is critically dependent on  
10 that happening. Now, it may be in your minds that  
11 this won't happen in many developing countries. If  
12 it is in your minds that that is the case, I hope  
13 that my colleagues have said sufficient to contest  
14 that.

15           We have shown in work done so far, and it  
16 is our determination to work with governments to  
17 achieve this, that this medicine, as currently  
18 advocated, will be taken together with the advice  
19 that we propose. The medicine, plus the advice,  
20 which is the obvious and only advice that we can  
21 give to it, will achieve what we have shown  
22 repeatedly and consistently.

23           Thank you.

24           DR. RELLER: Dr. Goldberger?

25           DR. GOLDBERGER: This applies to a couple

1 of different comments that people have made. As we  
2 mentioned earlier, should this product be approved,  
3 it will be approved under accelerated approval  
4 using the parasitemia as a surrogate marker.  
5 Although there hasn't been a huge amount of  
6 discussion about it, my sense is that people feel  
7 that the parasitemia is of some value in looking at  
8 least at activity of the drug.

9           The accelerated approval regulations do  
10 require that some ultimately clinical endpoint, a  
11 more definitive endpoint, be demonstrated to  
12 validate the benefit of the surrogate. That might  
13 turn out to be what happens by Day 28 although the  
14 regimen, as proposed by the applicant--at least a  
15 single dose to tide people over--is not necessarily  
16 going to translate to any advantage at Day 28.

17           That may raise a little bit of question,  
18 therefore, as to what the parasitemia means.  
19 Should Study 013 not, for instance, show a  
20 mortality benefit, then that also raises a question  
21 about what the value is of the parasite.

22           However, as has been pointed out,  
23 including by Dr. Sacks, we are not completely sure  
24 about the details of Study 013 to conclude that it  
25 is the best vehicle to do that. But the point I

1 want to make is that we would have an expectation  
2 that if parasitemia is used as an endpoint that  
3 some other clinical benefit beyond simply reducing  
4 parasitemia would accrue to patients who receive  
5 the drug, whether it is a mortality benefit,  
6 whether it is less sequelae or some other things,  
7 that there would be a benefit to patients beyond  
8 simply reducing the parasitemia.

9           That would be an expectation that we would  
10 have to work with WHO to ensure that there were  
11 studies available that could show that. I mean, I  
12 have to say that we are reasonably comfortable  
13 although if anybody else has any comments about  
14 parasitemia, this would be a good time to bring  
15 them up.

16           That would be a useful surrogate but we  
17 would expect something beyond that and I have given  
18 a couple of examples. Should you recommend  
19 approval, for instance, for this product, Question  
20 No. 4 deals in a little more detail with making  
21 recommendations about the kinds of studies you  
22 would like to see to further understand this drug.

23           DR. RELER: If I might summarize  
24 succinctly, the decrease in parasitemia is a  
25 necessary but not sufficient criterion for a good

1 clinical outcome in patients with severe malaria as  
2 reviewed earlier by Professor White. The key is  
3 getting, in the most severe patients, the--well, in  
4 all patients--the elimination of parasites  
5 altogether at the earliest opportunity and, in some  
6 patients, the additional supportive therapy, be it  
7 glucose, fluids, that are necessary for clinical  
8 success.

9 So let's get to the questions.

10 DR. BELL: I just have a question for Dr.  
11 Goldberger. If I am reading this right, the  
12 comparators, at least in Studies 006 and 007, are  
13 quinine given parenterally. Is there some reason  
14 that this cannot be viewed as a noninferiority  
15 trial of a rectal preparation versus a parenteral  
16 preparation bearing in mind that the indication is  
17 for situations where parenteral therapy is not  
18 available? Does that take care of the clinical  
19 outcome at 24 hours?

20 DR. GOLDBERGER: I think the problem is in  
21 the treatment of malaria is a clinical outcome at  
22 24 hours a sufficient clinical endpoint to be  
23 satisfied versus actual cure of patient which will  
24 occur with the subsequent therapy that is given to  
25 them.

1 I think that there is some concern that  
2 the 24-hour status, although an extremely useful  
3 measure of drug activity, doesn't represent a  
4 definitive clinical endpoint.

5 DR. BELL: But the indication is for one  
6 dose given once and nobody anticipates that that  
7 effect lasts for weeks?

8 DR. GOLDBERGER: But we are using--in  
9 other words, the approach we would be using to  
10 approve this would require that early benefit mean  
11 something else to the patients because I am not  
12 sure that, otherwise, that early benefit represents  
13 an established endpoint that people would feel  
14 comfortable would normally be the way you would  
15 evaluate a drug for malaria.

16 I think because of the nature of the  
17 indication they are seeking, how they are  
18 approaching it, the expectation is that this early  
19 effect translates into something more. Otherwise,  
20 what does this early effect mean?

21 DR. BELL: I am still confused because it  
22 is the consolidation treatment that takes over that  
23 is what you judge the final--how can you ask this  
24 drug to do better than--how can you really evaluate  
25 this drug beyond 24 hours when, after that, it is a

1 consolidation treatment that takes over?

2 DR. GOLDBERGER: Because the argument that  
3 has been, I think, raised at different points  
4 during the day is that the real purpose of the  
5 drug, of course, is not to use it in a hospital  
6 setting and then to see what happens at Day 24.  
7 But the patients who get it who have no other  
8 alternative will accrue some overall longer-term  
9 benefit. One argument has been mortality, whether  
10 there are benefits in sequelae, et cetera. That  
11 hasn't really been talked about although that is  
12 possible.

13 Unless people are willing to say that a  
14 24-hour endpoint in malaria is sufficient, then it  
15 is not clear what the drug necessarily is adding  
16 versus no therapy. That is, I guess, what we are  
17 saying, what would it then be adding versus not  
18 giving any therapy.

19 DR. BELL: But the trial is against  
20 quinine. The trial is not against placebo; right?

21 DR. GOLDBERGER: In other words, I don't  
22 think we would evaluate quinine as a treatment on  
23 malaria based on where a patient was at 24 hours,  
24 at least not in terms of giving it an indication.  
25 That would require making a decision that that was

1 a useful indication of its own.

2 In other words, what has been requested  
3 here ultimately is the initial use of this in  
4 patients who don't have another alternative with  
5 the statement either implied or expressly stated in  
6 the protocol and during the meeting that benefit  
7 would accrue to patients.

8 Otherwise, the indication would stand on  
9 its own, simply that, at 24 hours, the patient had  
10 lower parasite counts without any regard to what  
11 happened to them. I don't know that that  
12 clinically makes sense.

13 DR. BELL: Let me just ask one more  
14 question because I actually think this is pretty  
15 important. The sponsors are not applying for  
16 approval for a new malaria treatment. If I  
17 understand right, they are applying for approval of  
18 a drug to let a critically ill patient survive the  
19 first 24 hours until they can get parenteral  
20 therapy.

21 They have demonstrated--is this  
22 correct--that a), there is parasitemia decrease and  
23 also there is noninferiority compared with  
24 parenteral therapy at least in terms of clinical  
25 response at the end of the first 24 hours which is

1 all they are seeking approval for.

2 DR. GOLDBERGER: The more strongly you  
3 believe that that, in fact, will translate into a  
4 benefit for the patient--i.e., as you said, I think  
5 to prevent mortality until they can get definitive  
6 therapy. That implies that what you are trying to  
7 do ultimately is influence mortality.

8 The more you believe that this model, that  
9 there are these patients who do not have  
10 alternatives, that the rapid reduction in  
11 parasitemia will translate into a benefit, perhaps  
12 the less you need to worry about how much evidence  
13 down the road there is of an actual clinical  
14 benefit.

15 That is something that there hasn't been a  
16 whole lot of discussion at the meeting although I  
17 must say, from listening to many of the committee  
18 members, it seems as though most committee members  
19 had an interest in seeing something along the lines  
20 of some benefit in mortality or other benefit to  
21 the patient beyond what was shown in the  
22 in-hospital studies. I don't know whether it is  
23 worth asking people for that opinion.

24 DR. BELL: I just think that there are  
25 lots of intervening issues that come between

1 24-hour clinical status and eventual outcome as has  
2 been alluded to, study-design issues. I just am  
3 less certain what that means. Perhaps, that is  
4 noninferiority also.

5 I don't know. But I guess I am just  
6 wondering how fair it is to ask for the sponsors to  
7 demonstrate essentially improvement in ultimate  
8 mortality when maybe they don't have the right  
9 study to do that and if it is really the first 24  
10 hours that we should be looking at.

11 DR. GOLDBERGER: For instance, if it is  
12 not the right study, then that can be addressed by  
13 considering is there a study that could be done  
14 that would be better able to show it. It is more  
15 of a problem if you truly believe it would be  
16 impossible to show such a difference. Then you  
17 would have to think, well, why, exactly, would you  
18 being doing this? Why, exactly, would you be  
19 giving the drug when down the road a few days, a  
20 few weeks, it will make absolutely no difference to  
21 patients.

22 Part of this from an analytic point of  
23 view is the difference often between an  
24 intent-to-treat analysis and an evaluable-patient  
25 analysis in terms of who you are looking at to see

1 an effect. I mean, that is kind of tied up a  
2 little bit when we actually look at Study 013.

3 I don't know if that helped, really, that  
4 exchange helped any members of the committee. It  
5 is not clear to me whether it did or not.

6 DR. RELLER: We will see from the voting.

7 Just to review the guidelines. All of the  
8 current members of the committee are authorized to  
9 vote on the questions posed. In addition, Dr.  
10 Parise and Dr. Shapiro are voting members of the  
11 committee today.

12 Question 1; are these results, namely,  
13 decline in parasitemia at 24 hours and 24-hour  
14 clinical outcome in moderately severe malaria as  
15 presented--are these results sufficient to support  
16 approval of rectal artesunate for use as initial  
17 therapy in patients without other therapeutic  
18 alternatives?

19 Dr. Parise? We will start at the right  
20 and move around. Yes or no?

21 DR. PARISE: Yes.

22 DR. RELLER: Dr. Archer?

23 DR. ARCHER: Do you want us to address the  
24 other things at this point or just to answer yes or  
25 no?

1 DR. RELLER: Yes or no. The  
2 caveats--clearly, it is under Subpart H. There are  
3 conditions and what those conditions are--if this  
4 should have no level of approval, you vote no.  
5 There is a place for it. I think the way these  
6 questions are phrased, you would vote yes. And  
7 then what else you would want before the labeling  
8 and all the other issues.

9 DR. ARCHER: I would say yes but I am not  
10 yet convinced that parasitemia is an appropriate  
11 surrogate for whatever endpoint is being looked at.  
12 I don't think there is enough data.

13 For instance, if we were looking at a  
14 bacterial infection, the rate at which a bacterium  
15 is cleared from the CSF or the blood doesn't  
16 necessarily correlate with outcome. So the rate of  
17 parasitemia drop is not necessarily a measure of  
18 outcome. We just don't have enough data.

19 Mortality was the only other one that was  
20 given. If we had neurologic sequelae or return to  
21 active whatever function, or any other kind of  
22 surrogate that went along with that, I think that  
23 might be helpful. So that is my only caveat.

24 DR. RELLER: So it is fair enough that you  
25 can--the major limitations, if it is a qualified

1 yes. Dr. Goldberger, is that okay? We want the  
2 true sense of how things are.

3 DR. GOLDBERGER: If people wish to amplify  
4 their comments like that, that is certainly  
5 helpful. Questions 3 and 4, should Questions 1 and  
6 2 be yes, offer the opportunity to provide advice  
7 about any statements in the labeling and, equally  
8 importantly, the kind of additional data and  
9 studies that members would like to see.

10 DR. RELLER: In a way, it is is it  
11 effective for doing something and then what else do  
12 you need and is it for that purpose as intended,  
13 the labeling requested. Is it safe enough to get  
14 that labeling? What other studies were required,  
15 mandatory for use beyond the restrictions of the  
16 label?

17 Is that a fair summary?

18 Dr. Leggett?

19 DR. LEGGETT: If I understand it  
20 correctly, we are not being asked, at this time, as  
21 we usually are, to expound ad nauseam about why we  
22 are saying yes or no. You just want a yes or no,  
23 in which case, I will just say yes.

24 DR. RELLER: Dr. Glod?

25 DR. GLODE: I will also say yes.

1 DR. RELLER: Dr. Bell?

2 DR. BELL: Yes.

3 DR. RELLER: Yes, with limitations that we  
4 will get into later.

5 DR. PATTERSON: Yes.

6 DR. SUMAYA: Yes.

7 DR. WALD: I would say yes also but I want  
8 to just ask a question. Suppose we impose these  
9 restrictions and, ultimately, we don't find that  
10 there is any other benefit besides a reduction in  
11 parasitemia? How will that ultimately affect the  
12 labeling of the drug? Really, what I am asking is  
13 is this really tantamount to approval no matter  
14 what else happens and, therefore, imposing these  
15 restrictions is not really meaningful?

16 DR. RELLER: That would not be my intent,  
17 but this we will get to in 3 and 4.

18 DR. GOLDBERGER: To answer a little bit of  
19 your question, the accelerated approval  
20 regulations, in addition to utilization of a  
21 surrogate marker, also allow, in truth, for  
22 accelerated withdrawal of a product if the  
23 confirmatory trials don't show any other benefit.

24 One thing that, of course, would be  
25 helpful as you talk in, for instance, Question 4,

1 would be to talk about the kinds of things that  
2 would make you feel comfortable that the drug was  
3 demonstrating a benefit more durable than  
4 parasitemia unless you feel, as Dr. Bell very  
5 articulately argued, that the benefit that they  
6 have shown to date may in and of itself be  
7 sufficient.

8           If people feel that, you don't have to say  
9 that now. You can reserve that for a discussion in  
10 Question 4.

11           DR. RELLER: Dr. Ebert?

12           DR. EBERT: Yes, subject to caveats and  
13 restrictions to be discussed later.

14           DR. RELLER: Dr. Shapiro?

15           DR. SHAPIRO: A reserved yes.

16           DR. RELLER: Dr. Ramirez?

17           DR. RAMIREZ: I would like to make a  
18 comment. This committee, we have just recently  
19 discussed the idea of looking at surrogate markers  
20 mostly in patients with multiresistant organisms.  
21 How are we going to be able to test new antibiotics  
22 in clinical trials of patients, when don't have  
23 enough patients, are very difficult to evaluate.

24           I think that even though there is some  
25 reluctance, we will have to, sooner or later,

1 figure out that the prospective double-blind study  
2 looking at clinical outcome at 30 days is not  
3 possible in every setting.

4           The same that we do for antibiotics. What  
5 can we ask for an antibiotic? Just to kill the  
6 bacteria. There is nothing else that an antibiotic  
7 can be doing. I think that what can we ask for an  
8 antiparasitic, for an antimalarial drug? Just to  
9 kill the parasite.

10           I will say that this surrogate marker is  
11 appropriate. Now, the problem here is that we  
12 ask--we want to see what happens at 24 hours. It  
13 is not that the drug doesn't work. It is that we  
14 didn't give time for the drug to work because we  
15 showed, or we were told, that you give time, you  
16 give one week, you kill all the parasites.

17           Then I agree with Dr. Bell. At 24 hours,  
18 no drug is going to be able to kill all the  
19 parasites. That, to me, is an adequate surrogate  
20 marker to say that if you are using an antimalarial  
21 drug and you start killing the parasite, and you  
22 see a two-log decrease in 24 hours, it has very  
23 good antiparasitic activity. Then my answer is  
24 yes.

25           DR. RELLER: Dr. O'Fallon?

1 DR. O'FALLON: My answer is yes. I keep  
2 remembering that this is a new formulation. There  
3 is no such formulation in any of the other  
4 antimalarial drugs as I remember. So this was  
5 developed to meet a need of a population that right  
6 now doesn't have a therapy of a known  
7 effectiveness.

8 So I think that that is an issue that  
9 needs to be kept in mind as well. I am, of course,  
10 worried about the age problems. But in terms of I  
11 think that they have shown that it at least knocks  
12 the parasites, or to a great extent.

13 DR. RELLER: Dr. Cross?

14 DR. CROSS: My answer is yes.

15 DR. RELLER: Question 2; is the safety  
16 information and safety profile of rectal artesunate  
17 sufficient to support approval for use as initial  
18 therapy in patients without other therapeutic  
19 alternatives. In your discussion, please include  
20 the differences in clinical trial, intended patient  
21 populations, risk/benefits, empirical use for  
22 emergency therapy.

23 We are to give a yes or no on this.  
24 Again, in 3 and 4 in the discussion, it will be  
25 important to articulate those things that we would

1 feel would be important to be done including the  
2 restrictiveness of the labeling. Dr. Goldberger  
3 has opened the emphasis for if we think other  
4 things we be required for maintaining availability  
5 to articulate those.

6 Let's go the reverse direction this time.  
7 Dr. Cross, is this drug, as requested in its  
8 indication, sufficiently safe to be used that way?

9 DR. CROSS: My answer is yes. I say it  
10 because they asked only for a single dose which is  
11 shown to be, in a fair number of patients,  
12 nontoxic. It was also pointed out to us that there  
13 is a difference between the demonstrated class  
14 neurotoxicity and the data specifically related to  
15 this preparation.

16 So, so far, we haven't seen any data to  
17 say that this specific preparation is any more  
18 toxic. So I would say, based on that, I think the  
19 answer would be yes.

20 DR. RELER: The request is rectal route,  
21 over 24 months of age, single use.

22 DR. CROSS: Yes.

23 DR. RELER: Correct? Dr. O'Fallon?

24 DR. O'FALLON: Yes. I think that the  
25 toxicity data, at least as we have seen it, are

1 okay. Again, but not in children.

2 DR. RELLER: Dr. Ramirez?

3 DR. RAMIREZ: Did I miss the two years  
4 because the proposed indication here says initial  
5 treatment when no alternative is available. But we  
6 don't discuss the age of the patient and we don't  
7 discuss--I don't see the 24 months.

8 DR. O'FALLON: It was in what we saw  
9 originally but I don't see it either.

10 DR. RELLER: I am operating on the  
11 assumption that what we are talking about is also  
12 what the sponsor requested which is the usual--I  
13 mean, if we do not think the safety support that  
14 was requested, that is one issue. If we want to go  
15 beyond what was requested, I guess we could do  
16 that, but there was--

17 DR. RAMIREZ: I read in the proposed  
18 indication in the document. It said initial  
19 management of acute malaria in patients who cannot  
20 take medication by mouth and parenteral treatment  
21 is not available.

22 If I look at this indication, it is  
23 different from what you just mentioned because you  
24 removed all the patients that I would say no.

25 DR. RELLER: Dr. Goldberger, help me. I

1 realize that all of the details are not in this  
2 question, but the sponsor clearly requested what  
3 they had data for and there were only eight  
4 patients in the pivotal studies that were under  
5 eight years of age.

6 DR. GOLDBERGER: The indication that you  
7 have seen is what the sponsor proposed. In their  
8 proposed package insert, they provide information  
9 on dose and administration for adults and for  
10 children basically from the age of two up or from a  
11 weight of 9 kilograms up. They indicate that there  
12 is not adequate information on children less than  
13 two years of age.

14 So that is what basically the proposed  
15 package insert would say, at least at this time.

16 DR. RAMIREZ: But if I read this proposed  
17 indication and the question is safety demonstrated  
18 for this proposed indication, my answer is no  
19 because this drug is going to be mostly used  
20 according with the clinical data in patients that  
21 are less than 24 months, less than 24 months of  
22 age, and this proposal is for all patients initial  
23 treatment.

24 DR. RELLER: Dr. Shapiro?

25 DR. SHAPIRO: There seems to be a

1 discrepancy between the proposed labeling and what  
2 we are seeing in the ongoing Study 013 which is to  
3 say that more than half the patients are in this  
4 group that we are saying is not on the labeling.  
5 So I am a bit confused about what exactly is being  
6 sought here.

7           If it is true that most of the deaths in  
8 malaria occur in the very young children, this is  
9 the population we most want to treat. Conversely,  
10 it is the population in whom we have the least, if  
11 any, safety data.

12           DR. GOLDBERGER: Unfortunately, you saw  
13 the information that we presented in terms of  
14 safety data from a bunch of studies which included  
15 166 children. However, only eight of those  
16 children were less than two years of age.

17           As you noted, there is a much better  
18 enrollment of younger children in the ongoing Study  
19 013. However, as a practical matter, essentially  
20 no information has been submitted to us about Study  
21 013 other than about two or three pages of summary  
22 data. So we are not, certainly, in a position to,  
23 in any way, utilize Study 013 other than as a  
24 little bit of supportive data much as literature  
25 articles might be to just strengthen a little bit

1 of the overall safety impression but certainly not  
2 to make a major change in our understanding of what  
3 we know about the safety of children, for instance,  
4 under the age of two.

5 DR. SHAPIRO: I understand Study 013 is  
6 not even designed to acquire that except in the  
7 sense of neurotoxicity. So we won't learn anything  
8 about hepatotoxicity or any other form of toxicity  
9 that might occur.

10 I think the thing that I am wrestling with  
11 is that whereas it seems the request is for two and  
12 up, indeed, the ongoing use is substantially for  
13 less than two. So I am not clear about this  
14 request. If the request really is for use in less  
15 than two, then I don't think we have the data for  
16 it.

17 DR. GOLDBERGER: I think what is requested  
18 is clear. The request, in fact, basically the WHO  
19 at present does not provide information about how  
20 to use the drug in less than age two and basically  
21 states there is insufficient data.

22 You, yourselves, have seen the amount of  
23 data that exists for children under the age of two  
24 at this time and it is certainly a limited amount  
25 of data. It then depends, in part, how comfortable

1 you might be about a), an extrapolation of what we  
2 know in older children in terms of safety, b), the  
3 potential benefit from the dose of drug in these  
4 young children.

5 I think that those two issues are  
6 obviously important in terms of your thinking about  
7 that. Finally, whether or not absent being able to  
8 use the drug under the age of two or feeling that  
9 off-label use under the age of two might not be  
10 appropriate, how much of a concern that would be  
11 given the lack of alternatives for this patient  
12 population.

13 DR. RELLER: I phrased the question as I  
14 did so that we wouldn't be in an ambiguous  
15 situation. Maybe we would do a quick re-run and  
16 cut to the point. Alan, is it a yes above two or  
17 all comers?

18 DR. CROSS: Are we clear that we are  
19 following what is in our document or what was  
20 requested in terms of the label showing  
21 recommendations for greater than 24 months?

22 DR. RELLER: I phrased it one way, but if  
23 you answer it as I just mentioned, then we have got  
24 it taken care of. So you could say safety has been  
25 demonstrated without regard to age, or safety has

1 been demonstrated to your satisfaction on the 10th  
2 of July, 02, of over two. Or neither.

3 DR. CROSS: Clearly safety hasn't been  
4 demonstrated with regard to any age group since, as  
5 was pointed out, we have very little data on the  
6 less than 24 months. But I have seen sufficient  
7 data on those who were greater than 24 months that  
8 I would feel comfortable.

9 DR. RELLER: Dr. O'Fallon?

10 DR. O'FALLON: I agree.

11 DR. RELLER: Dr. Ramirez?

12 DR. RAMIREZ: I agree. Less than two  
13 years, we need data. More than two years, it is  
14 probably enough.

15 DR. RELLER: Dr. Shapiro?

16 DR. SHAPIRO: I am not sure I am  
17 remembering the numbers. I thought it was just a  
18 handful that were children that were studied here,  
19 regardless of whether it was--wasn't it just a  
20 dozen or so of any kind?

21 DR. GOLDBERGER: 166 children, only eight  
22 of whom were under the age of two.

23 DR. BELL: How many were under the age of  
24 five?

25 DR. JOHANN-LIANG: About 80.

1 DR. RELLER: Dr. Shapiro?

2 DR. SHAPIRO: If the cutoff at two is an  
3 explicit component, yes.

4 DR. RELLER: Dr. Ebert?

5 DR. EBERT: Rectal administration, single  
6 dose, greater than two years old, yes.

7 DR. RELLER: Dr. Wald?

8 DR. WALD: Yes.

9 DR. SUMAYA: Yes; over two.

10 DR. PATTERSON: Yes; greater than two.

11 DR. RELLER: Yes, as requested; over two.

12 DR. BELL: Yes; over two and I am placing  
13 a strong weight on the phrase here "without other  
14 therapeutic alternatives," because I am actually  
15 not all that comfortable with just 80 under five  
16 either. But if there really are no other  
17 therapeutic alternatives, then I would say yes.

18 DR. RELLER: Dr. Glod?

19 DR. GLODE: I am going to say a very  
20 reluctant yes for part of the same reasons Dr. Bell  
21 mentioned. If this was a new vaccine before this  
22 committee or a new antibiotic and there were 80  
23 children studies with essentially very few of those  
24 having any biochemical studies for hepatotoxicity,  
25 neutropenia, et cetera, I don't even think we would

1 be discussing it.

2           It is not. It is a rectal formulation of  
3 another drug for which there is at least historical  
4 safety information and there are no other  
5 alternatives. But it is a very reluctant yes with  
6 n equals 80 children under five and essentially  
7 almost no biochemical studies.

8           DR. RELLER: Dr. Leggett.

9           DR. LEGGETT: Yes; as in the product  
10 recommendation.

11          DR. ARCHER: Yes; as amended.

12          DR. RELLER: Dr. Parise?

13          DR. PARISE: Yes, but because I have to  
14 leave in five minutes for a plane, let me say what  
15 I think should be added for No. 4.

16          DR. RELLER: Please.

17          DR. PARISE: Which is a review by FDA at  
18 some point of the safety data collected in Study  
19 013, some kind of more information on repeat  
20 dosing; that is, people who get dosed for multiple  
21 episodes of clinical malaria, and probably also for  
22 a bigger database to look at the biochemical issue,  
23 the lab tests.

24          DR. RELLER: So those are the votes. To  
25 set the stage for the discussion of 3, and, in

1 part, 4, with the reserved yeses, I voted as I did  
2 because I think that there are patients for whom  
3 parenteral therapy is not available in remote areas  
4 who may be given the gift of time to get definitive  
5 therapy is this is used appropriately, and that is  
6 a big public-health challenge.

7           At the same time, for its continued  
8 availability or approval in the first place under  
9 the regulatory process for accelerated approval, I  
10 think its persistent requires evidence, clinical  
11 evidence, including mortality or other substantive  
12 documented objective neurologic preservation or  
13 something along those lines, something beyond a  
14 laboratory assessment, however necessary it is,  
15 that it is not sufficient.

16           So let's go around the table and say what  
17 would you like to see, Dr. Archer, in terms of  
18 additional studies, additional data, caveats or  
19 restrictions in labeling, et cetera? I think that  
20 it is logical to do 3 and 4 together. What else do  
21 you want to see that is required of the sponsor  
22 either in labeling or studies or follow up, et  
23 cetera?

24           DR. ARCHER: Let's see. I think as far as  
25 labeling goes, the obvious things that have been

1 mentioned. I think the labeling needs to define  
2 what was actually studied, namely no severe  
3 malaria, no kids under the age of two, are two that  
4 I can remember, and all of those things that were  
5 studied needs to be restricted to use in those  
6 folks in the labeling because nothing else was yet  
7 studied. I guess the labeling can change when  
8 Study 013 is finished if there is more data.

9           As far as other things to do, obviously,  
10 toxicity in children under the age of two is a  
11 no-brainer. I think better studies to correlate  
12 parasitemia with outcome. Other outcome measures  
13 besides mortality should be looked at, whatever  
14 they may be, just to strengthen the validity of  
15 using parasitemia as a surrogate. I think that  
16 would be important.

17           That is really all I have.

18           DR. RELLER: Dr. Leggett?

19           DR. LEGGETT: One thing I would like to  
20 point out is part of the problem appears to me is  
21 the lack of data as brought out by the multiple  
22 questions all day long. I would just urge the  
23 sponsors, when they come with the follow-up studies  
24 of which I am sure there are going to need to be  
25 some, that they provide us with more specific data

1 to make us more comfortable with the choices we are  
2 making.

3 One of the things that I would say is  
4 that, in terms of the labeling, as Gordon said, it  
5 should be sort of limited to a single dose in  
6 combination with appropriate consolidative therapy,  
7 da-da-da-da-da, something like that.

8 In terms of other things, obviously more  
9 data, either a complete Study 013 or a subset of  
10 those folks who are at higher risk of death,  
11 whether that is coma, whatever that is identified,  
12 so that we can maybe get by with a smaller n but a  
13 higher degree of severity so that we can get the  
14 data that we really want to know, and in use in  
15 hospital with kids less than 24 months, if that  
16 needs to be the way it is done, so that we can get  
17 the other biochemical and all the other data that  
18 we need to get.

19 So, other than that, it would just be a  
20 repeat of everything else everybody said.

21 DR. RELLER: Dr. Glod?

22 DR. GLODE: I would just encourage, since  
23 it sounds like this drug needs to be used in  
24 children less than two, that, in addition to the  
25 neurotoxicity by an exam and a questionnaire, that

1 at least in a subset of those children, some  
2 biochemical studies, basic screening biochemical  
3 studies, be done to increase the safety profile for  
4 a later indication to expand to younger children.

5 DR. BELL: I think we need more safety  
6 information particularly in children under two and  
7 particularly those given repeated courses. It has  
8 been said before. I also think it would be helpful  
9 to have more clinical efficacy information but I  
10 would offer the thought that this might be  
11 considered a noninferiority study compared with  
12 parenteral treatment and that clinical  
13 outcomes--there is equivalent clinical outcome at  
14 24 hours.

15 Perhaps that is too soon to really make a  
16 definitive assessment of noninferiority but,  
17 perhaps, a few weeks down the line--I guess what I  
18 am saying is we don't necessarily have to show  
19 improvement in mortality over placebo. We could  
20 show noninferiority compared with parenteral  
21 treatment which, presumably, historically has been  
22 demonstrated superior to placebo and maybe that  
23 might suffice.

24 DR. RELLER: I voted yes because I think  
25 that, used appropriately, both on the efficacy and

1 safety, that it was important at this juncture in  
2 the drug's development and deployment to have  
3 a--the alternative of "no" is implying that the  
4 drug is neither effective nor safe which I think is  
5 the wrong message. To the extent that we say "yes"  
6 with a very restricted labeling exactly as was  
7 requested, no more but not less than that the data  
8 currently allow, would help accelerate the  
9 gathering of the definitive information that would  
10 be required to properly position and deploy the  
11 drug.

12 That was the basis for my qualified yes on  
13 both counts. I think that, unless, the additional  
14 numbers of children and safety is demonstrated and  
15 that there is clinical benefit that is objective,  
16 that can be documented with additional studies,  
17 that the opportunity to study, so to speak, should  
18 be as rapidly withdrawn as it might be approved.

19 Dr. Patterson?

20 DR. PATTERSON: With regard to No. 3, I  
21 would like to see something similar to what is on  
22 Page 2 of the FDA briefing document for the Warning  
23 and Precautions and perhaps to even emphasize under  
24 the Precautions not only referral and evaluation  
25 for full curative course but also that repeated

1 initial dosing is not recommended.

2           Also, something with regard to the dosage,  
3 the optimal dosage in liver disease has not been  
4 well studied particularly in patients with severe  
5 liver disease. Two, with regard to No. 4, the  
6 things that have already been referred to with  
7 regard to hepatotoxicity--I know that is not  
8 planned in Study 013. Perhaps there could be a  
9 subset, 13A or something, or to look at it in Study  
10 015 to at least look at a subset of patients with  
11 the effect of LFT as placebo versus artesunate.

12           Also recrudescence. Finally, as a part of  
13 the follow-up, to hear what the implementation plan  
14 is for the education and distribution, both the  
15 field workers and the community, regarding referral  
16 and consolidation therapy.

17           DR. SUMAYA: I am agreeing with  
18 practically everything my colleagues have stated.  
19 I would mainly reinforce the need to indicate  
20 clearly that this is initial therapy and it must be  
21 followed with more consolidated definitive therapy  
22 as part of a bigger package.

23           Secondly, again taking comments just made,  
24 I think it would be very useful, particularly for  
25 the WHO, to look, carefully evaluate critically

1 with scientific scrutiny how effective the use is  
2 of the community health workers in both access to  
3 the patient and their families of this drug and  
4 appropriate utilization.

5           It may be useful, although maybe there are  
6 some data that wasn't presented, on what is the  
7 frequency of other illnesses that may be considered  
8 malaria and so therapy is initiated, but it is not  
9 acute malaria and is some other illness, and trying  
10 to get a better feel for the background noise, not  
11 only just to get an incidence or a prevalence of  
12 that but is it leading to other types of sequelae  
13 or problems in those individuals.

14           DR. WALD: I think to endorse the use of  
15 this single-dose rectal therapy we should be able  
16 to demonstrate in the placebo study or at least  
17 some subset of patients that those who receive the  
18 rectal dose get better sooner and more often of  
19 both, that there is a difference in mortality or,  
20 again, some very objective outcome and that more  
21 safety data be generated both in those above and  
22 below age two.

23           DR. EBERT: I agree with the comments from  
24 the previous committee members. I feel that if it  
25 is not already available that we need to come up

1 with a consensus for an objective scoring, if you  
2 will, for mild, moderate versus severe cases of  
3 malaria so that we can try to determine outcome in  
4 those various groups.

5 Pursuant to the accelerated approval, I  
6 think we need to have some additional data that  
7 shows that there is a clinical benefit, whether  
8 that could be done by completing Study 013 and  
9 stratifying by severity or other measures, or by  
10 doing an additional study, potentially either,  
11 again, a placebo-controlled study, if necessary, or  
12 I am not sure whether the FDA is able to accept  
13 historical control data but potentially something  
14 that would show a benefit of this early  
15 administration.

16 DR. SHAPIRO: I haven't very much  
17 innovative to say. I think the issue of safety  
18 clearly needs to be addressed, particularly in  
19 those less than two. I would very much prefer to  
20 see carefully collected data on a rather small  
21 number of young children rather than incomplete  
22 data on a large number of children.

23 I think the issue of the two-year break  
24 point has been rather pivotal in our thinking here.  
25 I think that should be reflected and up front and,

1 for example, on the front cover of this book and  
2 not within the contents of the book.

3 I wonder aloud what this decision with the  
4 two-year and older indication means for Study 013,  
5 half of whose enrollees are less than two.

6 The other thing that I agree with is that  
7 we should have a mortality study. This is really  
8 the bottom line. We are trying to save lives here.  
9 The beauty of the mortality endpoint is that it  
10 collects not only efficacy but also safety data.  
11 It is possible that mortality is affected by both  
12 factors. I think a very carefully controlled  
13 mortality study is in order.

14 DR. RAMIREZ: I think that the types of  
15 studies that we all want to see in the future is  
16 not going to be given by the data from Study 013  
17 because the sponsor was having different  
18 objectives. I was sitting here in the last minute  
19 and thinking that if I were to--what I would like  
20 to see in a study, in a clinical study.

21 Probably, we need to--as was mentioned  
22 here, time to healthcare facility is going to be an  
23 important--first of all, it has got to be  
24 placebo-controlled and double-blind. We need to  
25 control for time to healthcare facility and,

1 ideally, we need to have from the same area 50  
2 percent because in one area, people are living at  
3 twelve hours of the hospital. If 50 percent of  
4 these are going to get placebo, 50 percent are  
5 going to get the drug, and then, at the end of the  
6 study we are going to know that once you have 50  
7 percent, when we do the median time to hospital  
8 care, it is going to be the same time.

9           Also the problem is that we need to have  
10 baseline data time zero and then we need to have  
11 time 24 hours, some data, because if we wait until  
12 the patient arrives to the hospital and we look at  
13 parasitemia there, still we don't know what  
14 happened, what was the drop between placebo and the  
15 drug.

16           If we wait until the patient arrives to  
17 the hospital to see what is the severity of  
18 disease, we still don't know if we include severity  
19 or not. This has to be done by the healthcare  
20 person that goes to the area where the family is.  
21 Somehow, we need to have a simplified Apache score  
22 for malaria, five points, seven points, something  
23 like that. It has to be very simple that a nurse  
24 who is going to give the rectal suppository at  
25 twelve hours, we have to figure out some score

1 because, otherwise, how are we going to know if,  
2 when the patient arrived to the hospital, that  
3 really the drug is decreasing severity. We will  
4 not be able to know.

5           And, at 24 hours, we need to see  
6 parasitemia. We need to see severity of disease  
7 with some formal objective score, and we need to  
8 see mortality at this 24 hours comparing with time  
9 to healthcare facility and looking at mortality and  
10 severity, adjusted for severity of disease. Then  
11 we see placebo versus the drug.

12           Then we need to see at 28 days what  
13 happened with the patient because there is where we  
14 have the neurological sequelae that may be a  
15 benefit of the drug for early treatment because,  
16 again, if you unplug the capillaries of the brain  
17 eight hours earlier, you may have less neurological  
18 sequelae than we see with some studies, the early  
19 oxygenation.

20           We need to see the 28 days because this  
21 may be beneficial. But all this will require to me  
22 a well-planned prospective study. It is not going  
23 to be able to have a large study and it is not  
24 going to be the Study 013.

25           DR. RELLER: Thank you.

1 Dr. O'Fallon?

2 DR. O'FALLON: Let me just say with some  
3 amusement that we could probably do survival-curve  
4 analyses on those different treatment groups rather  
5 than try to do it at such and such a time, just  
6 find out how long they lived and do survival  
7 analysis comparison.

8 I agree with most of what everybody else  
9 has said, so I am not going to argue there. But I  
10 think my concern, if we are giving advice to  
11 somebody and I don't know who this is, I think we  
12 really do need to see the data analyzed by age  
13 groups.

14 We haven't. The data have all just been  
15 blopped in together. I think it would help us a  
16 lot even now to see the data analyzed by age  
17 groups.

18 Another issue that really concerns me is  
19 the--I think some of the people were trying to get  
20 at it was some people are repeat--this isn't their  
21 first bout of malaria. Perhaps, in order to  
22 understand what we are seeing in any of these  
23 studies, we need to know how often they have had  
24 malaria. So there is an immunity issue. I have  
25 been nervous or uneasy about the data from the

1 adults because it seems to me that those adults are  
2 the survivors.

3           They are the ones who have survived  
4 previous bouts of malaria. So they are, in some  
5 senses, more immune. They have some sort of  
6 immunity. So I don't know how much they tell us  
7 about what is likely to happen in the more naive  
8 patients who are presumably the younger ones.

9           So I just think that there are some issues  
10 here in order to interpret even what we see that  
11 might be very useful. I understand they are  
12 telling me that Peto is, if not the chair of the  
13 DMC, he is the statistician on the DMC for this  
14 study. This is like bringing coals to Newcastle.

15           But I think that the rest of the world  
16 would really like to see this data analyzed by some  
17 of these issues to see how this proposed therapy  
18 works for the ones who are the most vulnerable.

19           DR. RELLER: Thank you.

20           Dr. Cross?

21           DR. CROSS: The information today was  
22 presented to us as part of an approach; that is to  
23 say, the single dose of the study drug is not being  
24 viewed alone but it is being presented as part of a  
25 total approach. Therefore, I think that we also

1 have to have some studies further addressing the  
2 recrudescence rate.

3           For example, if you look at the pivotal  
4 studies 005, 006 and 007, it turns out that the  
5 recrudescence rate with the artesunate is actually  
6 fairly low in Thailand and South Africa but it is  
7 very high in Malawi. Some of the initial  
8 presentations in our documentation, it was not  
9 clear whether the 28-day recrudescence was the sum  
10 total of the artesunate plus the consolidation  
11 therapy and, if so, are there differences in those  
12 combinations that we really have to pay attention  
13 to, or is the explanation for what is happening in  
14 Malawi what Dr. Binka said, and that is there is a  
15 very high rate of infectivity of the mosquitoes and  
16 what we may be seeing, therefore, are new cases of  
17 malaria occurring in a very short time.

18           I think at least one way to address this  
19 is to have a better characterization of the  
20 malarial parasites that are obtained at  
21 recrudescence; that is, are these the same or  
22 different parasites. We have to have some common  
23 definition of how we go about this.

24           Are we looking at this through PCR only,  
25 or PCR and smear, both? I think that has to be

1 defined. So, in short, I think that the 28-day  
2 recrudescence rate really is an opportunity for us  
3 to get further information that really hasn't been  
4 addressed. As I said, we need more data on the  
5 actual parasites themselves, how common is the  
6 recrudescence in Southeast Asia, for example,  
7 versus Africa.

8           Then, finally, I think the World Health  
9 Organization has to have some plan implemented,  
10 perhaps not in the short term, but how will they  
11 monitor drug resistance. All of us this morning  
12 expressed some concern about that. I think that,  
13 as this is put in the field, we have to have some  
14 way of capturing that information early.

15           DR. RELLER: Thank you.

16           Before concluding, two comments. First, I  
17 apologize to Dr. Sumaya who is like in the  
18 rear-view mirror in my blind spot here, so I don't  
19 think I specifically asked him when the votes took  
20 place. I will try to do better tomorrow.

21           As regards tomorrow, we have a very full  
22 agenda. We have guests, consultants from overseas  
23 who have to return there. So we must stay on  
24 schedule. Consequently, I would like to ask all of  
25 the committee members who will be participating

1 tomorrow to please arrive by 8:20. We will start  
2 sharply at 8:30 and I shall seek to be ruthless in  
3 adherence to the time table so that we can complete  
4 all of the discussions that will be required for  
5 reasonable decisions.

6 Thank you, sponsor, FDA, committee  
7 members, for the rigorous discussions. The meeting  
8 is adjourned.

9 [Whereupon, at 5:20 p.m., the meeting was  
10 recessed, to be resumed at 8:30 a.m., Thursday,  
11 July 11, 2002.]

12 - - -

