

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

ARTHRITIS ADVISORY COMMITTEE

+ + + + +

TUESDAY,

MARCH 4, 2003

+ + + + +

The above-entitled meeting was convened in the Kennedy Grand Ballroom of the Holiday Inn Silver Spring, 8777 Georgia Avenue, Silver Spring, Maryland, at 9:00 a.m., Dr. Steven B. Abramson, Acting Chair, presiding.

PRESENT:

| | |
|------------------------------|----------------------------------|
| STEVEN B. ABRAMSON, M.C. | Acting Chair |
| KATHLEEN REEDY, RDH, M.S. | Executive Secretary |
| JENNIFER ANDERSON, Ph.D. | Member |
| SUSAN M. MANZI, M.D. | Member |
| H. JAMES WILLIAMS, JR., M.D. | Member |
| WENDY W. MCBRAIR, R.N., M.C. | C.H.E.S. Consumer Representative |

ARTHRITIS ADVISORY COMMITTEE FDA CONSULTANTS:

STEVEN B. ABRAMSON, M.D.
 JANET D. ELASHOFF, Ph.D.
 ALLAN GIBOFSKY, M.D., J.D.
 NORMAN T. ILOWITE, M.D.
 ROBERT W. MAKUCH, Ph.D.

FDA CONSULTANTS FROM OTHER ADVISORY COMMITTEES:

RUTH S. DAY, Ph.D.

DOUGLAS W. BLAYNEY, M.D.

JAMES E. KROOK, M.D.

ELAINE S. JAFFE, M.D.

I-N-D-E-X

| | <u>Page</u> |
|---|-------------|
| Call to Order and Introductions | 4 |
| Meeting Statement, Kathleen Reedy | 6 |
| Introduction to the Topic and Background: | 9 |
| Jeffrey N. Siegel, M.D., FDA | |
| Li Liang, M.D., FDA | |
| Tim Cote, M.D., FDA | |
| Ellis Unger, M.D., FDA | |
| Abbott Laboratories: James Lefkowitz, MD | 72 |
| Steven Fischkoff, MD | |
| Robert Tarone, PhD | |
| Amgen Incorporated: Daniel Burge, M.D. | 115 |
| Alan Silman, M.D. | |
| Centocor Incorporated: Jerome A. Boscia, M.D. ... | 151 |
| Thomas F. Schaible, Ph.D. | |
| Lunch | |
| Open Public Hearing | 195 |
| Introduction to Questions: | 215 |
| Jeffrey N. Siegel, M.D., FDA | |
| Discussion and Questions | |
| Adjourn | 332 |

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:09 a.m.)

3 CHAIRMAN ABRAMSON: Good morning. I would
4 like to call this meeting of the Arthritis Advisory
5 Committee to order. This meeting is a safety update
6 on the TNF alpha blocking agents. I am Dr. Abramson,
7 NYU and the Hospital for Joint Diseases, and I would
8 like to begin the meeting by having the committee
9 introduce themselves, and begin with Dr. Jaffe.

10 DR. JAFFE: I am Dr. Elaine Jaffe from the
11 National Cancer Institute, NIH.

12 DR. KROOK: I'm Jim Krook from a community
13 oncology program in Duluth, Minnesota.

14 DR. BLAYNEY: I'm Doug Blayney. I'm a
15 medical oncologist from Wilshire Oncology Medical
16 Group in Pasadena, California.

17 DR. DAY: I'm Ruth Day, Duke University,
18 and I am from the Drug Safety and Risk Management
19 Advisory Committee.

20 DR. ELASHOFF: Janet Elashoff,
21 biostatistics, UCLA and Cedars Sinai.

22 DR. MAKUCH: I'm Robert Makuch, head of

1 biostatistics at Yale University.

2 DR. ANDERSON: Jennifer Anderson. I'm a
3 statistician from Boston University Medical Center.

4 MS. MCBRAIR: Wendy McBrair, Director of
5 Arthritis Services, Virtua Health in New Jersey,
6 consumer rep.

7 DR. WILLIAMS: James Williams,
8 rheumatologist, University of Utah.

9 SECRETARY REEDY: Kathleen Reedy, Food and
10 Drug Administration.

11 DR. ILOWITE: Norm Ilowite, pediatric
12 rheumatologist from Albert Einstein College of
13 Medicine.

14 DR. MANZI: Susan Manzi. I'm a
15 rheumatologist and epidemiologist at the University of
16 Pittsburgh.

17 DR. GIBOFSKY: Allan Gibofsky, a
18 rheumatologist at the Hospital for Special Surgery and
19 Cornell University in New York.

20 DR. LIANG: Li-Ching Liang, a medical
21 reviewer at the FDA.

22 DR. SIEGEL: Jeffrey Siegel, Acting Branch

1 Chief, Immunology and Infectious Diseases Branch at
2 the FDA.

3 DR. WEISS: Karen Weiss, Food and Drug
4 Administration.

5 DR. WOODCOCK: Janet Woodcock. I'm head
6 of Center for Drugs at the FDA.

7 CHAIRMAN ABRAMSON: Thank you. I would
8 now like to introduce Ms. Kathleen Reedy to read the
9 meeting statement.

10 SECRETARY REEDY: This meeting statement
11 is for the Arthritis Drugs Advisory Committee on March
12 4, 2003, a safety update on TNF alpha inhibitors.

13 The following announcement addresses the issue
14 of conflict of interest with regard to this meeting,
15 and is made a part of the record to preclude even the
16 appearance of such at this meeting.

17 Based on the submitted agenda for the
18 meeting and all financial interests reported by the
19 committee participants, it has been determined that
20 all interests in firms regulated by the Center for
21 Drug Evaluation and Research present no potential for
22 an appearance of a conflict of interest at this

1 meeting, with the following exceptions.

2 In accordance with 18 United States Code
3 208(b)(3) and 505(m)(4), waivers have been granted for
4 the following participants:

5 Dr. Douglas Blayney for ownership of stock
6 in two of the firms that make TNF alpha inhibitor;
7 each stock is valued between \$25,000 and \$50,000.

8 Dr. Allan Gibofsky for ownership of stock
9 in two firms that make TNF alpha inhibitors; one stock
10 is valued between 5 and 25, the other between 25 and
11 50,000; for consulting for three firms that could be
12 affected by the committee's discussion for which he
13 receives less than \$10,000 per firm per year, and for
14 lecturing for three firms that could be affected by
15 the committee's discussions. He receives less than
16 \$10,000 per firm per year. Dr. Gibofsky consulting
17 and lecturing is general in nature and is not specific
18 to the products under discussion.

19 A copy of the waiver statements may be
20 obtained by submitting a written request to the
21 agency's Freedom of Information Office, Room 12-A-30
22 at the Parklawn Building.

1 Dr. John Cush has been excluded from
2 participating in today's discussions due to his
3 current involvement in studies and past consulting on
4 TNF alpha inhibitors.

5 In the event that the discussions involve
6 any other products or firms not already on the agenda
7 for which an FDA participant has a financial interest,
8 the participants are aware of the need to exclude
9 themselves from such involvement, and their exclusion
10 will be noted for the record.

11 With respect to all other participants, we
12 ask, in the interest of fairness, that they address
13 any current or previous financial involvement with any
14 firm whose products they may wish to comment upon.

15 CHAIRMAN ABRAMSON: Thank you. We will
16 begin the meeting with presentations from the agency,
17 from CBER. Just a couple of words on the ground
18 rules. We would like each of the presenters to try
19 and keep to their time frame, because we have an awful
20 lot of important information to cover.

21 The committee members, at the end of each
22 presentation, will be able to ask a few questions for

1 clarity, but we would like to leave any general
2 discussion about the area covered to later in the
3 afternoon. But if there are specifics that people
4 want more information on from the presentation, that
5 would be okay.

6 So I'd like to call on Dr. Siegel, Jeffrey
7 Siegel, to present -- to introduce the topic and the
8 background.

9 DR. SIEGEL: Thank you very much. Ladies
10 and gentlemen, good morning. In our presentations
11 this morning, the FDA will present a safety and
12 efficacy update on the three approved TNF blocking
13 agents.

14 The first TNF blocking agent that was
15 approved was etanercept which received approval in
16 1998. Shortly thereafter, infliximab, or REMICADE,
17 was approved in combination with methotrexate for
18 treatment of rheumatoid arthritis, and just a few
19 months ago in December of 2002 adalimumab, or HUMIRA,
20 was also approved for treatment of patients with
21 rheumatoid arthritis.

22 Each of these three agents has

1 demonstrated high ACR, or American College of
2 Rheumatology, response rates of approximately between
3 45 percent and approximately 60 percent ACR20
4 responses, and ACR50 and ACR70 responses that have
5 been consistently higher than that seen with placebo.

6 Some of these studies have been carried
7 out as monotherapy, but many of the studies have also
8 been carried out with combination with background
9 DMARDs or as add-on to methotrexate.

10 While these products that have shown
11 efficacy, each has also been associated with uncommon
12 but serious adverse events. Etanercept is approved
13 for use as monotherapy or in combination with
14 methotrexate for moderately to severely active
15 rheumatoid arthritis.

16 I want to point out that, when I say
17 monotherapy, this does not necessarily mean that the
18 product is the only product used for rheumatoid
19 arthritis. Generally speaking, the studies of
20 monotherapy for this agent and others were carried out
21 with patients receiving background low dose
22 corticosteroids and nonsteroidal agents.

1 Etanercept is approved for improving signs
2 and symptoms of rheumatoid arthritis and for
3 inhibiting the progression of structural damage.
4 Additional indications which etanercept has received
5 include polyarticular-course juvenile rheumatoid
6 arthritis and psoriatic arthritis.

7 Infliximab is approved for use in
8 combination with methotrexate for moderately to
9 severely active rheumatoid arthritis. The claims are
10 that Infliximab has obtained including improving signs
11 and symptoms of rheumatoid arthritis, inhibiting the
12 progression of structural damage and improvement in
13 physical function, based on a two-year study involving
14 the Health Assessment Questionnaire or HAQ.

15 Infliximab is also approved for treatment
16 of Crohn's Disease, and in this way it differs from
17 Etanercept. It is indicated for treatment of patients
18 with Crohn's Disease with active disease. In the
19 studies, that was defined as a CDAI score exceeding
20 220. That is the Crohn's Disease activity index. And
21 Infliximab is also approved for treatment of patients
22 with fistulizing Crohn's disease.

1 Adalimumab or HUMIRA, as I mentioned, was
2 approved in December of 2002. This is a monoclonal
3 antibody to TNF-alpha. The sequence is entirely human
4 derived. However, studies indicate that HUMIRA does
5 have immunogenicity, as I will touch on a bit more
6 later.

7 The pivotal trials of Adalimumab assessed
8 its safety and efficacy as monotherapy, in combination
9 with methotrexate, and as add-on treatment to standard
10 of care in a general rheumatology practice situation.

11 It was approved last December.

12 This slide shows the results of the three
13 large pivotal trials of Adalimumab. The top set of
14 rows shows the results -- Well, one of the studies was
15 as monotherapy. The other was methotrexate
16 combination, and the third study was a study of add-on
17 to standard of care.

18 As you can see, while it is difficult and
19 problematic to compare across studies, the highest
20 point estimates were seen in the study of methotrexate
21 combination where 63 percent of patients had an ACR20
22 response, compared to 30 percent with placebo.

1 Adalimumab was also shown to be
2 efficacious when used as monotherapy and as add-on to
3 standard of care, and here the ACR20 response rates
4 were 46 percent and 53 percent. The ACR50 response
5 rates for methotrexate combination were 39 percent and
6 22 percent and 29 percent in the monotherapy and add-
7 on to standard of care study. In addition, ACR70
8 rates higher than placebo were shown.

9 Adalimumab was approved for use as
10 monotherapy or in combination with methotrexate or
11 other DMARDs for treatment of rheumatoid arthritis.
12 It is approved for improving signs and symptoms of
13 rheumatoid arthritis and for inhibiting the
14 progression of structural damage.

15 Let me make a couple of points about
16 dosing and administration of Adalimumab. The
17 recommended dose is 40 mg every other week
18 subcutaneously. This dose is the optimal dose for
19 methotrexate combination. However, with monotherapy,
20 40 mg every other week is efficacious, but higher
21 response rates were seen with 40 mg every week. This
22 was not the case for methotrexate combination, where

1 higher doses were not more efficacious.

2 Monotherapy has been associated with
3 higher rates of antibody formation than use with a
4 combination methotrexate. We observed 40 percent
5 antibody formation in methotrexate combination and 12
6 percent when monotherapy was studied, and
7 immunogenicity is associated with lower ACR response
8 rates.

9 I am going to turn now to safety update,
10 and this will be the subject of the rest of my
11 presentation and the rest of the FDA's presentations,
12 and this is intended as a follow-up to the
13 comprehensive August 2001 presentation in front of the
14 Arthritis Advisory Committee.

15 We plan to present an in depth discussion
16 of new data on previously recognized serious adverse
17 events, as well as some newly recognized serious
18 adverse events. We will cover the TB experience with
19 adalimumab, an evaluation of lymphoma, malignancies
20 with all TNF blocking agents, some data on liver
21 injury with infliximab and etanercept, and some data
22 on randomized controlled trials of TNF blocking agents

1 in congestive heart failure.

2 The data that you will see is based on a
3 variety of different sources, and this makes the
4 analysis fairly complicated. One source is controlled
5 clinical trials, but a lot of the data is from other
6 sources, including open-label extension studies. And
7 I want to mention here that each of the companies has
8 agreed to a post-marketing commitment to study 100 to
9 2000 subjects for five years to assess malignancies
10 and serious infections.

11 Other data is derived from post-marketing
12 registries and also from spontaneous post-marketing
13 reports.

14 Several serious adverse events have been
15 observed with each of three approved TNF blocking
16 agents. This includes serious infections, including
17 tuberculosis, opportunistic infections including
18 histoplasmosis, listeriosis, coccidioidomycosis, and
19 pneumocystis carinii pneumonia, as well as non-
20 opportunistic infections.

21 All three agents have also been associated
22 with demyelinating events and with autoantibodies and

1 the development of new autoimmune disease, in
2 particular very uncommon cases of lupus-like syndrome.

3 For etanercept and infliximab, the safety
4 concerns are most generally based on post-marketing
5 reports. However, some of the concerns have emerged
6 in controlled trials in other diseases than rheumatoid
7 arthritis. However, for adalimumab, a much larger
8 safety database was obtained and available at the time
9 of approval, and you will hear more about this later.

10 So the same serious adverse events were
11 observed pre-marketing. So we have a much better idea
12 about their incidence for this product. Many of the
13 serious adverse events are consistent with known
14 mechanism of action of these agents. That is an
15 inhibition of an important arm of host defense, for
16 example, infections and possibly lymphoma.

17 Other serious adverse events are
18 unanticipated -- for example, deleterious effects on
19 patients with congestive heart failure, and also
20 demyelination.

21 The agency has communicated the risks as
22 they have emerged in a variety of ways. They are

1 stated in the package insert under the Precautions
2 section, in the Warning section and, where
3 appropriate, as a boxed warning.

4 The agency has asked the companies to
5 issue "Dear Healthcare Provider" letters. The agency
6 has published peer reviewed scientific publications
7 communicating these safety concerns. We have
8 presentations to the Advisory Committee, including the
9 most recent one in August of 2001, as well as
10 presentations at medical meetings, including several
11 presentations at the American College of Rheumatology
12 annual meeting.

13 Let me make a couple of points about the
14 package inserts. It has been noted by a number of
15 people that the warning is not identical for each
16 product for the safety concerns that we have talked
17 about. What the FDA has done in deciding on the
18 appropriate language is to look at the data available
19 and, where the data are similar, especially where
20 there is a biologic rationale, class labeling may be
21 warranted. But where the data differ, different
22 language may be appropriate for different agents.

1 For an example, I would like to talk about
2 tuberculosis, which differs in the infliximab and the
3 etanercept label. For infliximab, tuberculosis was
4 seen in the clinical trials. Cases of tuberculosis,
5 some fatal and some with -- many with unusual
6 presentations, were observed in post-marketing
7 reports.

8 The reporting rate, based on the post-
9 marketing data, was estimated to be severalfold higher
10 than the incidence in the U.S. population. This
11 asterisk is to remind me that, when we look at post-
12 marketing spontaneous adverse event reports, there is
13 usually a degree of underreporting. So the reporting
14 rate that we saw probably underestimates the actual
15 incidence.

16 Many of these cases of tuberculosis
17 occurred in patients who were not otherwise considered
18 at risk for tuberculosis.

19 Based on these data, a boxed warning was
20 put into the REMICADE label, and screening and
21 prophylaxis is recommended for all patients.

22 With etanercept, uncommon cases of

1 tuberculosis were seen in the post-marketing
2 experience. The estimate of report -- The reporting
3 rate was similar to the U.S. incidence. However, keep
4 in mind that, due to underreporting, this may be an
5 underestimate.

6 No cases of tuberculosis were seen in the
7 rheumatoid arthritis trials of etanercept in the U.S.
8 and the European Union, and this involved 3280
9 patients. Most of the patient reports of tuberculosis
10 with etanercept occurred in patients otherwise
11 considered at high risk. Based on these data, bold
12 warning was put into the etanercept label.

13 Now why would adverse events differ
14 between the different TNF blocking agents? There are
15 a number of potential explanations. For one, the
16 products have somewhat different mechanisms of action.

17 Etanercept is a soluble receptor that
18 neutralizes TNF alpha and also lymphotoxin.
19 Monoclonal antibodies work slightly differently. They
20 neutralize TNF but do not neutralize lymphotoxin.

21 The different products have different
22 affinities for their ligands and different avidities

1 of binding. They have different ability to lyse TNF
2 bearing monocytes *in vitro* and possibly *in vivo* as
3 well, and the products differ in their immunogenicity.

4 These differences may contribute to unique
5 efficacy and safety properties of the different
6 agents.

7 So our agenda today is to update the
8 committee on the known adverse events and on newly
9 documented adverse events with the TNF blocking
10 agents. We will be focusing on tuberculosis,
11 malignancies and lymphomas, liver enzyme elevations
12 and hepatic adverse events, and congestive heart
13 failure. We will also discuss some of the challenges
14 in interpreting open label and post-marketing safety
15 data.

16 These are the presentations. The next one
17 will be given by Dr. Liang. He will discuss lymphoma
18 and tuberculosis.

19 CHAIRMAN ABRAMSON: Are there any
20 questions for Dr. Siegel? Thank you, Jeff.

21 DR. LIANG: Good morning, ladies and
22 gentlemen. Excuse me.

1 DR. WEISS: Sorry. We have these fancy
2 transition slides that we want to get rid of.

3 DR. LIANG: Good morning. Sorry for that
4 delay. The outline of my talk will be to update the
5 committee on safety data from clinical trials and
6 post-marketing reports, as Dr. Siegel had mentioned,
7 and also specifically to focus on adalimumab and
8 tuberculosis, followed by the experience of all the
9 TNF blockers with malignancies and lymphoma.

10 Just as a background slide, in the
11 adalimumab safety database, at the end of the Phase 2
12 meeting with the agency, FDA had recommended to Abbott
13 to develop a larger safety database because of the
14 serious adverse events that were seen in post-
15 marketing studies with infliximab and etanercept.

16 to that end, Abbott studied for safety a
17 total of 2070 patients in controlled trials with a
18 mean exposure of seven months, and over 2400 patients
19 in open-label studies with a mean exposure of 24
20 months.

21 It is important to keep in mind, however,
22 that the interpretation of open label data is

1 difficult due to a lack of concurrent control group,
2 though this larger experience and the duration of such
3 trials are beneficial.

4 In early clinical experience with
5 adalimumab, there were eight cases seen initially in
6 the first 542 patients treat with adalimumab. After
7 discussions with FDA, screening and prophylaxis
8 measures were begun.

9 In Europe, this consisted of obtaining a
10 chest x-ray prior to beginning the drug, in the United
11 States a screening PPD. For PPD positive patients,
12 prophylaxis anti-TV treatment per CDC guidelines was
13 also recommended.

14 As a result, there was a reduction but not
15 complete elimination of tuberculosis following these
16 screening and prophylaxis measures. Five cases were
17 subsequently diagnosed in the next 1900 patients
18 treated with adalimumab.

19 This reduction in TB may have also been
20 contributed due to lower doses used in further studies
21 and enrolling fewer patients from highly endemic
22 areas.

1 The characteristics of the TB cases
2 include the following: Most reported TB cases from
3 European studies and European sites and were more
4 frequent in patients receiving higher than the
5 licensed dose of 40 milligrams every other week. Most
6 cases were extrapulmonary, and most occurred in the
7 first eight months of therapy in controlled trials.

8 This may reflect a reactivation of latent
9 infection. As a result, a boxed warning was
10 incorporated into the package insert..

11 Because of the immunomodulatory properties
12 of TNF blockers, there is obvious concern about
13 malignancies with long term treatment of these
14 products. The assessment of malignancies in relation
15 to these products, however, is difficult, because it
16 is hard to maintain a comparator control arm in long
17 term studies.

18 One approach would be to compare observed
19 malignancy rates to the expected rate in the general
20 population; for example, using the SEER Database which
21 adjusts for age, gender, race, and geography to
22 calculate standardized incidence ratio or SIR.

1 With regard to malignancies in the
2 rheumatoid arthritis population, the interpretation of
3 data is even more complicated due to several factors.

4 First off, the lymphoma incidence is reported to be
5 several-fold higher among RA patients, especially
6 those with higher levels of disease activity and
7 inflammation.

8 The other issue with malignancies in
9 rheumatoid arthritis patients is that most patients
10 that are enrolled in clinical trials already have
11 highly active disease, and most receive concomitant
12 DMARDs with immunosuppressive properties.

13 This first data table that I will show you
14 represents the malignancies that have been seen with
15 adalimumab in controlled portions of controlled
16 trials.

17 This distinction is very important,
18 because the controlled portions excludes the patient
19 data that were obtained on the follow-up period, and
20 it is also important because it also gives us a common
21 denominator, if you will, in which to compare other
22 drugs for their treatment times.

1 In adalimumab treated patients, there were
2 a total of eight malignancies observed out of their
3 controlled trial denominator, if you will, of 1380
4 patients that were treated for a mean duration of 0.6
5 years. In the placebo group there were zero
6 malignancies that were seen in controlled clinical
7 trials.

8 The lymphomas that were observed with
9 adalimumab in controlled portions of controlled trials
10 numbered two. Again, the number of patients was the
11 same.

12 This table shows the observed versus
13 expected cancer rates for the entire adalimumab
14 clinical development program through August of 2002.
15 A total of 46 malignancies were diagnosed, and the
16 subcategories of lymphomas is highlighted, because the
17 SIRs, Standardized Incidence Ratios, are above 5, and
18 with 95 percent confidence intervals that do not
19 overlap 1.

20 The 10 lymphoma cases by type according to
21 REAL classification are listed below. As you see, 5
22 out of 10 or half of the lymphoma cases that were

1 diagnosed are of the diffuse large B-cell lymphoma
2 type, and the other pathological categories are listed
3 below.

4 We are going to move on to the experience
5 of etanercept with relation to the malignancies and
6 lymphomas seen in their trials. In controlled
7 portions of clinical trials with etanercept, there
8 were a total of 12 malignancies seen in the etanercept
9 treated patients versus 5 in the placebo treated
10 group.

11 I have here that one lymphoma was observed
12 in the etanercept treated group. Of these 12 and 5
13 malignancies, they are represented in this next table
14 and, as you see, we have quite a wide variety of
15 malignancies that were diagnosed in the controlled
16 portion of etanercept trials.

17 The next slide represents the number of
18 malignancies that -- number of lymphomas that were
19 seen in the entire etanercept clinical trial database.
20 With over 3300 patients representing over 7300 patient
21 years of data with a mean exposure of 2.2 years, six
22 lymphoma cases were reported in all clinical trials,

1 with an additional 3 cases reported after the follow-
2 up period. The calculated SIR with these data is
3 2.31, with 2.6 cases expected based on the SEER
4 database.

5 The next few slides pertain to the
6 experience of infliximab. This slide represents all
7 the malignancies in the controlled portions of
8 controlled trials seen with infliximab. It also
9 includes the ASPIRE data, which is currently blinded
10 data. I just want to mention that, for the ASPIRE
11 data, any malignancy was counted as if it was related
12 to the infliximab arm, giving sort of a worst case
13 scenario, if you will. But it is important to keep in
14 mind that these data are still blinded.

15 In infliximab treated subjects, there were
16 a total of 22 malignancies for all controlled portions
17 of controlled trials. In the placebo treated
18 subjects, there was one malignancy, giving us a total
19 of 23 malignancies.

20 The next slide is a listing of all the
21 malignancies seen in the controlled portions of
22 controlled trials, including the ASPIRE data. As you

1 see, there is also a wide distribution. However,
2 there are three lymphomas that were diagnosed, and the
3 majority of the cases were based on non-melanoma skin
4 cancer.

5 This next slide looks at the number of
6 lymphomas seen in controlled portions of controlled
7 trials for infliximab. For infliximab treated
8 subjects, there was a total of 3 lymphomas diagnosed,
9 and this is in comparison to zero lymphomas seen in
10 placebo treated subjects. These patients were
11 followed for a mean duration of treatment of
12 approximately a year through all studies.

13 This slide looks at all of the
14 malignancies seen with infliximab in all clinical
15 trial experience. You see here, for the observed
16 number of cases of malignancies this number is 27.
17 For placebo treated patients, the number is four.

18 The number of lymphomas in all the
19 clinical trial experience is displayed here. For all
20 studies, there were a total of six lymphomas seen in
21 all the clinical trial experience, and zero in placebo
22 treated subjects.

1 So our conclusions are that lymphomas have
2 been observed with all three TNF blockers, although
3 these are small numbers with relative short exposure
4 in controlled portions of clinical trials. For the
5 entire database, the calculated SIRs are between two
6 and seven compared to the SEER database. However, a
7 more appropriate comparison would be to the RA
8 population, but accurate incidence rates are not
9 available.

10 One to three cases of lymphomas have been
11 diagnosed in treated groups for each TNF product,
12 versus zero in the control groups. That gives us a
13 total of the data that I showed of six lymphomas
14 versus zero across all controlled studies.

15 The biological plausibility of lymphomas
16 associated with these immunomodulatory agents, along
17 with the data presented, raise concern about the
18 causality. Thank you.

19 CHAIRMAN ABRAMSON: Excuse me. Dr. Liang,
20 I had a question. Maybe others do as well.

21 In the comment that a more appropriate
22 comparison would be to the RA population, unless I

1 misunderstood, were not the clinical trials --
2 obviously, the placebo arms were RA patients, and the
3 rates were still different between the placebo group
4 and the treatment group. Is that true?

5 DR. LIANG: That is correct. We put that
6 in, because with the subset of RA populations, it is
7 not -- I don't think it is completely agreed upon as
8 to the high -- what the high risk is of malignancies
9 and lymphomas with the RA patients, in particular.

10 DR. SIEGEL: Could I comment on that also?
11 For the controlled portions of the controlled trials,
12 the appropriate control is there, as you point out,
13 with the RA population using the placebo groups. The
14 problem is with the long term extension studies which
15 makes up the bulk of our experience.

16 There, to calculate a standardized
17 incidence ratio, you need to use a comparison group,
18 and we don't have accurate numbers on the incidence in
19 the RA population for that part of the data.

20 DR. WILLIAMS: Can I just clarify
21 something you asked, Steve. That is: When you are
22 looking at etanercept data, is it only the RA data you

1 are looking at or did you include data from psoriatic
2 arthritis?

3 DR. LIANG: That data was from just RA.

4 DR. MANZI: I was wondering if you had any
5 data on spontaneous regression. I'm thinking about
6 some of our methotrexate experience with stopping the
7 drug. In any of these trials, do you know if there
8 has been spontaneous regression with discontinuation
9 of therapy?

10 DR. WEISS: I'll just briefly comment.
11 There is a population that was included in your
12 handout published -- Two of the authors are sitting
13 right behind me, and I will ask them if they want to
14 make a comment. But they published on a series of
15 approximately 26 cases. Actually Dr. Elaine Jaffe was
16 also involved in reviewing, I believe, some of the
17 slides for those cases.

18 I believe in one or two of those cases
19 there was spontaneous regression once the TNF therapy
20 was removed.

21 DR. BLAYNEY: In the studies that you
22 described in those disease conditions, once the

1 control group finished the controlled treatment, was
2 cross-over to active therapy allowed?

3 DR. LIANG: It was allowed. However, it
4 was not included in the controlled portions of
5 controlled trial data.

6 DR. BLAYNEY: But those people, if they
7 did cross over, might pollute the data or add to the
8 safety data, if they developed lymphomas. They would
9 be counted as an adverse event associated with the
10 treatment rather than the placebo in your broad safety
11 data, it sounds like.

12 DR. LIANG: Well, I think that's the issue
13 here with regard to how to actually count patients
14 that crossed over from placebo to treatment arm.
15 Jeff, do you want to comment on that?

16 DR. SIEGEL: For the analyses that
17 involved just the controlled portions of the
18 controlled trials, of course, that wouldn't be a
19 concern. But for looking at the drug versus placebo
20 for the total safety databases, that would be a
21 concern.

22 Generally, patients who crossed over were

1 not ascribed to the placebo group for that. Their
2 duration of follow-up ended at the point of cross-
3 over. But you are absolutely right, that there was
4 longer follow-up, therefore, for the drug treated
5 patients than the patients in the placebo arm.

6 CHAIRMAN ABRAMSON: Dr. Krook, do you --

7 DR. KROOK: It was the same question.

8 CHAIRMAN ABRAMSON: Okay. Dr. Gibofsky.

9 DR. GIBOFSKY: Seeing the medians and the
10 means for the cases that you have arrayed, but have we
11 had a chance to look at whether or not there is any
12 segregation as a function either of dosage
13 cumulatively or as a function of onset since time of
14 initiation of therapy?

15 DR. LIANG: No. That's a good question,
16 but we have not looked at the doses.

17 DR. SIEGEL: We have done some analyses of
18 the occurrence with -- based on the duration of
19 treatment, in particular with adalimumab, and the data
20 did not indicate an increasing incidence with longer
21 durations of exposure.

22 DR. GIBOFSKY: And what about for

1 etanercept?

2 DR. SIEGEL: I can't recall those data
3 exactly. Perhaps the sponsors later on would have
4 that data.

5 CHAIRMAN ABRAMSON: Okay, thank you.
6 Thank you, Dr. Liang. The next speaker is Dr. Cote,
7 lymphoma and hepatic toxicity.

8 DR. COTE: Good morning. Happy Mardi Gras
9 for those of you who are celebrating it later. My
10 name is Tim Cote. I am in CBER.

11 Today I am going to be talking about
12 lymphomas and liver failure. Most of my time will be
13 spent on lymphomas and with TNF blockers, but this is
14 with a different kind of data, and I want to introduce
15 the data type. It is post-marketing surveillance,
16 also known as the MedWatch program, to somebody who
17 may have submitted reports through it.

18 This is a system, sort of an open door
19 through which clinicians and others can report adverse
20 events associated with drugs. We call this an
21 epidemiology passive surveillance. We don't actively
22 solicit the reports, but we receive them as clinicians

1 voluntarily come forward with them to report important
2 events sort of as they function as good citizens in
3 the clinical community.

4 The greatest benefit of the system is as a
5 means of signal detection. There are some
6 characteristics of those reports that need to be borne
7 in mind before I present the data.

8 First of all, it is voluntary. There's no
9 laws like we have for other reporting of diseases in
10 public health for clinicians, but it is mandatory that
11 the companies report into the FDA whatever reports
12 clinicians have sent in to the companies.

13 It is often incomplete, and it is
14 incomplete in two ways. First of all, there may be an
15 unreported number of cases. We can't say with any
16 measure of certainty whether we have 2 percent, 10
17 percent, 50 percent or 80 percent of the cases which
18 actually occur out in the real world through the
19 system.

20 It is also incomplete in that the
21 narratives, the descriptions, the clinical
22 descriptions are just volitional reports on the parts

1 of clinicians. So they may lack important
2 information. They may be sketchy.

3 When we receive them, they are coded into
4 what we call MedDRA terminology, using a code book. A
5 clerk will go through and, whenever they pick up
6 particular terms, they will assign a code number to
7 it, and it is done with a high degree of sensitivity
8 intentionally so that we may pick up all of those
9 terms that may be in the report.

10 Causality assessments from these are
11 tenuous by design. We don't have a bar or a
12 requirement of causality in order to receive the
13 reports and includes them in our database and later
14 reviews what we rest upon. I'm going to show you some
15 of that later.

16 Most importantly, you can't generate
17 incidence rates from this data, because you don't know
18 what proportion of the numerator you actually have
19 got.

20 Turning now to lymphomas with TNF
21 blockers, there is a rich body of medical literature
22 associating immunodisregulation and lymphoma, and that

1 is the reason why many of us are here today, because
2 it is biologically plausible that the TNF blockers
3 might cause lymphoma. There's some reasonable reason
4 to expect that that may be the case.

5 At this point, at this date in our
6 history, we have hundreds of thousands of patients on
7 these drugs, and this increases the public health
8 importance of this committee's consideration.

9 As has already been mentioned, we have
10 previously published and included in the briefing
11 document a series of 26 lymphomas arising from people
12 who were on TNF blockers, but the causality was
13 explicitly stated in that manuscript as being unclear
14 and subject to further consideration here.

15 A little bit of more understanding on
16 lymphomas and TNF blockers: As was already mentioned,
17 rheumatoid arthritis and non-Hodgkin's lymphoma are
18 recognized in the medical literature to be associated,
19 and this does complicate the problem of ascribing or
20 not ascribing TNF blockers to have a causal role in
21 the development of lymphomas.

22 Placebo controlled studies which were

1 presented earlier have been small, and they have had
2 particularly very small follow-up times relative to
3 the time period that one might expect for a malignancy
4 to develop.

5 The manufacturer's pre- and post-marketing
6 cohort studies have likewise been short relative to
7 follow-up times that we would expect for
8 carcinogenesis.

9 We have gone back to the post-marketing
10 data, and this is new information which isn't in your
11 briefing document, because it is only been in the past
12 couple of months that we have been able to generate it
13 out, on lymphomas reported to FDA following TNF
14 blockers from January of 1999 until December of 2002.

15 There were 863 reports with medDRA terms,
16 both specific terms and nonspecific terms. We cast a
17 wide net, looking for lymphomas and TNF blockers.
18 Four hundred seventy-three of these were on patients
19 who received Infliximab therapy; 390 were patients who
20 had received etanercept therapy and who developed
21 lymphoma.

22 We went through these and found that, as

1 we had expected, a large number of them simply didn't
2 have lymphomas, but there were 95 reports of biopsy
3 proven lymphoma diagnosed subsequent to Infliximab
4 therapy, and 63 reports of biopsy proven lymphoma
5 diagnosed subsequent to Etanercept therapy. Together,
6 these represent 158 cases that we have of lymphoma
7 that were subsequent to therapy with one of the TNF
8 blockers.

9 Over here on this side, 368 did not have
10 lymphomas. Eight had no biopsy. One lacked
11 temporality, and similar numbers for Etanercept cases.

12 Here's how the cases marched out over
13 time. You can see that, since the licensure of these
14 drugs, there were very few, and they have risen
15 throughout time. We would expect, of course, that the
16 distribution of these drugs has likewise increased
17 throughout this period of time.

18 A little bit about these patients: most
19 of them had a median -- They had a median age of 64,
20 but a pretty wide range of age, and they were similar
21 between the two drugs. Most of these patients were
22 females.

1 The indications were slightly different
2 between infliximab and etanercept, as would be
3 expected by the diseases that they are licensed for.
4 Rheumatoid arthritis, however, made up the bulk in
5 both cases. Infliximab also had 21 percent of the
6 cases with lymphoma had Crohn's disease, and there
7 were a higher proportion of other diagnoses associated
8 with Etanercept.

9 A little bit about the histology of the
10 158 lymphomas, and this is really a little bit, to
11 underscore how incomplete MedWatch reports can be.
12 Fully half of them had lymphoma. NOS is "Not
13 Otherwise Specified." And 26 of them had non-
14 Hodgkin's lymphoma, not otherwise specified. So this
15 category we can't say very much more about.

16 Fifteen percent, we knew, were B-cell
17 lymphoma but were not otherwise specified. Hodgkin's
18 disease made up 20 of them, T-cell lymphomas, mantle
19 cell lymphoma, plasmacytoma and one Burkitt's cell
20 lymphoma.

21 So in conclusion on this topic of
22 lymphomas and what the post-marketing data have to say

1 about it, they are poorly characterized. It has
2 really not been established if they are the same grade
3 as the general population, because so little has been
4 described about them in the reports. Histologically,
5 they may be consistent with lymphoma secondary to
6 immunodeficiency, but at this point we just don't have
7 the information.

8 The clinical trials, as Dr. Liang has
9 already described, have found increases in non-
10 Hodgkin's lymphoma risks, but that was based on very
11 few observations. The assessment is complicated by
12 rheumatoid arthritis confounded increases.

13 The number of cases of lymphoma among
14 persons taking Beta blockers is growing -- excuse me,
15 TNF blockers is growing, and the FDA really needs the
16 input from the AAC to assess the causality and/or
17 propose means to better evaluate the causality.

18 Okay, moving on here to what I consider
19 the secondary topic of my talk, liver failure. The
20 reason for consideration of it in this talk is that it
21 is a signal for Leflunomide, and thus it is of
22 interest for completeness to look and see what was in

1 the data on TNF blockers.

2 In clinical trials also, some patients on
3 Infliximab showed elevated increases in liver enzymes,
4 and I will show you that in just a moment. Here it
5 is. Infliximab mediated ALT increases: If you
6 compare placebo and Infliximab, here are two separate
7 studies, one study of rheumatoid arthritis patients on
8 methotrexate, which is known to increase liver enzymes
9 all in itself, and one study of Crohn's Disease
10 patients without methotrexate.

11 We can see that there are some fairly
12 modest increases, 29 percent to 37 percent, 36 percent
13 to 42 percent, in ALT. Now you should note that most
14 of these ALT increases were less than two times the
15 upper limit of normal, and there were no clinical
16 sequelae in any of the cases with these ALT increases.

17 A little bit of the reporting, the cases
18 that were reported through passive surveillance now
19 through the MedWatch program. There were 134 reports
20 to MedWatch citing Etanercept or Infliximab and the
21 MedDRA term that may have coded for liver failure.
22 Then we reviewed those, much as we did the previous

1 ones.

2 Fifty of these reports actually had well
3 documented liver failure following an anti-TNF
4 therapy. But when we looked more closely at these 50
5 reports, we found that fully 43 of the reports had
6 other proximal causes or other possible causes at
7 least for their liver failure, and only seven of them
8 lacked another cause. However, many of those seven
9 were poorly described, and we have asked for further
10 information on them, and we are continuing to evaluate
11 them.

12 Here are those other causes. Thirteen
13 were associated with sepsis. Again, we can't say that
14 this wasn't an indirect cause of the TNF blockers,
15 because sepsis may well have been associated as an
16 adverse event from the TNF blockers themselves. Eight
17 of them had tuberculosis, in many cases disseminated
18 tuberculosis, and were on INH therapy. So there is
19 another possible cause. Ethanol, Graft-versus-Host
20 disease, viral hepatitis, other drugs which may cause
21 liver failure, and other causes among the remaining
22 ones.

1 So in conclusion on this topic of liver
2 failure and the TNF blockers, liver failure with TNF
3 blockers appears to be a fairly rare event. while
4 there are a large number of people on TNF blockers,
5 chance occurrence to explain this is pretty unlikely,
6 because the baseline rates are generally thought to be
7 about one per million in the general population for
8 liver failure.

9 Still, causality can't be ruled out, and
10 some concern remains warranted. That concern is being
11 addressed through further clinical data which is
12 pending on those remaining seven cases. Thank you.

13 CHAIRMAN ABRAMSON: Thank you. Questions
14 for Dr. Cote? Dr. Gibofsky?

15 DR. GIBOFSKY: An extension of my previous
16 question to Dr. Liang: If you look at the 158 cases of
17 lymphomas which were aggregated into Crohn's Disease,
18 rheumatoid arthritis and other, if you separate them
19 out by category, do any patterns emerge either in
20 terms of relationship to duration of therapy or onset
21 since therapy was initiated?

22 DR. COTE: No. No further patterns have

1 emerged at this point in relation to either of those
2 two questions. In addition, that burden of disease,
3 those 158 cases, were similarly shared between
4 Etanercept and Infliximab.

5 CHAIRMAN ABRAMSON: Dr. Jaffe?

6 DR. JAFFE: Do you have any data on EBV
7 positivity, since EBV is often found in the lymphomas
8 associated with rheumatoid arthritis and other
9 immunosuppressive agents?

10 DR. COTE: It's a very good question. It
11 is a reasonable question to address. We don't have
12 the data. It could be reasonably ascertained by
13 getting the blocks and doing the tests.

14 CHAIRMAN ABRAMSON: Dr. Blayney.

15 DR. BLAYNEY: I think there is a great
16 danger to over-interpreting the data that you have.
17 In the MedWatch program, has there ever been any proof
18 or any tests with known adverse event in a well
19 characterized population to try and understand how
20 much of that gets into the MedWatch database in any --

21 DR. COTE: There have been some studies.
22 There's a number that is bantered about as ten

1 percent. However, that number is very subject to
2 different influences, one of which is the adverse
3 event of interest. Some adverse events are going to
4 have a higher proportion. Some are going to have a
5 lower proportion.

6 We know that these 158 are the minimum
7 number of cases which have occurred, but what
8 proportion of the total they may be is unknown.

9 DR. BLAYNEY: And I think there's -- You
10 know, as these events become known among the users of
11 these drugs, there's a potential for ascertainment
12 bias --

13 DR. COTE: Absolutely.

14 DR. BLAYNEY: -- in reporting.

15 DR. COTE: As things get reported, more
16 reports come in. You are absolutely right.

17 DR. BRAUN: I'd just like to add to that.

18 My name is Miles Braun from FDA. It is really hard
19 to come up with a rule of thumb about the proportion
20 of reports that would be reported to FDA, and there's
21 been, in particular, work in the vaccine side that
22 shows that it could range from two or three percent up

1 to around 70 percent, depending on what the adverse
2 event is, and different characteristics of the adverse
3 events, including the time between when the product is
4 given and when the adverse event occurs, and what the
5 degree of recognition of the adverse event is.

6 So that is -- It's a good question. It's
7 one of the limitations -- one of the multiple
8 limitations of dealing with these data.

9 CHAIRMAN ABRAMSON: Yes, Dr. Krook.

10 DR. KROOK: Kind of a follow-up to one of
11 the other questions. In the MedWatch program, any
12 spontaneous remissions as long as you've collected
13 these numbers? I mean, I realize the data is
14 incomplete, but just as you get these, whether that is
15 in those.

16 DR. COTE: In all honesty, we haven't
17 reviewed the 158 series to know whether or not that is
18 the case. It is something that we will do when we go
19 back and re-review it, and I'd be happy to let you
20 know in follow-up.

21 CHAIRMAN ABRAMSON: Dr. Jaffe?

22 DR. JAFFE: As you presented the data,

1 based on the MedDRA culling about three-quarters of
2 the cases were thrown out as not being lymphoma?

3 DR. COTE: The main reason is because we
4 used some very nonspecific terms for lymphoma, things
5 like infiltrates and things which were very
6 nonspecific terms, in an effort to make sure that we
7 caught as many of the lymphomas which were in the
8 MedDRA in the database.

9 So that's the reason why a large number of
10 -- large proportion were thrown out.

11 CHAIRMAN ABRAMSON: Can I look at your
12 slide 7 and follow up on Dr. Gibofsky's question? The
13 accrual rate of cases with time could either be
14 numbers of exposed or a latency period of duration of
15 exposure.

16 DR. COTE: Absolutely

17 CHAIRMAN ABRAMSON: Do you have data on
18 the average time from the onset of treatment to the
19 development of lymphomas?

20 DR. COTE: We did try to look at that.
21 Unfortunately, the data within the reports wasn't
22 sufficient for us to bring it forward. Probably only

1 30 percent had the requisite data diagnosis of the
2 lymphoma and date of first treatment with the TNF
3 blocker therapy.

4 In going back to these patients -- and, of
5 course, that is always an option to us, both at the
6 FDA level or at the manufacturer's level -- that
7 information could be obtained. It's information that
8 we wanted to see, too.

9 CHAIRMAN ABRAMSON: Other comments? Dr.
10 Krook.

11 DR. KROOK: Taking the same question that
12 you just asked, and again this is all taking that same
13 graph that you have, can you put that against the use
14 of one of these drugs that at the same time -- I mean,
15 these are cases reported. The amount of drug being
16 used is increasing.

17 DR. COTE: We can, and probably the
18 manufacturers will show you information on the
19 distribution of drug. It will be very similar. The
20 slope of the curve will be very similar.

21 DR. KROOK: That's what I thought it would
22 be.

1 CHAIRMAN ABRAMSON: Okay. Thank you very
2 much. The next speaker is Dr. Unger on congestive
3 heart failure.

4 DR. UNGER: Good morning, everyone. This
5 will take a second to load. If I could talk and chew
6 gum at the same time, I could maybe introduce myself
7 while I do this and get started, but I'm going to
8 wait.

9 DR. WEISS: We have an old version of
10 PowerPoint. It's very slow in the government.

11 DR. UNGER: Again, I'm Ellis Unger. I am
12 a medical reviewer and team leader in the General
13 Medicine Branch in the Office of Therapeutics in CBER,
14 and I am going to talk about anti-TNF alpha strategies
15 in congestive heart failure, and I am going to speak
16 primarily on data from randomized controlled clinical
17 trials in heart failure patients, and I will spend a
18 little bit of time talking about some post-marketing
19 reports for congestive heart failure.

20 The cardiology community enthusiastically
21 embraced the hypothesis of anti-TNF strategies in
22 congestive heart failure. There were clinical

1 observations of elevated TNF alpha levels in patients
2 with congestive heart failure, particularly patients
3 with cardiac cachexia.

4 There were some preclinical data showing
5 TNF alpha induced left ventricular dysfunction and
6 deleterious effects on left ventricular remodeling,
7 and these led to anti-TNF alpha hypotheses that TNF-
8 alpha contributes to the morbidity of congestive heart
9 failure and that anti-TNF-alpha therapies would have
10 salutary effects in patients with congestive heart
11 failure.

12 On the basis of these hypotheses, a number
13 of clinical trials were initiated, and the ones that I
14 am going to be talking about this morning are two
15 randomized trials with Etanercept and one randomized
16 controlled study with Infliximab.

17 The etanercept studies went by the
18 acronyms "RENAISSANCE" and "RECOVER." That is how I
19 will refer to them this morning. Because the studies
20 were so similar, they were regarded as sister studies.

21 I will actually present the two of them together.

22 RENAISSANCE was conducted by Immunex in

1 North America and enrolled approximately 900 subjects,
2 so a fairly large study. RECOVER was conducted by
3 Wyeth in Europe, Israel, Australia, and New Zealand,
4 and it enrolled 1100 patients.

5 Both were Phase 2/3 studies, randomized,
6 double blind, placebo controlled, multi-center
7 studies.

8 For inclusion, patients had to have CHF on
9 an ischemic or non-ischemic basis, an ejection
10 fraction less than 30 percent, symptoms of congestive
11 heart failure for at least three months, and New York
12 Heart Association Functional Classification 2, 3, or
13 4. Patients also had to be receiving a diuretic and
14 an ACE inhibitor.

15 Now this is a somewhat complicated slide.

16 So bear with me. RENAISSANCE is shown over here, and
17 RECOVER is shown over here. Both used Enbrel 25 mg
18 SC, and placebo. But the Enbrel was given on
19 different schedules.

20 So for RENAISSANCE Enbrel was given two
21 times per week or three times per week, two times per
22 week being the recommended dose for rheumatoid

1 arthritis. For RECOVER, which was the European study,
2 Enbrel was given once a week or twice a week. The
3 treatment duration was 24 weeks.

4 The clinical endpoints were: First, a
5 clinical composite score, which was assessed at 24
6 weeks, that I will explain momentarily; and a combined
7 endpoint across both studies of mortality or
8 congestive heart failure hospitalization. For that
9 endpoint, the twice weekly and three times weekly
10 groups were combined, and the once weekly group in the
11 European study was not included.

12 This clinical composite score was regarded
13 as worse if a subject died, if they were hospitalized
14 for heart failure, if they had worsened New York Heart
15 Association functional classification, or if they
16 global assessment, judged by the subject, was
17 moderately or markedly worse.

18 The composite score was improved if,
19 first, the clinical composite score was not worse, and
20 New York Heart Association functional classification
21 was improved, or the global assessment was moderately
22 or markedly improved.

1 The third possibility was unchanged, which
2 was the categorization that the score was neither
3 better nor worse.

4 Now I'll go into the results of these two
5 studies. First, both studies were stopped in March of
6 2001. At a planned interim review, the DSMB
7 recommended that both studies be halted, because the
8 pre-specified results indicating futility had been
9 observed.

10 At that point, because the studies did not
11 initiate enrollment at the same point in time, the
12 median follow-up in RENAISSANCE was 12.7 years, and
13 for RECOVER -- months, excuse me -- and for RECOVER,
14 5.7 months. So approximately a twofold difference in
15 terms of the data for the two studies.

16 The baseline characteristics for
17 RENAISSANCE were fairly typical of the congestive
18 heart failure patient population. I point out that
19 approximately one-quarter of the patients were
20 functional class II. Half were functional class IIIa.
21 Another quarter were a functional class IIIb, and a
22 very slim minority were function class IV.

1 The treatment groups were very well
2 balanced with respect to demographic and baseline
3 characteristics, and I won't show them, but I will
4 point out that there were four notable exceptions, and
5 I point them out because they all tend to favor the
6 placebo group.

7 So for the placebo group on average, the
8 baseline blood pressure was slightly higher. The six
9 minute walk was slightly longer. Antiarrhythmic use
10 was less frequent, and atrial fib or flutter was less
11 frequent. So the imbalances were small, but all would
12 be associated with a more favorable prognosis in the
13 placebo group. That's why I mention them.

14 For RECOVER, the European study, again
15 patients were very typical congestive heart failure
16 patients, and the breakdown by New York Heart
17 Association functional classification was quite
18 similar to the North American study.

19 This is the primary endpoint, week 24, for
20 RENAISSANCE. The results are shown with -- Worse
21 results are shown in blue, improved yellow, and no
22 change is white. The results are most notable for an

1 increased percentage of patients who were in the
2 "Worse" category for the twice weekly and three times
3 weekly Enbrel compared to placebo.

4 These are the same data for RECOVER, the
5 European study. In this case, the data were most
6 notable in the twice weekly Enbrel group, a trend
7 toward increased number of patients in the "Improved"
8 category. So there seemed to be a difference.

9 The other co-primary endpoint was all-
10 cause mortality and congestive heart failure
11 hospitalizations across both studies, again the twice
12 weekly and thrice weekly Enbrel groups. You can see
13 that there is a trend favoring placebo in terms of a
14 worse outcome in patients who received Enbrel.

15 I will tell you that the difference
16 between the groups was mostly driven by a difference
17 in mortality and not congestive heart failure
18 hospitalizations. So we are going to look more in
19 depth at the mortality.

20 This is the mortality data for
21 RENAISSANCE. The white line represents the placebo
22 group, yellow twice weekly, and blue thrice weekly

1 Enbrel. You see the difference here between the
2 groups. The percent mortality was at 14.2 in the
3 placebo arm versus 17.9 in the twice weekly Enbrel and
4 19.8 in the three times weekly Enbrel group. This was
5 concerning to us.

6 For RECOVER, you see kind of a different
7 trend. Actually, the placebo patients looked to be
8 worse than the patients on Enbrel. However, because
9 of the difference in length of data, length of follow-
10 up, I will point out that at this point only one-
11 fourth of the patients were still at risk. So,
12 really, the data are quite sparse out here.

13 Given the differences between the outcomes
14 of the two studies, we looked at some of the
15 difference in the patient populations to try to
16 identify factors that might impart a worse prognosis
17 in patients with heart failure receiving Enbrel, and
18 there were some differences in terms of race, in terms
19 of blood pressure, potassium sparing diuretic use,
20 digitalis and lipid lowering agent use.

21 I will tell you that none of the
22 exploratory analyses really identified factors that

1 appeared to put patients at increased risk on Enbrel
2 with heart failure. But there was one subgroup
3 analysis that I would like to go over with you.

4 Again, this is a *post hoc* subgroup
5 analysis, and it has its limitations, but actually,
6 when I did this analysis, my hypothesis was that
7 patients who have more severe heart failure,
8 functional class IIIb, might be more susceptible and
9 vulnerable to the effects of Enbrel.

10 In fact, that hypothesis was not borne
11 out. For patients who were more severely affected
12 with heart failure, there appears to be no difference
13 between Enbrel and placebo. And in fact, the
14 difference in the study was driven by the difference
15 in function class II patients.

16 The conclusion from this is simply that we
17 cannot provide reassurance to physicians that patients
18 with milder forms of heart failure are at lower risk
19 of Enbrel induced deleterious effects.

20 It is worthwhile to go over some of the
21 SAEs and AEs, basically, to look for clues in terms of
22 the mechanism. One would wonder whether Enbrel had

1 deleterious effects in terms of rhythm, in terms of
2 ischemia, maybe in terms of hemodynamic factors, maybe
3 negative inotropic effects.

4 To make a long story short, we don't
5 really find any clues in looking at the adverse event
6 reports that would point us in the direction of one
7 mechanism or another.

8 The selected AEs are interesting in that
9 we see a trend toward an increased number of a couple
10 of the AEs. Realize, these are selected. Dizziness
11 and chest pain seem to be more frequent in patients
12 who received Enbrel than in placebo patients, but
13 again they are selected.

14 In terms of SAEs, the main one was
15 increased congestive heart failure, which would be as
16 one would expect.

17 So for etanercept in congestive heart
18 failure, there is no evidence that Etanercept is
19 beneficial in congestive heart failure. The data
20 suggest harm, though the results are not conclusive.

21 The key finding of concern was a trend
22 toward higher mortality in Etanercept treated subjects

1 in RENAISSANCE. This concern was heightened by the
2 apparent dose response relation.

3 The results of RECOVER do not substantiate
4 the findings of RENAISSANCE with respect to Etanercept
5 induced mortality in congestive heart failure. And
6 the greatest concern was for an Enbrel dose higher
7 than that currently licensed for rheumatoid arthritis
8 in the U.S. This was a three times a week dose.

9 The data do not suggest a specific
10 mechanism of action leading to Etanercept related
11 adverse outcomes in the congestive heart failure
12 patient population. Exploratory analyses failed to
13 identify specific factors associated with increased
14 risk of adverse events.

15 In particular, patients in RENAISSANCE
16 with milder congestive heart failure did not appear to
17 be at lower risk of adverse outcomes.

18 So from labeling, there is no basis to
19 provide, first, a measure of reassurance for patients
20 with mild forms of congestive heart failure and,
21 second, a listing of factors that appear to predispose
22 to worsening congestive heart failure.

1 Now I will move to Infliximab in
2 congestive heart failure. There is one study
3 conducted under the acronym "ATTACH." This was done
4 by Centocor. This was a Phase 2 pilot trial,
5 randomized, double-blind, placebo-controlled, multi-
6 center study.

7 One hundred fifty subjects were randomized
8 equally to Infliximab 5 mg/kg at 0, 2 and 6 weeks or
9 10 mg/kg, or placebo on the same schedule.

10 The inclusion criteria included symptoms
11 of congestive heart failure for three months, New York
12 Heart Association functional class 3 or 4, ejection
13 fraction less than 35 percent, and patients had to be
14 receiving a diuretic and ACE inhibitor.

15 The primary endpoint was the same,
16 clinical status at 14 weeks improved, worse, or
17 unchanged. Here are the data.

18 There are approximately 50 subjects per
19 group. Again, the patients who had a worse clinical
20 status are shown in blue, and you can see eight
21 percent in the placebo arm versus ten percent with the
22 5 mg/kg, 22 percent for 10 mg/kg.

1 The silver lining was that there appeared
2 to be somewhat more patients who were improved, but
3 that was offset by the patients who were worse. Those
4 are the data at 14 weeks. I should have mentioned,
5 that was a primary endpoint.

6 Another endpoint, a secondary endpoint,
7 was the clinical status at week 28, and the trend
8 basically continued, 14 percent versus 16 versus 31
9 percent worse in clinical status at week 28.

10 The sponsor collected all-cause mortality
11 through one year, and there were four deaths in the
12 placebo group, four deaths in the 5 mg/kg group, and
13 eight deaths in the 10 mg/kg group.

14 On the basis of the interim data, a Dear
15 Healthcare Professional letter was issued on October
16 18, 2001, which hopefully you all received. It
17 instructed to look at selected adverse events.

18 In part because the mortality rate in the
19 placebo arm and the 5 mg/kg arm were the same, one
20 might conclude that, in fact, the 5 mg/kg dose of
21 Infliximab is not deleterious. But the selected AE
22 analysis here doesn't bear that out.

1 You will notice, for dizziness -- these
2 are symptoms -- Some of them are a little bit soft in
3 terms of indicating heart failure, but I think you
4 will agree, they could point in the direction of heart
5 failure. The incidence of dizziness, 4.2 percent,
6 versus 31.4, versus 20; dyspnea, 12.5, 19.6, 24;
7 angina, obviously, points toward an ischemic
8 mechanism: 2.1 versus 5.9 versus 4.8; and hypotension
9 5.9 and 8 versus zero.

10 So it suggested a number of mechanisms,
11 maybe hemodynamic effects, maybe ischemic effects, but
12 the whole thing is tempered by the fact that we have
13 very small numbers. But I think, in all, one might
14 conclude that, in fact, the 5 mg/kg dose is not clean.

15 There seem to be deleterious effects at this dose in
16 patients with congestive heart failure.

17 So for Infliximab there is no evidence
18 that it is beneficial in patients with congestive
19 heart failure. Although the numbers of subjects
20 treated are small, there is a strong trend suggesting
21 increased mortality in congestive heart failure
22 patients treated with Infliximab.

1 The data do not show an increase in
2 mortality with the 5 mg/kg dose. However, adverse
3 event data suggest that the 5 mg/kg dose is
4 deleterious. The mechanism underlying this apparent
5 effect is unclear.

6 When we have these data in hand, it caused
7 us to then query our post-marketing reports in terms
8 of congestive heart failure, and that was done by
9 epidemiology. They found 51 case reports as of
10 February 2002. So it was a year ago. Thirty of these
11 were for Etanercept, 21 for Infliximab, and of the 51
12 cases 42 reports were for new onset congestive heart
13 failure. Half of these had no identifiable risk
14 factors, and nine were reports of the congestive heart
15 failure exacerbation.

16 Median age was 64 years. Median time to
17 onset was 3.5 months, and 20 percent of these subjects
18 or patients were less than 50 years old.

19 For those patients less than 50 years old --
20 there were ten of them -- six had received Infliximab
21 and four Etanercept. The median ejection fraction was
22 20 percent. Three had underlying risk factors for

1 congestive heart failure. Ten had none reported, and
2 after discontinuation of the TNF antagonists and
3 institution of heart failure treatment, three reported
4 complete resolution, six improved, and one died.

5 I think one has to consider the post-
6 marketing data with the limitations of passive
7 surveillance in mind. But nevertheless, they are
8 interesting.

9 So in summary, overall the significant
10 overlap between congestive heart failure and
11 rheumatoid arthritis in the general population and, to
12 a lesser extent, in congestive heart failure in
13 Crohn's Disease. Data from the randomized controlled
14 trials in the CHF population raised concerns about the
15 safety of Infliximab and Etanercept.

16 Post-marketing data raised concern
17 regarding new onset congestive heart failure.
18 Comprehensive analyses of the randomized controlled
19 trial databases of all three TNF blockers may be
20 warranted, and the specific language for labeling is
21 presently under discussion. Thank you very much.

22 CHAIRMAN ABRAMSON: Thank you. Questions

1 for Dr. Unger? Dr. Blayney.

2 DR. BLAYNEY: I understand these agents
3 can cause lymphoma and opportunistic infections which
4 are adverse events in the clinical trials. Did the
5 cardiologists not report them or were they so low that
6 they didn't make your list of selected adverse events
7 or is there some other reason you could help me see
8 why those were absent in your slides?

9 DR. UNGER: Because basically the
10 orientation of the analysis was congestive heart
11 failure, but the data are there and have been
12 analyzed. I don't have any slides to show you, and I
13 would be reluctant to give you the information off the
14 cuff.

15 DR. BLAYNEY: Perhaps we could -- Can we
16 shed some light on that issue or maybe later on today?

17 DR. WEISS: Perhaps, actually, when we get
18 to the discussions in the afternoon, we can pull out
19 some of the information that might help address your
20 questions.

21 CHAIRMAN ABRAMSON: Dr. Ilowite.

22 DR. ILOWITE: In the RECOVER trial where

1 they got weekly doses, the patients -- subjects who
2 got weekly doses, was there any temporal relationship
3 of worsening heart function with the dose, because you
4 would expect the drug would be gone toward the end of
5 the week.

6 DR. UNGER: The study really wasn't
7 designed to capture that kind of information. You can
8 imagine, if a patient comes once every week or once
9 every three -- I can't remember what the exact
10 schedule was, but they weren't coming in more than
11 once a week. So --

12 CHAIRMAN ABRAMSON: Your penultimate
13 bullet point there -- I assume you are analyzing the
14 clinical development programs?

15 DR. UNGER: Yes, we are. We debated
16 whether we should promise that we were doing that, but
17 we are doing it.

18 DR. SIEGEL: I should mention that we have
19 looked for cases of CHF in the clinical trials for
20 rheumatoid arthritis, and no signal emerged. But we
21 want to go back and look in a more comprehensive way
22 in case there's some signal that is more subtle that

1 might have been missed.

2 DR. UNGER: I will tell you that, when I
3 went through the adverse event line listings, I came
4 upon patients who had dyspnea on exertion which was
5 categorized as a pulmonary problem, and peripheral
6 edema which was categorized as a body total or
7 metabolic or whatever.

8 These were not put together as congestive
9 heart failure, and that is pretty typical. So we are
10 going to put them together and see what kind of
11 signals we come up with.

12 CHAIRMAN ABRAMSON: Okay, thank you.
13 Norman?

14 DR. ILOWITE: In the Infliximab trials,
15 was there a temporal relationship between the
16 infusion, during the infusion or shortly after the
17 infusion, and worsening cardiac function? Is that
18 data available?

19 DR. UNGER: Again, the study wasn't really
20 designed to capture that. Vital signs were looked at,
21 and there were no signals. There were no striking
22 hemodynamic effects from Infliximab or Etanercept.

1 That was something that was of concern in terms of
2 whether it may have, you know, a direct, immediate
3 hypotensive effect, and that wasn't apparent.

4 CHAIRMAN ABRAMSON: Dr. Elashoff?

5 DR. ELASHOFF: For a non-M.D., with
6 respect to the CHF cases in the patients under 50
7 years old, would it be surprising that so many
8 improved, but would that be what you would expect with
9 cases like this?

10 DR. UNGER: I think it's pretty much what
11 you would expect. Yes.

12 DR. WEISS: Don't forget, they also -- I
13 mean they withdrew the drug, and then they also had
14 heart failure medication instituted, and again these
15 are post-marketing reports with the sketchiness that
16 is there. So we don't know if it was just, you know,
17 a mild diuretic and then they felt better or, you
18 know, how extensive exactly that their treatments
19 needed to be.

20 CHAIRMAN ABRAMSON: Okay. Dr. Makuch?

21 DR. MAKUCH: You indicated that there was
22 a trend toward increased mortality in the RENAISSANCE

1 trial, and it was heightened by the apparent dose
2 response relationship. The question I have is what
3 happened to the 1x? I was wondering if the 1x group
4 would have perhaps enhanced your ability to see a dose
5 response rather than just the way that you looked at
6 the study results today.

7 DR. UNGER: Well, the patients who
8 received 1x did about as well as placebo in the
9 European study. There is somewhat of a danger in
10 combining the data because of the different length of
11 follow-up, because they are different studies.

12 The sponsors did those analyses. I don't
13 have that. So I'd like to show you that slide right
14 now. Unfortunately, I don't have it. The sponsor may
15 have it.

16 Basically, when you look at that, you
17 know, with its limitations, I think it just reinforces
18 the dose response, although it is not as apparent as
19 it was if you look at the North American data on its
20 own.

21 DR. MAKUCH: Thank you.

22 CHAIRMAN ABRAMSON: Yes, Dr. Anderson?

1 DR. ANDERSON: I have a question which
2 comes out of Slide 23 which compares the subject
3 populations. In view of the quite large difference
4 between the RENAISSANCE and RECOVER populations in
5 their other medications, in particular, potassium
6 sparing diuretic, I was wondering were there any
7 subanalyses -- exploratory analyses done that took
8 into account the other medications that the patients
9 were on?

10 DR. UNGER: Yes, absolutely. We looked at
11 patients in the North American study who had received
12 diuretics and not received diuretics, and received
13 potassium sparing diuretics and not, and found no
14 signal there. We were hopeful that we would find
15 something, but we didn't.

16 CHAIRMAN ABRAMSON: If there are no
17 further questions, we thank the presenters for their
18 very lucid presentations, and we will take a 15-minute
19 break. I'm sorry, Dr. Jaffe?

20 DR. JAFFE: If I could just back up here,
21 Dr. Cote, I have one question for you before you run
22 off. Of the 158 patients with lymphoma, how many of

1 those patients were also on methotrexate or other
2 immunosuppressive agents?

3 DR. COTE: I don't have that information
4 right here. I'm sorry.

5 CHAIRMAN ABRAMSON: Okay. So we will
6 reconvene at a quarter to eleven. Thank you.

7 (Whereupon, the foregoing matter went off
8 the record at 10:31 a.m. and went back on the record
9 at 10:51 a.m.)

10 CHAIRMAN ABRAMSON: We are about to begin
11 the second session this morning, and the first
12 presentation will be from Abbott Laboratories. Dr.
13 Lefkowitz will be the presenter. In just a short
14 moment, we will get started, Jim, whenever you would
15 like. Dr. Lefkowitz.

16 DR. LEFKOWITH: Good morning. I am Dr.
17 Lefkowitz, and on behalf of Abbott Laboratories, I
18 would like to thank the committee and the agency for
19 this opportunity to present our data on adalimumab,
20 now known by the trade name HUMIRA.

21 After a brief introduction, I will cede
22 the podium to Dr. Fischkoff, who directed the clinical

1 program, who can present to you our data on
2 adalimumab. With us also this morning is Dr. Bob
3 Tarone of the International Epidemiology Institute,
4 who will detail some of the information behind the
5 SEER database and provide the calculations for the
6 standardized incidence ratios, for example, so you can
7 understand the analyses better behind malignancy and
8 the lymphoma data specifically.

9 I will end briefly with some comments
10 regarding our recommendations for your consideration.

11 With us also this morning are Doctors
12 Paulus and O'Dell, who are made available to the
13 committee as practitioners of the art as well as
14 experts in the field.

15 Adalimumab (HUMIRA) is an IgG1 kappa human
16 monoclonal antibody derived using phage display
17 technology. It neutralizes specifically human TNF-
18 alpha with high affinity and specificity. It
19 resembles, for the most part, endogenous IgG with a
20 half-life of approximately two weeks.

21 Currently, HUMIRA or adalimumab is
22 indicated in the treatment of adult RA in patients

1 with moderate to severe disease who have inadequately
2 responded to prior therapy with DMARDs.

3 It treats both the signs and symptoms of
4 this disorder and inhibits the progression of
5 structural damage as assessed radiographically. It
6 can be used either alone or in combination with other
7 DMARDs such as methotrexate, and the recommended dose
8 is 40 milligrams every other week.

9 Contained within the package insert are
10 certain specific warnings regarding serious but,
11 nonetheless, uncommon side effects. IN particular,
12 there is a boxed warning regarding tuberculosis which
13 contains within it guidance to the practitioner
14 regarding the appropriate screening procedures prior
15 to the institution of therapy.

16 There are also warnings within the package
17 insert regarding serious infections, particularly
18 tuberculosis, demyelinating disorders, malignancies,
19 and specifically lymphomas and, obviously, our
20 presentation will focus largely on this latter
21 subject.

22 I think it is well to briefly review some

1 of the sources of variability within the data. IN
2 particular, you will hear a variety of presentations
3 today which use different sources for data to base
4 their calculations for rates on. All data are unique
5 in that we are relying only on controlled trials for
6 our rate calculations for serious adverse events.

7 Registries represent a less well
8 controlled environment, nonetheless useful, and post-
9 marketing surveillance, obviously, is more qualitative
10 and useful for signaling in terms of safety.

11 There are also important patient
12 variables, particularly baseline demographics of the
13 patients of interest, age, sex, race, and geography
14 being paramount among those considerations. Moreover,
15 disease severity or duration, as you have heard, are
16 important considerations as well.

17 I would now like to turn the podium over
18 to Dr. Fischkoff.

19 DR. FISCHKOFF: Good morning. My name is
20 Steven Fischkoff, and it is a pleasure to have the
21 opportunity to present to you the clinical data from
22 the adalimumab development program.

1 What I will be presenting today is, first,
2 some information about the structure and scope of the
3 clinical development program, and also the efficacy
4 data that supported the registration of HUMIRA. In
5 addition, consistent with the focus of this meeting,
6 the bulk of the presentation will be on safety issues,
7 particularly a number of issues that have been
8 associated with the class of TNF antagonists,
9 specifically tuberculosis, CNS demyelination,
10 congestive heart failure, and malignancies and
11 malignant lymphoma.

12 In addition, Abbott is committed to
13 continue to study the safety of HUMIRA in the post-
14 marketing period, and understands the importance of
15 those commitments. I will also go through the
16 structure of the program to look at this in the post-
17 marketing period.

18 The overall program that was filed with
19 the dossier consisted of approximately 2500 patients
20 treated with adalimumab for approximately 5000 patient
21 years. The data that we will be presenting today has
22 a cutoff of August 31, 2002.

1 Twenty studies in rheumatoid arthritis
2 were filed with the BLA, of which four are pivotal,
3 and we will go into some more detail in a few moments.

4 Approximately 1400 patients received adalimumab in
5 these clinical trials.

6 In addition to having a large number of
7 patients available for analysis, the length of follow-
8 up was also long. Approximately 2000 patients had at
9 least one year of follow-up, and the overall median
10 exposure to adalimumab in the studies was two years.
11 IN fact, about 40 patients are now in their sixth
12 continuous year of adalimumab treatment.

13 Four studies were considered pivotal and
14 are shown here. Two of the studies were conducted in
15 patients taking adalimumab with concomitant
16 methotrexate, one in patients taking adalimumab as
17 monotherapy, and one which I will discuss in a little
18 more detail in a manner that was designed to simulate
19 clinical practice.

20 The first study, DE009, which is also
21 known in the literature as ARMATA, randomized
22 approximately 300 patients to either placebo or one of

1 three doses of adalimumab. The primary endpoint for
2 this study was the signs and symptoms of rheumatoid
3 arthritis, with the ACR20 score at six months being
4 the primary endpoint.

5 The next study, DE019, randomized
6 approximately 600 patients to either placebo or one of
7 two doses and schedules of adalimumab. This study
8 also had a signs and symptoms endpoint at six months,
9 the ACR20, but in addition there were two other
10 endpoints, one relating to disability at one year as
11 measured by the disability index of the HAQ at 12
12 months, and also the ability to inhibit the
13 radiographic progression as measured by the modified
14 total Sharp Score, again at 12 months. I will show
15 you this data in a few moments.

16 Study DE011 was the one study of the four
17 studies that was conducted in Europe and was conducted
18 in patients who were not taking concomitant DMARDs.
19 Approximately 500 patients were randomized to either
20 placebo or one of four doses and schedules of
21 adalimumab, and again the primary endpoint was the
22 ACR20 score, signs and symptoms at six months.

1 As you heard before, at the end of the
2 Phase 2 portion of the program, FDA recommended that
3 we increase the overall size of the program so that
4 approximately 1,000 patients would be available with a
5 year of treatment at the recommended dose and
6 schedule. As a result of this, we added study DE031
7 which enrolled approximately 600 patients.

8 This study was designed to simulate
9 clinical practice as best as possible in a clinical
10 trial, because it allowed patients to continue their
11 preexisting DMARDs rather than being washed out.
12 Patients enrolled in the study were taking between 0
13 and 4 concomitant DMARDs.

14 In addition, they were allowed to increase
15 a DMARD, to increase a corticosteroid or add a DMARD
16 during the course of the trial and remain on the
17 trial. We felt that this would be best to simulate
18 actual clinical practice.

19 The study was powered so that we could
20 pick up a one percent adverse event rate with 95
21 percent confidence at six months in either of the
22 treatment groups.

1 The average age of the patients was 55
2 years. This was a late stage patient population with
3 a mean duration of disease of 11 years. We have an
4 ongoing study in early RA, but there is no data to
5 present today from that study.

6 The mean number of prior DMARDs was three,
7 and the patients also had active disease with a mean
8 tender joint count of 30 out of a possible 68, a mean
9 HAQ of 1.6, consistent with moderate to severe
10 disability, and also a mean CRP of 2.8 with an upper
11 limit of normal of 0.8.

12 In particular, the one study, DE011, which
13 was the monotherapy study conducted in Europe,
14 enrolled the most advanced and sickest patients with a
15 mean prior DMARD value of 4 and the highest tender
16 joint count, HAQ, and CRP.

17 I will now show you the signs and symptoms
18 efficacy data that supported the registration. The
19 ACR20 was the primary endpoint and, as can be seen, in
20 all four pivotal studies there's a highly
21 statistically significant improvement in patients
22 receiving adalimumab, including even in study DE011

1 which enrolled the sickest patients and the most
2 advanced patients. Again, this was also highly
3 statistically significant.

4 The onset of efficacy was rapid. In study
5 DE009, efficacy was statistically significantly
6 improved as early as one week, and remained
7 statistically significantly improved out to six
8 months.

9 In study DE019, which went out to a year,
10 the efficacy was again statistically significant all
11 the way out to a year, based on the ACR20 score. In
12 addition, the HAQ score, which is not shown here, was
13 also highly statistically significantly improved
14 compare to placebo out at one year.

15 Now the ACR20 score is clearly important for
16 regulatory approval, but patients also want to achieve
17 higher degrees of relief, and the ACR50 and the ACR70
18 score are also indicators of this higher degree of
19 relief.

20 As can be seen here again, in the studies
21 with concomitant methotrexate, in the study with
22 monotherapy and in the study with the concomitant

1 DMARDs, there was a highly statistically significant
2 improvement in the ACR50. Again, the ACR70 shows the
3 same pattern with statistically significant
4 improvement compared to placebo in all four studies.

5 The radiographic progression and the
6 ability to inhibit it was measured in study DE019. In
7 this study, approximately 600 patients were randomized
8 to receive either placebo or adalimumab, and X-rays
9 were taken at baseline, at six months, and at one
10 year.

11 As can be seen in the patients receiving
12 placebo, there was a continuous and linear progression
13 in the modified total Sharp Score over one year.
14 However, in patients receiving adalimumab there was a
15 statistically significant inhibition in the
16 radiographic progression at both time points.

17 Looking at the two subscores, joint
18 erosion and joint space narrowing, again there is a
19 linear progression over one year in patients who
20 received placebo, but there is a highly statistically
21 significant improvement or inhibition of progression
22 in patients who receive adalimumab.

1 Disability is another important feature of
2 rheumatoid arthritis, and we used the HAQ -- the
3 disability index of the HAQ to look at that. At six
4 months in all four of the pivotal trials, again, there
5 is a highly statistically significant improvement
6 compared to placebo, and this improvement exceeds what
7 is recognized in the literature as the minimum
8 clinically important difference of 0.22. In fact,
9 DE009 the improvement in the HAQ was statistically
10 significant at two weeks.

11 So we summarize about the efficacy of
12 adalimumab, that it reduces the signs and symptoms of
13 rheumatoid arthritis as measured by the ACR20/50/70
14 score. It also inhibits the progression of structural
15 damage of rheumatoid arthritis as measured by the
16 total Sharp Score and also the subscores, joint
17 erosion and joint space narrowing.

18 It provides rapid onset and durable relief
19 of rheumatoid arthritis, and also, as measured at six
20 months and at one year, there is an improvement in the
21 disability index of the HAQ.

22 There are a number of safety issues that

1 have been associated with the class of TNF
2 antagonists, and these are listed here. First with
3 tuberculosis. Tuberculosis, as has been described
4 earlier, has been seen with TNF antagonists and,
5 certainly, there is preclinical data suggesting that
6 in a number of animal models there is decrease in host
7 resistance to tuberculosis that can be seen.

8 In some cases, there is a higher than
9 expected number of patients who present with either a
10 miliary pattern on chest X-ray or extrathoracic
11 presentation. It is possible that the true incidence
12 may be underestimated by post-marketing reports for
13 the reasons that were cited earlier and, as we will
14 show you in a bit, geographic and patient demographics
15 can also greatly influence the incidence of
16 tuberculosis that could be seen.

17 As a result of all this, clinicians are
18 being alerted to the possibility of tuberculosis in
19 patients receiving this class of drugs, and certainly,
20 screening for tuberculosis has been recommended and
21 has become standard practice.

22 In the adalimumab clinical program, there

1 were 13 cases that were seen in patients who received
2 adalimumab. They were not distributed geographically
3 evenly. Six were in Germany, one in each of four
4 other European countries, two in the United States,
5 and one in Canada.

6 In addition, there were three cases of
7 tuberculosis in patients who were not on adalimumab
8 therapy, one in a patient receiving placebo, and two
9 in patients who had been off adalimumab therapy, but
10 we had long term reports from their physicians. Two
11 of these cases were in Germany, and one of them was in
12 Italy. This may represent a background incidence of
13 tuberculosis in this population.

14 The peak incidence of tuberculosis was
15 between three and eight months of treatment, although
16 there were infrequent cases out after a year. All of
17 the patients presented today have recovered with
18 standard anti-tuberculous therapy, and there were no
19 deaths.

20 We looked again at the impact of
21 screening, first within the pivotal trial program and
22 its follow-up and then in the open-label extension. I

1 have shown before in the early studies, Phase 1 and 2,
2 screening was not yet implemented, and we had eight
3 cases of tuberculosis.

4 Later in the Phase 3 program, we
5 instituted screening with either our European study,
6 exclusion from the study if the chest X-ray was
7 positive, or in the United States and Canadian studies
8 a recommendation but not an insistence on prophylaxis
9 if the PPD was positive.

10 In the larger number of patients, there
11 was only one case of active tuberculosis, and this
12 particular case was a patient who was PPD and chest X-
13 ray negative at baseline, but on presentation of
14 active disease was positive for both, suggesting that
15 this is a primary case of tuberculosis.

16 Dr. Liang referred to five cases of
17 tuberculosis after the institution of screening. This
18 is one, and there were four additional cases that were
19 seen in the open-label extensions. Two of these cases
20 had evidence of latent tuberculosis infection at
21 baseline but, for one reason or another, one because
22 of a change in the recommendations, and one because

1 the investigator chose not to, these patients were not
2 screened, and potentially could have been prevented.

3 I will move on to CNS demyelination. In
4 the adalimumab clinical program, there were four cases
5 that were seen. One of them presented as optic
6 neuritis. Three of them presented with paresthesias.
7 Of these three cases, one of the patients had a prior
8 diagnosis of probable multiple sclerosis in the past.

9 All of these cases resolved. The optic
10 neuritis case resolved on high dose corticosteroids.
11 One of the paresthesia cases resolved partially with
12 Copaxone, and two resolved completely spontaneously.

13 Congestive heart failure was a subject of
14 discussion this morning. Abbott has not done specific
15 trials in patients with congestive heart failure, nor
16 does it intend to. But as suggested before, we have
17 looked into our RA patient database to see what
18 signals there might be.

19 In the pivotal studies there were seven
20 patients with a prior diagnosis of congestive heart
21 failure who were enrolled and received placebo, and 18
22 patients who were enrolled and received adalimumab.

1 None of these patients suffered a relapse during the
2 pivotal portion of the studies.

3 In addition, there were patients who did
4 not have a prior diagnosis of congestive heart
5 failure, but as can be seen, the number of patients
6 who developed new onset heart failure appears to be
7 balanced between active and placebo.

8 I will now move on to malignancies and
9 malignant lymphoma.

10 Based on the literature, the impact of TNF
11 antagonism on the risk of developing a malignancy is
12 unclear, because there are some studies that suggest
13 that the risk could be increased, and some studies
14 that suggest that the risk could be decreased.

15 Specifically, TNF is involved in the
16 immune surveillance for cancer in the body, and it is
17 also known that supraphysiologic -- in other words,
18 pharmacologic -- doses of tumor necrosis factor can
19 induce regression of established tumors.

20 On the other hand, there are also studies
21 showing that TNF deficient mice are resistant to skin
22 carcinogenesis, and TNF is also a growth factor for a

1 number of human lymphoma and leukemia cell lines.

2 To look at the potential impact of
3 adalimumab on cancer risk, we used the 1992-1999 SEER
4 database, and we used a matched patient population,
5 matching for age, sex, and race. Based on this, we
6 would expect to see 45.5 cancers in the treatment
7 period, and 46 were observed.

8 Therefore, the standardized incidence
9 ratio, meaning the ratio of the number of cases
10 observed to the number of cases expected, was one with
11 a confidence interval of 0.7 to 1.3.

12 We looked to see if there were any
13 particular types of tumors that had an increased
14 incidence based on their SIRs, including lymphomas and
15 common types such as those shown here. As can be
16 seen, with the exception of malignant lymphoma which
17 had a confidence interval that excluded one, the other
18 types did not show any signal of a potential increase
19 in the incidence of those cancers.

20 We also looked over time, and with up to
21 five and a half years of follow-up it appears that the
22 risk of developing a cancer is constant over time, and

1 there is no evidence of either early onset of cancers
2 or any acceleration in the rate of developing a
3 malignancy.

4 Malignant lymphoma is different, because
5 as we have heard this morning, there have been
6 multiple reports in the literature that the incidence
7 in patients with rheumatoid arthritis is elevated.
8 And as can be seen, there are a number of large
9 patient based studies. There are some case controlled
10 studies as well and, as can be seen here, the
11 standardized incidence ratio or the odds ratio from
12 these studies varies somewhere between 2 and 8.

13 One study that tried to pick this apart
14 was the study of Baecklund *et al.* that looked at the
15 odds ratio as a function of level of disease activity.

16 Baecklund found that there was a fairly strong
17 correlation with higher levels of disease activity
18 being consistent with markedly elevated incidence of
19 malignant lymphoma.

20 If you use the criteria that Baecklund *et*
21 *al.* used to assess patients, what they did was they
22 took a measure based on erythrocyte sedimentation

1 rate, giving patients from 1 to 3 points, the number
2 of swollen and tender joints, adding an additional 1
3 to 3 points, and the physician's global assessment of
4 disease activity, again 1 to 3 points. So that a
5 score would be somewhere 3 and 9.

6 the mean of these scores from the visits
7 was taken, and then this chart was used to assign
8 patients to low, medium or high disease activity, and
9 that was the score that was shown on the previous
10 slide. Based on this classification, the majority of
11 patients in the adalimumab program would be medium to
12 high.

13 There were nine cases of non-Hodgkin's
14 lymphoma and one case of Hodgkin's disease, for a
15 total of ten, that were seen in the adalimumab
16 clinical development program. Calculating the
17 standardized incidence ratio, it was 5.5, which is
18 consistent with the odds ratio of 5.4 that has been
19 seen for patients with moderate -- with medium levels
20 of activity of their disease.

21 One of the questions that the committee
22 has been asked is to discuss the tumor types, the cell

1 types. So we have broken this down, first by the cell
2 type here, and we have compared two studies from the
3 literature that looked at the distribution of tumors,
4 lymphomas, that were seen in patients with rheumatoid
5 arthritis.

6 In our program 80 percent of the tumors
7 were B Cell type, one was T Cell type, and one was
8 Hodgkin's. This is certainly consistent with the
9 prevalence of B Cell lymphomas that's seen in these
10 patients.

11 Looking at the histology and comparing it
12 to the rates that were described in the same two
13 publications, as you can see, the rates of each of the
14 different histologic types again matches very well
15 with what was expected in the literature from patients
16 who have rheumatoid arthritis.

17 This is the detailed breakdown of the
18 patient characteristics. What I would like to point
19 out is that in these patients the mean age was 63,
20 which is greater than the overall mean age of the
21 population of 55, and the mean number of years of RA
22 was 12 1/2, greater than the mean duration of RA of 11

1 that was seen in the overall population, consistent
2 with age and duration of RA being risk factors for the
3 development of malignant lymphoma.

4 Looking again to see if there was any
5 influence of time on the risk of developing lymphoma,
6 in this Kaplan-Meier analysis, again, we see no early
7 onset of malignant lymphomas, and we see no
8 accumulation or consistent with cumulative toxicity.

9 So regarding safety, we conclude that TNF
10 antagonists, including adalimumab, have been
11 associated with cases of active tuberculosis.
12 Screening appears effective at reducing the incidence
13 of active tuberculosis and has become standard of
14 care.

15 Rare cases of CNS demyelination have been
16 observed, and the malignancy rate that we saw in the
17 adalimumab clinical program is consistent with a
18 matched, based on age, sex and race, general
19 population.

20 In addition, the lymphoma rate is higher than
21 the general population, but is consistent with an RA
22 patient population matched for disease activity.

1 Abbott is committed to continuing to study
2 the safety of adalimumab in the post-marketing period
3 and has committed to the following programs:

4 Number one: Abbott is committed to
5 continue long term safety trials, which currently
6 consist of approximately 1700 patients, for a total of
7 five years. These will be done under completely
8 monitored conditions. This will increase the overall
9 size of the safety database by a factor of two but,
10 more importantly, will increase by a factor of greater
11 than 10 the number of patients that have been followed
12 for up to five years.

13 This will enable us to precisely calculate
14 incident rates of adverse events of interest, because
15 we will be fully capturing all events and fully
16 monitoring all patients.

17 We will supplement this with the European
18 registry, which will enroll approximately 3000-5000
19 patients, some of them coming from expanded access
20 programs. This will provide a large supplemental
21 experience with which we may hope to detect new rare
22 adverse events.

1 Abbott is either conducting or will
2 shortly conduct studies in some additional
3 indications, as shown here. We are conducting studies
4 in juvenile rheumatoid arthritis and early rheumatoid
5 arthritis. Studies are ongoing in Crohn's disease and
6 will shortly start in psoriasis, psoriatic arthritis
7 and ankylosing spondylitis.

8 In addition, despite the limitations
9 discussed before about spontaneously reported adverse
10 events, Abbott will still continue to collect them,
11 and this may allow us to detect potential new rare
12 signals or perhaps changes in pattern that are
13 consistent with changes in medical practice.

14 Our overall assessment of the risks and
15 benefits of adalimumab is as follows. Adalimumab is
16 effective in reducing the signs and symptoms of
17 rheumatoid arthritis and inhibiting the progression of
18 joint destruction.

19 TNF antagonists have been associated with
20 rare cases of tuberculosis and CNS demyelination, and
21 guidance is provided to both the patient and the
22 practitioner in the various package inserts.

1 Adalimumab does not appear to contribute
2 to the increased risk of cancer of malignant lymphoma,
3 based on the information that I have shown before, in
4 the RA patient population; and the benefit risk
5 assessment is, therefore, quite high in favor of
6 adalimumab, and Abbott believes that this represents a
7 significant contribution to the care of RA patients.

8 I will now turn the floor over to Dr.
9 Robert Tarone who will go through in some detail the
10 methodology that is used for calculating the
11 standardized incidence ratios.

12 DR. TARONE: I want to briefly describe
13 the calculation of standardized incidence ratios or
14 SIRs, and comment on their use in evaluating cancer in
15 clinical trials.

16 The standardized incidence ratio is an
17 estimate of the relative risk of cancer in a defined
18 cohort followed for a specified period of time.
19 Relative means relative to the cancer risk in the
20 general population from which the cohort was derived.

21 Now the SIR is often represented as 0
22 divided by e , and that reflects how it is calculated.

1 The SIR is the observed number of cancers in the
2 cohort divided by the number of cancers that would be
3 expected if the cohort members have the same cancer
4 risk as the general population.

5 Now to compute this expected number of
6 cancers, we obviously need to have good estimates of
7 age-specific cancer rates for the general population,
8 and for the adalimumab trials we used the SEER
9 database, the National Cancer Institute SEER program.

10 This data comes from population-based
11 cancer registries. What that means is that SEER tries
12 to ascertain every single primary cancer diagnosed in
13 the catchment area of the SEER registries, and these
14 catchment areas are defined by county or state lines.

15 This is important, because that means that
16 SEER can get from the Census Bureau very accurate
17 estimates of the population size at risk by county and
18 state for the different age groups, which allows them
19 to have the denominators needed to calculate the age-
20 specific cancer rates.

21 Now SEER does not collect data on basal
22 cell or squamous cell skin cancers, and it does not

1 collect data on metastases, primary cancers only.

2 There are currently 11 SEER registries,
3 and there have been since 1992, and they cover
4 approximately 14 percent of the U.S. population. Now
5 just for the record, very shortly there is going to be
6 an expansion of SEER for future applications. 2003
7 may be a slight optimistic. Actually, next month SEER
8 will report the incidence data for the year 2000. It
9 is delayed somewhat, because they have had to make
10 adjustments to the denominators based on the 2000
11 Census.

12 So probably in early 2004, the 2001
13 incidence data will be reported, and that will be
14 based on four additional cancer registries. After
15 that, SEER will cover 26 percent of the U.S.
16 population, and these registries were added with
17 minorities in mind. In fact, there will be 24 percent
18 coverage of African Americans, 44 percent of Hispanics
19 in the United States, and 59 percent of Asian
20 Americans.

21 For our current purposes, all we really
22 need to know -- What is important is that we can get

1 sex-specific, race-specific, age-specific cancer
2 incidence rates from SEER in five-year age intervals
3 through 80-84 years of age.

4 We use the rates from the 11 registries,
5 1992-1999. 1999 is the most recent data available.
6 So how do we use this to calculate the expected value?

7 Well, take each year or fraction thereof that a
8 person in the trial taking adalimumab is followed at a
9 given year of age for diagnosis of cancer. Call that
10 y .

11 Let r be the annual incidence rate of
12 cancer at that age in the general population for a
13 person of the same race and same sex. Then the
14 contribution to the expected number of cancers for
15 that year of age and that person is $y \times r$. You get a
16 similar contribution for every year of age that that
17 patient is followed. Sum those up to get the
18 contribution for that person.

19 This is best illustrated by an example.
20 So let's consider a white man with first adalimumab
21 injection at age 79 years, 3 months, who is then
22 followed for 2.5 years. Okay. So that's three-

1 quarters of a year that he is followed at age 79.

2 We get the lymphoma rate for 75 to 79
3 years of age from SEER for white men. Multiply that
4 by 0.75, the length of time he was followed at age 79,
5 and this is his contribution at age 79.

6 Now he was also followed for an entire
7 year age 80 and three-quarters of a year at age 81.
8 So we get the SEER rate again for white men in the age
9 group 80-84 years of age. Multiply that by the length
10 of time he is followed in that age category, and here
11 you have the contribution of this man to the overall
12 expected value from ages 80 and 81, and his total
13 contribution then to the expected number of lymphomas
14 in all of the patients is the contribution at age 79
15 plus the contribution at ages 80 and 81. It is 319
16 per 100,000 or 0.0032. This is his contribution to
17 the total expected value.

18 What this represents is the probability
19 that he would have developed a lymphoma in the 2.5
20 years he was followed using SEER rates for white men.

21 Now you get a similar contribution for
22 each of the 2,468 patients who received adalimumab,

1 and the overall expected value is just the sum of all
2 these 2,468 expected contributions. Then the SIR is
3 calculated by dividing the observed number of
4 lymphomas to this overall expected number of
5 lymphomas.

6 This is the result. You have seen this
7 before. For lymphoma there were 10 observed
8 lymphomas. The total expected was 1.8. Divide 10 by
9 1.8, and you get the SIR of 5.5.

10 Now I think it is noteworthy that both NHL
11 and Hodgkin's disease were elevated, even though this
12 is based on small numbers. This was actually seen for
13 all three of the drugs under consideration today.
14 There is an increase in both NHL and Hodgkin's
15 disease, and this is exactly what you would expect
16 from a rheumatoid arthritis population.

17 All of the large population based cohort
18 studies have shown that both NHL and Hodgkin's disease
19 are at increased risk in rheumatoid arthritis
20 patients. In fact, most have shown a slightly larger
21 relative risk for Hodgkin's disease than for NHL.

22 All right. The committee has been asked

1 to make recommendations -- Well, I want to say one
2 more thing about that, because that contrasts with
3 what is seen in severely immunosuppressed patients,
4 the implant patients.

5 In those patients, only NHL is elevated.
6 There is no evidence that Hodgkin's disease is
7 elevated by severe immunosuppression.

8 All right. The committee has been asked
9 to make recommendations about the use of SIRs to
10 evaluate cancer risks in clinical trials and also with
11 regard to labeling. So I have just a few cautionary
12 comments.

13 The calculation of an SIR assumes that the
14 cancer risk in the cancer registry population is the
15 same as the cancer risk in the cohort that you are
16 following. This is -- Well, this is never strictly
17 true for any application in epidemiology of SIRs, and
18 that is true also of clinical trials, and for at least
19 two reasons in the adalimumab trials, and in general,
20 one related to geography and one related to calendar
21 period.

22 Sixty-two percent of the patients in the

1 adalimumab trials were from the United States or
2 Canada. Now, obviously, there is no problem in using
3 SEER for them. Canada has very similar lymphoma rates
4 as the United States.

5 The other 32 percent were from Western
6 Europe, several countries, and from Australia. Now
7 there are no good, large cancer registries in those
8 countries in Europe or in Australia. So we used the
9 SEER rates for all of the people, including those from
10 Europe and Australia.

11 What can be said, if you go to the World
12 Health Organization, either their website or their CD-
13 ROM, and look at a map, they have global maps now for
14 incidence and mortality for lymphoma, and all of the
15 countries represented in the adalimumab trials were in
16 the highest category of lymphoma risk.

17 So it is probably not too unreasonable to
18 use SEER for all of the patients in these trials, but
19 it is an assumption.

20 The second issue has to do with calendar
21 period, and this is always going to be an issue in
22 using SIRs in these clinical trials, because the

1 clinical trial follow-up is very recent years, and
2 there is always a delay in these cancer registries
3 when you can actually analyze the data.

4 We used the data up through 1999 to
5 analyze these trials. Most of the follow-up was after
6 1999. Now this is unlikely to be a serious problem,
7 because it is very rare to see sharp increases or
8 decreases in cancer incidence in a two or three-year
9 period, and that is generally what the lag is between
10 when these registries report their data.

11 A second cautionary note is that the
12 follow-up, obviously, in the clinical trials has to be
13 at least to the standard of the cancer registry, and
14 for SEER that is 98 percent. So if the follow-up in
15 the trials has less than 98 percent ascertainment of
16 cancers, then you are going to get an underestimate of
17 the risk in the trials.

18 A third point: Even if you have totally
19 appropriate registry and you have complete
20 ascertainment of cancer, there is still going to be
21 some bias in these SIRs. That is because cancers in
22 the general population are diagnosed as a result of

1 usual medical practice in the community, and the
2 patients in the clinical trials get much more medical
3 surveillance.

4 So it is virtually certain that in some of
5 these patients you are diagnosing cancers during the
6 clinical trial period that, if they had not been in
7 the trial, would not have been diagnosed until after
8 the follow-up period ends.

9 So there's telescoping of a few cases from
10 beyond the end of the follow-up into the trial period
11 is going to lead to an increase in the SIRs, but I
12 don't think this is so serious as to invalidate the
13 use of SIRs for this purpose. It does argue strongly,
14 I think, to exclude *in situ* cancers from such
15 considerations.

16 The last point relates to labeling. I
17 think the most serious issue with regard to the use of
18 SIRs in labeling has to do with how you convey the
19 uncertainty in the SIRs. For example, all three of
20 the drugs under consideration had elevated SIRs from
21 lymphoma. They had wide confidence intervals.

22 There is clearly no significant difference

1 between the SIRs. So how do you convey the
2 information of these SIRs in the labeling? My
3 personal opinion is confidence intervals are not the
4 way to go.

5 Most statisticians can't explain
6 confidence intervals. So I don't know what a
7 physician or a patient is going to do with a
8 confidence interval, but this is a question that has
9 to be answered, I think, and it is more serious in the
10 current situation because of the inherently increased
11 risk of lymphoma in these patients.

12 The differences you see in SIRs may simply
13 reflect differences in the severity of rheumatoid
14 arthritis in the patients that were included in the
15 different trials.

16 CHAIRMAN ABRAMSON: We have a few moments
17 for -- Yes, of course. Sorry.

18 DR. LEFKOWITH: I'll be quite brief. I
19 think we would like to propose some labeling
20 considerations for you to contemplate during your
21 deliberations.

22 I think it is particularly appropriate to

1 review this example with another therapeutic class of
2 drugs where a rate for serious adverse event was
3 estimated either at 0.02 or 0.04 events per hundred
4 patient years from post-marketing surveillance, but
5 100 times that rate was derived from clinical trials.

6 The question is rhetoric. In a way, you
7 are in fact processing or measuring exactly the same
8 event. What differs here is the context, and context
9 is important. So to summarize very briefly, we would
10 like to highlight -- we would like to propose these
11 labeling recommendations.

12 We believe that information on prevention
13 and screening should be highlighted, because
14 regardless how infrequent a serious event is, if a
15 physician can do something preemptively to screen
16 those patients and to prevent that from occurring,
17 that is serving the physician community as well as
18 patients.

19 We believe that information on vigilance
20 should be harmonized, because vigilance is important
21 in terms of informing the practitioner to intervene on
22 a timely basis. This will prevent morbidity and

1 mortality.

2 Again rates should be described with
3 appropriate context. Patient characteristics need to
4 be described. The nature of the study is important,
5 and I think it is appropriate to add a caveat
6 regarding the limitations on comparability.

7 SIRs are useful for describing cancer
8 risks with the caveats that Dr. Tarone added, provided
9 that you use an appropriate normative database and an
10 appropriate study vehicle for deriving the number of
11 observed cancers.

12 Finally, we would offer this last
13 consideration for you to contemplate, whether absolute
14 risk may be more appropriate than relative risk,
15 because these are, in essence, relatively rare serious
16 adverse events, and relativeness may overestimate the
17 probability and lead physicians and patients into
18 drawing the wrong conclusions.

19 Thank you very much for that last comment.

20 We would be willing to entertain questions of
21 clarification.

22 CHAIRMAN ABRAMSON: Tom and perhaps the

1 other speakers can come to the podium. Questions from
2 the panel? Dr. Jaffe.

3 DR. JAFFE: It seems that the increased
4 incidence in TB but not other opportunistic infections
5 must be telling us something about the effect of the
6 drug on the immune system and perhaps suggest that
7 macrophage function may be targeted more directly than
8 T Cell or B Cell function.

9 What studies have been done of *in vitro*
10 immune function in these patients or *in vivo*
11 immunologic testing to try to determine the effect of
12 the drug on immunity?

13 DR. FISCHKOFF: If I understand your
14 question correctly, you are first asking, one, if
15 there is a true difference in not seeing other
16 opportunistic infections and, number two, what tests
17 have been done in terms of looking at that.

18 Let me start with the second question
19 first. What this slide is showing is a portion of
20 some of the studies that we have done using flow
21 cytometric techniques, which was a substudy of the
22 DE009 study, specifically the United States study in

1 patients receiving concomitant methotrexate.

2 What is shown here is that, looking at CD-
3 56 and K-cells and also CD-14 cells, there doesn't
4 appear to be any dropoff or depletion in either of
5 these cell populations, and the end time point is six
6 months.

7 Regarding the other point, there were, and
8 are described in the label, a number of other
9 opportunistic infections. So that, in fact, we have
10 seen a number of other infections. Specifically, we
11 have seen two cases of aspergillus, one of nocardia,
12 and three of histoplasma.

13 So, in fact, it is something that
14 physicians do need to be alert to as well.

15 DR. JAFFE: But not viral infections? I
16 mean, what component of the immune system do you think
17 is being affected? Even though there is not a
18 decrease in macrophages, is there an effect on
19 macrophage function or macrophage chilling?

20 DR. FISCHKOFF: I would hate to go beyond
21 what it is that we have actually studied. In that one
22 substudy, there were a number of other cell sets that

1 were looked at and also some functional studies,
2 including some functional studies regarding
3 neutrophils, but that is the limit to which we have
4 studied, and I would hate to speculate beyond what we
5 have done.

6 CHAIRMAN ABRAMSON: Dr. Blayney?

7 DR. BLAYNEY: A couple of things, both in
8 your slide and Dr. Liang's slide also. There were no
9 lung cancers seen. Could you comment on that?

10 DR. FISCHKOFF: Your question is?

11 DR. BLAYNEY: Does your drug protect
12 against lung cancer?

13 DR. FISCHKOFF: Well, you know, we did
14 have one case of lung cancer, and we did request that
15 we get an indication, but they asked us to do another
16 study.

17 DR. BLAYNEY: Also lymphoma is increasing
18 in the general population. Furthermore, in the other
19 iatrogenic immune suppression settings of
20 transplantation and also in HIV immune suppression,
21 one sees lymphoma, but one also sees Kaposi's sarcoma
22 and melanoma, to some extent, in the transplant

1 iatrogenic immune suppression.

2 You didn't see that here. Could you
3 comment on that?

4 DR. FISCHKOFF: Well, let me show you
5 first the data that we have on melanoma. Can I have
6 the original slide that had the rates of the various
7 cancers, the one we just saw?

8 As you can see, we did have three
9 melanomas. The confidence interval includes one,
10 although any conclusions are being driven here by a
11 very small number of cases. There were no cases of
12 Kaposi's sarcoma.

13 DR. BLAYNEY: Thank you.

14 CHAIRMAN ABRAMSON: Dr. Elashoff.

15 DR. ELASHOFF: Yes. This question is for
16 Dr. Tarone. How stable are these estimate of annual
17 incidence rates when you have broken down by age, sex,
18 race and geographic region? And also do the
19 confidence intervals that you create for the estimated
20 SIRs reflect what is known about variability for those
21 rates?

22 DR. TARONE: The answer to the second

1 question is no. They are the usual confidence
2 intervals calculated using exact Poisson methods, and
3 all of the standard methods assume that the underlying
4 incidence rates are essentially parameters that are
5 known.

6 With regard to the first question, well,
7 even for our blacks and Asians, we accumulated all of
8 the data from 1992 to 1999. So they are likely to be
9 very stable, even for five-year age groups. You
10 mentioned geography. Obviously, we can't -- That was
11 one of the problems. I mean, we had to use the entire
12 SEER database. We didn't try to stratify it by the
13 state of location of the patient in the trial. It was
14 just using nationwide rates.

15 CHAIRMAN ABRAMSON: I have one final
16 question for this round. Dr. Gibofsky.

17 DR. GIBOFSKY: Steve, is there any
18 correlation between either the finding of
19 immunogenicity to adalimumab and the occurrence of
20 infection or malignancy, particularly lymphoma? Is
21 there any greater or lesser incidence in the
22 population to develop antibodies than those who do

1 not?

2

3 DR. FISCHKOFF: So your question was, was
4 there a correlation with any important safety
5 parameter and the incidence of immunogenicity?

6 DR. GIBOFSKY: Right, with particular
7 reference to either infection, malignancy or lymphoma.

8 DR. FISCHKOFF: This is data from study
9 DE011, which is the study where patients were
10 receiving adalimumab as monotherapy, and overall there
11 were 12 percent of patients that had detectable at
12 some point along the way, and they had multiple --
13 they had multiple looks to see if there was an
14 antibody.

15 As can be seen with respect to adverse
16 events, fatal adverse events, serious adverse events,
17 withdrawals or at least possibly drug related adverse
18 events, there is no difference between the patients
19 who have an antibody at some point in their course or
20 those who never have one at any point in their course.

21 CHAIRMAN ABRAMSON: Thank you. We will
22 have time for questions when we come back in the

1 afternoon discussion. Thank you very much.

2 We will move on now to the Amgen
3 presentation, Dr. Burge.

4 DR. BURGE: Good morning, members of the
5 committee, the FDA, ladies and gentlemen. It's a
6 pleasure to be here today to provide a safety review
7 of etanercept which, as all of you are aware, has
8 become well established as a significant therapy for
9 patients with rheumatoid arthritis, juvenile
10 rheumatoid arthritis, and now psoriatic arthritis.

11 The efficacy and safety of etanercept has
12 been reviewed before this committee on a number of
13 occasions: The initial review associated with
14 licensure in 1998, the review associated with label
15 extension in 2000, and then the TNF safety review in
16 2001.

17 We welcome this opportunity to engage the
18 committee today, and have been asked by the FDA to
19 focus our attention on safety observations relevant to
20 lymphoma and heart failure. We will begin by
21 describing some of the unique characteristics of
22 etanercept, aspects of the etanercept

1 pharmacovigilance program. We will then share some
2 general observations from the extensive experience
3 accrued with etanercept.

4 We have asked Dr. Alan Silman to then
5 provide some perspective on the epidemiology of
6 lymphoma in rheumatoid arthritis patients, and we will
7 then review our data regarding lymphoma and heart
8 failure and conclude by reviewing our ongoing
9 pharmacovigilance program.

10 Recognize that etanercept was originally
11 cloned and engineered by Immunex in 1990, and Immunex
12 was acquired by Amgen in 2002. To avoid confusion, I
13 will refer to Immunex and Amgen collectively as Amgen
14 for the remainder of the presentation.

15 Several consultants have kindly consented
16 to join us today: Dr. Jeffrey Borer from Cornell
17 University Medical Center; Dr. Mary Crow from the
18 Hospital for Special Surgery in New York; Dr. Annette
19 Langer-Gould from Stanford University; Dr. Alan Silman
20 from the University of Manchester in the United
21 Kingdom; and Dr. Julie Vose from the University of
22 Nebraska Medical Center.

1 Though etanercept is in the TNF antagonist
2 class, it is distinct as the only soluble TNF receptor
3 utilizing receptor binding specificity. The human
4 protein has low immunogenicity, and no neutralizing
5 anti-etanercept antibodies have been detected.

6 Etanercept does not active compliment nor
7 does it initiate compliment mediated cell lysis. The
8 dosing schedule and pharmacokinetic profile of
9 etanercept results in a relatively smooth
10 concentration curve throughout the treatment period.

11 As etanercept may be administered alone or
12 in combination with methotrexate, it is important to
13 note that coadministration with methotrexate does not
14 modify etanercept pharmacokinetics.

15 We believe that these product-specific
16 differences in structure, function and
17 pharmacokinetics are relevant to etanercept's efficacy
18 and safety profiles. Although the focus of today's
19 discussion is on safety issues, in order to
20 appropriately assess etanercept's benefit risk
21 profile, it is important to appreciate the efficacy of
22 etanercept.

1 The clinical improvement is rapid,
2 substantial and sustained for up to six years in
3 clinical trials, and frequently permits tapering or
4 discontinuation of concomitant corticosteroids and
5 methotrexate, each of which can be independently
6 associated with safety issues.

7 In multiple clinical settings, including
8 early rheumatoid arthritis, patients with more
9 advanced disease, patients treated with Enbrel as
10 monotherapy, or in combination with methotrexate,
11 patients receiving etanercept consistently receive
12 ACR20 responses in the 70 percent range. This level
13 of benefit has also been observed in patients with JRA
14 and psoriatic arthritis.

15 The P-75 TNF receptor was cloned in 1990.

16 Etanercept was first developed and administered to RA
17 patients in 1993. It was initially approved for
18 commercialization in 1998 for the reduction of signs
19 and symptoms of rheumatoid arthritis as used as
20 monotherapy or in combination with methotrexate.

21 In 1999 etanercept was additionally
22 approved for the treatment of children with juvenile

1 rheumatoid arthritis, and in June of 2000 Enbrel was
2 approved as a first line disease modifying therapy for
3 rheumatoid arthritis and for an inhibition of
4 radiographic progression.

5 In August of 2001 we provided a review of
6 etanercept to this committee, and then in 2002
7 etanercept became the first disease modifying
8 therapeutic approved for the treatment of psoriatic
9 arthritis.

10 We have long been committed to providing
11 meaningful information regarding the safety of
12 etanercept to patients and prescribers. Even prior to
13 product approval, Amgen and Wyeth jointly made a
14 substantial commitment to the development of a
15 comprehensive pharmacovigilance program.

16 During the four years since product
17 approval, this program has been further expanded and
18 includes multiple elements, as outlined here.
19 Multiple long-term, open-label clinical trials remain
20 ongoing in North America and in Europe with over 1600
21 patients entered, some of whom have now been observed
22 for over six years.

1 Studies of patients with comorbidities,
2 patients on combination therapies have also been
3 initiated to further explore the safety profile of
4 etanercept. Observational studies have been initiated
5 in other special populations, such as children with
6 juvenile rheumatoid arthritis.

7 The RADIUS program is now nearing its goal
8 of enrolling 10,000 RA patients. This five-year
9 program will permit monitoring of the interaction
10 between therapies, comorbidities, clinical status, and
11 safety. Several national registries of also been
12 implemented in Germany, Sweden, and the United
13 Kingdom.

14 As the background epidemiology for adverse
15 events in patients with rheumatic diseases is often
16 not well characterized, we have sponsored several
17 epidemiologic studies, including a project with
18 Ingenix UnitedHealthcare, a database with
19 approximately 50,000 rheumatic disease patients to
20 establish the background rates of adverse events in
21 the RA, psoriatic arthritis, and ankylosing
22 spondylitis populations.

1 Surveillance of adverse events has also
2 been ongoing since product approval in November 1998.

3 Special programs have been in place, such as the
4 Enliven and Enrollment programs. Enliven is a patient
5 support system, and the Enrollment program was in
6 place to help facilitate drug distribution during the
7 previous period of limited supply.

8 Over 1.2 million phone contacts with the
9 150,000 patients who have received etanercept therapy
10 have facilitated adverse event reporting. Eighty-
11 eight percent of all reports have been initiated by
12 patients, and follow-up of these patient reports with
13 health care providers accounts for over half of the
14 health care provider reports. We believe that the
15 increased interactions with patients improves safety
16 surveillance.

17 At the time of initial approval,
18 etanercept filled a significant unmet medical need for
19 patients with RA. Recognizing that the experience at
20 the time of approval was limited, we initiated a
21 significant number of additional clinical programs,
22 some of which serve to satisfy post-approval

1 commitments.

2 In August 2001 we met again with this
3 committee and had the opportunity to present a safety
4 update which reflected the greatly expanded experience
5 with that representative over 111,000 patients. We
6 are able to present here today our experience based on
7 over 8,000 patient years of clinical trial experience
8 in rheumatoid arthritis and psoriatic arthritis and
9 over 230,000 patient years of practice experience.

10 This includes over 1,000 patients in their
11 fifth year of therapy and over 390 patients in their
12 sixth year of therapy.

13 Serious adverse events, as defined by ICH,
14 are carefully reported and evaluated. As you can see
15 in this slide, whether in early RA or more advanced
16 disease, the rates of serious adverse events are
17 similar between control populations and etanercept
18 treated patients. Furthermore, when we observe over
19 time, the rate of serious adverse events does not
20 increase.

21 Serious infections, defined as those
22 associated with hospitalization or IV antibiotics,

1 have also been carefully monitored in clinical trials.

2 Again, the rates of serious infection in the control
3 groups are similar to that seen in the etanercept
4 group in early disease or in more advanced disease.
5 Again, the rates do not increase with prolonged
6 therapy with up to six years.

7 I would like to focus our attention on a
8 general overview of malignancies before discussing
9 lymphoma in detail.

10 When evaluating the incidence of
11 malignancies in the clinical experience, we also have
12 utilized the national Cancer Institute database,
13 called Surveillance, Epidemiology, and End Results or
14 SEER database.

15 This database collects population based
16 information from multiple regions representing 14
17 percent of the United States population, and provides
18 data regarding incidence, prevalence and mortality of
19 various malignancies.

20 Utilizing age, gender, and race-specific
21 rates for the SEER database, one can calculate the
22 expected number of cases in the general population

1 relative to the trial cohort. The expected rate can
2 then be used as a denominator in calculating the
3 standardized incidence ratio or SIR.

4 This table represents data regarding
5 malignancies observed in etanercept clinical trials.
6 One can see that the control group had five
7 malignancies observed with 3.57 expected and an SIR of
8 1.40. In the etanercept group there were 11 with 8.80
9 expected with an SIR of 1.25. In the entire
10 etanercept experience, we see that there were 55
11 observed, 56.2 expected, and an SIR of 0.98.

12 The rate of malignancies shown on this
13 slide is a rate or events per 100 patient-years of
14 observation. Once again, the rate is similar between
15 the control and the etanercept groups, and there is no
16 increase over time.

17 Now we would like to have a brief
18 discussion about the epidemiology of lymphoma in
19 rheumatoid arthritis. For this presentation I would
20 like to introduce Dr. Alan Silman, rheumatologist and
21 epidemiologist from the Medical Research Council of
22 the United Kingdom, who is currently the lead

1 investigator for the United Kingdom National RA
2 Registry.

3 Dr. Silman is Professor at the University
4 of Manchester and will share some of his thoughts on
5 the epidemiology of lymphoma in patients with RA. Dr.
6 Silman.

7 DR. SILMAN: Thank you. Much of what I am
8 going to say today, I guess, has already been
9 mentioned. But considering an estimate of the
10 incidence or risk of lymphoma in etanercept treated
11 patients, ideally what we want to be able to do is to
12 separate out various components, the background
13 population risk, the risk attributable to rheumatoid
14 arthritis *per se*, whether there is an increased risk
15 attributable to severe RA, and also what really hasn't
16 been mentioned this morning but I think is important
17 is the increased risk which is attributable to prior
18 exposure in etanercept treated patients with other
19 immunosuppressive agents, for example, azathioprine
20 and methotrexate.

21 Also, increasingly when one is evaluating
22 the risk of lymphoma, or indeed any other adverse

1 event, in a group of patients treated with a biologic
2 agent, we have to take account of the fact they may
3 have been treated with another biologic agent.

4 We have already heard outlined this
5 morning the standardized incidence ratio being the
6 ratio of the observed to the expected number of cases.

7 In fact, it has been pointed out that this might not
8 be the most appropriate descriptor to describe the
9 increased risk either the public at large or to health
10 care providers.

11 I'd just like to put forward two
12 alternatives for you to consider. The first is what
13 an epidemiologist might call the absolute risk or the
14 risk attributable in this case to etanercept therapy.

15 If we were able to calculate in those patients
16 treated with etanercept what their expected risk was
17 based on the fact of their disease, the severity of
18 disease, and their other treatment, what is the
19 increased risk due to the fact of treatment?

20 Another way of looking at the same data is
21 to calculate the attributable risk fraction. This
22 says we've got an observed risk. What proportion of

1 that is actually due to what we are interested in?

2 Now this example might help. These are
3 made-up data, but in order to give some clarity to
4 what I have previously said.

5 Suppose in the etanercept treated cohort
6 we have an observed incidence of three cases of
7 lymphoma per 1000 patient years of treatment. In that
8 group we might have expected, based on all the other
9 factors I have outlined, an expected incidence of two
10 per 1000. Therefore, the incidence ratio is 3 over 2,
11 which equals 1.5.

12 I suspect it might be more useful to look
13 at the absolute risk where you are just subtracting
14 the expected from the observed, which allows you to
15 say exactly for each 1000 patient years of treatment
16 there is an additional one case.

17 Alternatively, by calculating that as a
18 fraction of the overall risk, one can say, for
19 example, in this example, that given the number of
20 lymphomas in etanercept treated patients, if these
21 data were real, a third of them are attributable to
22 the etanercept, and two-thirds are attributable to

1 other factors.

2 I think the challenge for all of us is to
3 try and get the right numbers in order to give these
4 answers.

5 When talking about the factors that we
6 need to think about -- and again, many of these have
7 already been mentioned, the background incidence in
8 the comparable population, and I'll come back to that
9 -- we do need accurate exposure data, and I think
10 completeness of follow-up is important.

11 It is quite easy in all these studies to
12 lose patients at follow-up, an epidemiological
13 construct we call right censorship, and that is
14 important, because if we are selectively losing, for
15 example, the milder patients or those individuals
16 without problems, we may be selectively concentrating
17 the adverse events in those people we do follow up.

18 We have already talked about the
19 differences in the population and also aspects of
20 disease and treatment that might influence risk.

21 I think Dr. Tarone has very nicely talked
22 about how important it is to have a way of

1 ascertaining all cases and to validate all cases.

2 There are some other methodological
3 issues. Again, many of these have been already
4 considered. Lymphomas are rare, and risk estimates do
5 have wide confidence intervals, though I do share the
6 point that it is difficult to get over a confidence
7 interval to even graduate students, never mind the
8 population.

9 The issue of surveillance bias: Are early
10 lymphomas that we are picking early during the course
11 of follow-up -- are they likely to be due to the drug
12 or due to better detection? Ideally, if we have
13 sufficient numbers, we could look for a dose response
14 effect, as has been done, for example, in relation to
15 azathioprine? Is there evidence of increasing risk in
16 people, depending on the size of the dose, duration
17 dose, etcetera?

18 The other point of crucial importance is
19 the influence of length of follow-up. follow-up
20 periods may not have equivalent risk. When you talk
21 about the risk per 1000 patient years or patient
22 months of observation, it may be very different if

1 that period of observation is concentrated, for
2 example, in the first 12 or 24 months rather than
3 later periods.

4 One of the problems is we have relatively
5 small numbers, but as our experience increases, we
6 will be allowed to dissect out what are the periods of
7 greatest risk.

8 I just want to discuss a little of the
9 data with you on the variation in lymphoma incidence
10 in RA populations. I don't believe there is any doubt
11 that there is an increased risk in lymphoma in
12 patients with rheumatoid arthritis independent of the
13 treatment they have received, and all these studies
14 come from the pre-biologics era.

15 I think what is interesting and maybe the
16 take-home message here is that there is considerable
17 variation even within the RA population. Now some of
18 this, particularly those two high bars at the right,
19 might represent individuals with severer disease than
20 in the other bars, which are more attempt at a
21 population derived cohort. But the message is clear.

22 There possibly isn't one estimate of increased risk

1 of lymphoma in RA.

2 What I have done here is to pick out the
3 four largest population based studies and attempted to
4 derive a pooled estimate, as far as one can tell, in
5 relation to the lymphoma risk in the background RA
6 population.

7 These are studies from very different
8 parts of the world, from Europe and from North
9 America. Actually, the dramatic thing -- and in
10 epidemiological terms, believe me, it is dramatic --
11 the similarity in risk are twofold with a fairly
12 narrow band of upper and lower confidence intervals.

13 I think these data are persuasive that, if
14 one goes to a population level, you do find this
15 increased risk.

16 I'd just like to finish by just letting
17 you know what is happening in Europe and in the U.K.
18 in particular. In the U.K. now, physicians can only
19 prescribe anti-TNF agents if they register them with
20 the National Biologics Register, which is based in my
21 own group in Manchester.

22 We are attempting to follow up both

1 cohorts treated with etanercept as well as the other
2 agents compared with cohorts that could be treated, if
3 we had the funding, but are not, and allowing us to
4 match for the various disease and other treatment
5 characteristics.

6 We are also combining this effort, as I
7 think you have already heard from both Dr. Fischkoff
8 and Dr. Burge, with other registries in Europe to try
9 and get the larger numbers. But I think, in answer to
10 a question you have not yet raised, my guess is the
11 answer to this might not come for another three or
12 four years.

13 Thank you very much. I think Dr. Burge is
14 going to continue.

15 DR. BURGE: Thank you, Dr. Silman. We
16 would now like to discuss the available data on
17 lymphoma from etanercept clinical trials in the post-
18 marketing experience. We will review the histology of
19 lymphoma reports, and state the conclusions that can
20 be drawn from this data.

21 Recall that an accurate estimation of SIR
22 is dependent on precise ascertainment of incident

1 cases and the corresponding period of observation.
2 Clinical studies provide the only opportunity to
3 accurately estimate the SIR for this treated
4 population.

5 In the etanercept clinical trials program,
6 six cases of lymphoma have been reported on study.
7 Utilizing the SEER database applied to a comparable
8 cohort in the general population, one would expect
9 2.59 cases, yielding an SIR of 2.31. Note that the
10 confidence interval includes 11, and the point
11 estimate is similar to the 2.2 represented by Dr.
12 Silman.

13 Note that this table here will also act as
14 a reference in the next three slides for further
15 analysis.

16 Etanercept has been evaluated in a broad
17 range of populations. The vast majority of our
18 patients, regardless of disease duration, had moderate
19 to severe RA with mean tender and swollen joint counts
20 in the high twenties. Other than the early RA study,
21 patients had typically failed three or more DMARDs and
22 had a mean disease duration of over ten years.

1 Evaluating the lymphoma SIR in early and
2 in more advanced disease, we obtained numbers that are
3 actually quite similar. Additionally, time to onset
4 is dispersed with a range of 0.4 to 4.8 years.

5 Three additional lymphomas have been
6 reported after study completion in patients previously
7 treated with etanercept in clinical trials. As the
8 period of post-trial observation for all patients is
9 not known, an accurate denominator cannot be
10 calculated, and we cannot derive an accurate SIR.
11 However, if we consider only the patient time on study
12 and use the expected number of 2.5, this conservative
13 SIR is 3.47.

14 The SIR calculated in the previous slides
15 have been relative to the general population. Using
16 the benchmark of 2.2-fold increased risk described by
17 Dr. Silman for the general RA population, we
18 multiplied the 2.59 expected cases by the 2.2 and
19 derived an expected number of 5.7 for the RA
20 population. The SIR for this analysis is 1.05.

21 Recognize that patients treated with
22 etanercept do have more severe disease than the

1 general RA population, which is known to confer
2 greater risk and is not included in this analysis.

3 Lymphomas have been described in post-
4 marketing reports in patients who have received
5 etanercept therapy. The reporting rate is 0.3 cases
6 per 1000 patient years. The background incidence in
7 the general population is 0.3 per 1000 patient years,
8 and utilizing the adjustment of 2.2 would yield an
9 incidence for the RA population of 0.66 per thousand
10 patient years.

11 As would be expected in a predominantly RA
12 population, most of the reports are from women. The
13 mean age is 61, and the majority of patients were
14 previously treated with methotrexate.

15 We have carefully tracked these reports
16 since commercialization. Shown here are the rate of
17 reports by report date, in blue, and by diagnosis
18 state, in -- excuse me, report date, in yellow, and
19 diagnosis date, in blue.

20 As one can see, the reporting rate for
21 lymphoma presented here in six-month intervals is
22 stable over the four years of commercial experience.

1 We have evaluated the distribution of
2 subtypes of lymphoma in the clinical trials and post-
3 marketing experience. As can be seen in this slide,
4 the distribution, 14 percent of Hodgkin's and 86
5 percent non-Hodgkin's, is nearly identical to that
6 expected in the general population utilizing rates in
7 the SEER database.

8 We additionally obtain, whenever possible,
9 pathology reports on cases of lymphoma and have them
10 reviewed by an oncologist or a hematopathologist for
11 classification into histologic subtypes.
12 Histopathology was obtained for almost 70 percent of
13 all these reports.

14 The distribution of the NHL subtypes is
15 compared here to the distribution reported in the
16 literature for a rheumatoid arthritis population and a
17 non-RA control group. The distribution of histologic
18 subtypes is similar in all three groups.

19 Immunosuppression such as that seen
20 following organ transplantation is associated commonly
21 with an increase in the proportion of diffuse large B
22 Cell lymphomas, and this pattern is not seen with

1 etanercept therapy, as shown on the first line of this
2 slide.

3 In conclusion, lymphoma reports with
4 etanercept are rare. A comprehensive
5 pharmacovigilance program has been in place for four
6 and a half years, and the rate of lymphomas observed
7 in clinical trials is consistent with the expected
8 rate for RA patients with an SIR of 2.3.

9 Our post-marketing experience is
10 compatible with the clinical experience, and the
11 distribution of histologic subtypes is as expected.
12 With six years of sustained therapy, we see no
13 evidence of an increase in lymphoma incidence.

14 Amgen supports proactive communication to
15 health care providers and has initiated processes to
16 assure timely dissemination of this information. We,
17 therefore, in the latter part of 1002 submitted a
18 proposal to the FDA to represent the lymphoma
19 experience in the adverse events section of the
20 etanercept package insert.

21 The purpose of this proposal was twofold:
22 First, to inform physicians that the background

1 incidence of lymphoma in RA was increased; and, two,
2 that the observed incidence of lymphoproliferative
3 disorders from clinical trials and post-marketing
4 reporting rate are similar to that expected.

5 We additionally have presented this data
6 at scientific meetings for rheumatologists at ACR and
7 at EULAR, and we believe that the programs we have in
8 place, long term clinical trials, observational
9 studies, further characterization of epidemiology, and
10 continued safety surveillance are an important part of
11 our commitment to patients.

12 In 2002 the product label was updated from
13 information from the etanercept heart failure program,
14 which was designed to test the hypothesis that
15 etanercept was effective in treating chronic heart
16 failure. We would like to share some of the
17 observations from this study.

18 The etanercept CHF program consisted of
19 over 2000 patients in two studies. The global trial
20 called RECOVER included three treatment arms, as
21 outlined by Dr. Unger earlier, a placebo group,
22 Enbrel-25 once a week, and twice a week. I apologize.

1 I'm describing the lower part of the slide. And the
2 RENAISSANCE trial included three treatment arms also,
3 the placebo, 25 twice a week, and 25 three times a
4 week.

5 The analysis of the combined studies was
6 called RENEWAL. The program had in place predefined
7 interim analyses for safety and efficacy. One of
8 these analyses, a futility analysis, specified that
9 studies were to be discontinued if meaningful clinical
10 benefit was not likely to be demonstrated. In March of
11 2001, the futility endpoint was met, and the studies
12 were stopped.

13 The primary efficacy endpoint of RENEWAL,
14 the analysis of combined studies, was the time to all-
15 cause mortality and CHF hospitalization. This
16 morbidity and mortality endpoint was also evaluated in
17 the individual studies, but was not the primary
18 endpoint.

19 As you can see here, each of the treatment
20 groups is shown with the relative risk to placebo
21 within the study. Note that the confidence intervals
22 of all analyses include 1, and that in the RENAISSANCE

1 study, the relative risks trend toward worse heart
2 failure outcomes in patients treated with etanercept.

3 These observations are not duplicated in
4 the RECOVER study, and the combined analysis, RENEWAL,
5 had a relative risk of 1.10.

6 A number of characteristics that were
7 known to have significant impact on heart failure
8 outcomes were prospectively identified as covariates
9 relevant to the interpretation of these trial
10 findings.

11 In the RENAISSANCE study, randomization of
12 patients resulted in imbalances of some of these
13 characteristics in favor of the placebo group. For
14 example, the percentage of patients with a history of
15 atrial fibrillation or atrial flutter is 29 percent in
16 the placebo group and 36 percent in each of the
17 etanercept groups.

18 The left side of this slide represents the
19 data previously shown. On the right side of the slide
20 is the relative risk after adjustment using Cox
21 proportional hazards regression for the predictive and
22 imbalance covariates. The trends seen in the

1 RENAISSANCE study have diminished, and the combined
2 analysis results in a relative risk of 1.01.

3 This slide represents a secondary endpoint
4 of time to all cause mortality. The findings of this
5 endpoint are similar to those of the primary endpoint
6 shown previously. There was a trend in worse outcomes
7 in the RENAISSANCE study that was not duplicated in
8 RECOVER. Again, after accounting for covariates, the
9 trends do diminish, and the relative risk of the
10 combined analysis is 0.96.

11 In conjunction with review of the data
12 from patients with underlying heart failure, we also
13 analyzed heart failure occurrence in rheumatic disease
14 studies, patients who were not known to have
15 underlying heart disease.

16 The number of subjects developing new
17 onset heart failure was similar, and was the same in
18 the etanercept and control arms of the controlled
19 trials. As much of our experience is from open-label
20 observations where no comparator is available, we have
21 used benchmarks from the literature to calculate the
22 expected number of cases.

1 The number of cases of new onset CHF
2 treated with etanercept in rheumatic disease trials
3 was seven, compared to the 15.2 expected. So the rate
4 of new onset CHF is not increased in rheumatic disease
5 trials.

6 Despite no clear evidence of deleterious
7 effect of etanercept in heart failure, it was
8 important to communicate these findings to health care
9 providers, particularly rheumatologists. On that
10 basis, in May of 2002 we added a precaution in the
11 product label. Additionally, the data from the heart
12 failure trials was presented at scientific meetings
13 for cardiologists and rheumatologists.

14 In conclusion, two large heart failure
15 studies were discontinued due to lack of efficacy and,
16 although one of the two studies showed a trend toward
17 worse heart failure outcomes, the second trial did
18 not. Overall, there is no clear treatment effect of
19 etanercept in heart failure patients.

20 Additionally, there is no evidence from
21 rheumatic disease trials that etanercept increases
22 risk for CHF. However, we chose to inform prescribers

1 this important information through labeling and at
2 scientific meetings.

3 We have built a foundation of extensive,
4 long term safety experience with etanercept. This
5 experience encompasses the clinical trials previously
6 discussed here in this presentation, complemented by
7 observational and long term studies, epidemiologic
8 studies, and ongoing safety surveillance. Amgen is
9 committed to proactive communication.

10 This table summarizes the initiatives that
11 are being conducted by Amgen and Wyeth. We anticipate
12 that these programs going forward will provide further
13 insights into the safety issues discussed today.

14 The long term clinical trials where we
15 have already accrued five years of experience will be
16 conducted for at least ten years. Additionally, the
17 ongoing RADIUS program will prospectively observe
18 10,000 RA patients for five years in the clinical
19 practice setting.

20 Furthermore, a JRA registry has been
21 established in the U.S., and national RA registries
22 have been implemented in Germany, Sweden, and the

1 United Kingdom.

2 This comprehensive program will advance
3 the understanding of etanercept and underscores
4 Amgen's and Wyeth's commitment to patient safety.

5 Three-year safety and efficacy data from
6 our long term trials have been included in our product
7 label, and we have submitted to the FDA four-year
8 data. We plan to submit data regarding five years of
9 etanercept experience to the FDA this summer. These
10 data have been included in these presentations.

11 Although we have nearly fulfilled our
12 post-marketing commitment to the FDA, we will continue
13 to follow these patients for an additional five years.

14 In summary, the soluble receptor
15 etanercept has unique structure, mechanism of action,
16 and pharmacokinetic that, we believe, make etanercept
17 a unique therapeutic. Etanercept has an established
18 track record with over nine years of experience in
19 treating rheumatic disease patients and four years of
20 clinical practice experience.

21 This extensive experience, along with a
22 robust pharmacovigilance program, has allowed us to

1 characterize the etanercept safety profile. With its
2 highly favorable benefit/risk profile, etanercept
3 remains a very important contribution in the therapy
4 of patients with rheumatic diseases. Thank you.

5 CHAIRMAN ABRAMSON: Thank you very much.
6 Are there questions? Dr. Makuch?

7 DR. MAKUCH: Just a few questions. One
8 relates to the futility. I mean, it really seemed
9 like a very one-sided hypothesis, namely -- I think I
10 got it right -- is that, if meaningful clinical
11 benefits could not be achieved, then you would stop
12 the study.

13 On the other hand, if one is looking at a
14 safety concern, that seems to be not the proper
15 hypothesis to look at. You would like to know whether
16 there is clinical benefit or perhaps clinical harm.

17 So it then gets to the second comment,
18 that RENAISSANCE was your longer study, and then you
19 went on to indicate that the RECOVER study did not
20 replicate in some sense the RENAISSANCE results.

21 I guess I'm not surprised that that is the
22 case, because the RECOVER study had a very much

1 shorter median follow-up period. I think we heard
2 earlier that it was 5.7 months compared to over a year
3 for the RENAISSANCE study.

4 The final comment then is with respect to
5 the covariates, you show the analyses again trying to
6 make any marginal trends go away, that once you
7 include covariates then, even for RENAISSANCE, the
8 results really were very null.

9 I think we are all aware of the problems
10 that one has when throwing in lots of covariates into
11 a model. So my general comment is how was it
12 determined that these studies were stopped early and
13 that, it appears to me -- I have a little discomfort
14 with respect to concluding that, one, the RECOVER
15 study did not replicate the RENAISSANCE -- I'm not
16 surprised -- and two, with respect to the one-sided
17 hypothesis seemed to be used for the futility?

18 DR. BURGE: There were several pre-defined
19 analyses that the data monitoring committee were
20 charged with evaluating on an ongoing basis when they
21 had these data monitoring committee meetings, and
22 there were discussions about, or rules for stopping

1 for efficacy as well as stopping for safety.

2 The efficacy rule was that the study would
3 be discontinued if there was no evidence -- if it was
4 not likely that there would be the ability to show at
5 least a ten percent benefit with etanercept, and it
6 was on that basis that the study was discontinued.

7 The committee very specifically, when they
8 did their review, mentioned that it did not meet their
9 threshold for discontinuing the study on safety
10 grounds.

11 CHAIRMAN ABRAMSON: Dr. Elashoff.

12 DR. ELASHOFF: Yes. This question is for
13 Dr. Silman. The attributable risk fraction as defined
14 on the first slide and as done in the example on the
15 second slide do not agree. So perhaps you could say
16 which is the correct formula. If it's the first one,
17 then it's just the SIR minus 1.

18 DR. SILMAN: Sorry. Can I have the slide
19 back on? I sit possible to have the slide back on?

20 DR. ELASHOFF: So is this the correct
21 formula?

22 DR. SILMAN: Just let me check. It's the

1 observed -- Sorry, it's observed minus expected. So
2 that -- It's observed minus expected over the
3 observed.

4 DR. ELASHOFF: So this formula is
5 incorrect then on this one?

6 DR. SILMAN: Yes. Sorry, I apologize for
7 that. Thank you. Yes.

8 CHAIRMAN ABRAMSON: Dr. Blayney.

9 DR. BLAYNEY: In the -- Directed to the
10 congestive heart failure experience with etanercept,
11 you have about 2000 patients that you followed for
12 half a year to a year. What was the lymphoma risk
13 observed in those people, and the tubercular infection
14 rate observed in -- tuberculosis infection rate
15 observed in those people who are not presumably
16 previously exposed to DMARDs or other kinds of
17 immunosuppressives?

18 DR. BURGE: The first part of your
19 question was referring to -- I'm sorry. There's so
20 many parts to that, I lost track.

21 DR. BLAYNEY: The adverse effects in a
22 congestive heart failure trial presumably includes

1 secondary --

2 DR. BURGE: Lymphoma, infections, TB, yes.
3 Lymphoma, if you calculate an expected rate of
4 lymphoma in the congestive heart program, the entirety
5 of that would be age, sex, match adjustments. The
6 expected is 0.7 lymphomas. There was one lymphoma
7 observed in that experience.

8 As far as all serious infections, it
9 actually was actually even across all treatment groups
10 actually in both trials.

11 There was one case of tuberculosis in the
12 European trial.

13 DR. BLAYNEY: Thank you.

14 CHAIRMAN ABRAMSON: Yes, Dr. Manzi.

15 DR. MANZI: I just have two fairly direct
16 questions. The first is: In relationship to looking
17 at congestive heart failure in the RA trials, I think
18 that's very different than in the trials where you are
19 specifically entering people with obviously active
20 congestive heart failure. My guess is that there may
21 have been some selection or exclusion of patients with
22 either active or comorbid conditions in the RA trials,

1 so that the population may be very different than how
2 it will be used post-marketing. Is that --

3 DR. BURGE: Yes. The clinical trials had
4 exclusion for severe uncompensated heart failure, but
5 having any heart failure was not excluded. We
6 primarily looked at the rheumatoid arthritis and the
7 other rheumatic disease trials to look for new onset
8 heart failure, because certainly the database we have
9 from the 2000-patient clinical program in heart
10 failure is much more meaningful to evaluate
11 exacerbations of heart failure than any experiences we
12 have in this small number of cases in the rheumatic
13 disease trials.

14 DR. MANZI: And my last question is for
15 Dr. Silman. That is: When you give us the SIR for RA
16 patients in general with this twofold increased risk,
17 I am assuming that is not independent of prior
18 immunosuppressive exposure.

19 DR. SILMAN: That's a very good question.
20 I mean, the data that do exist actually don't give us
21 that information. Interestingly, the study that
22 showed the highest risk, which was the smallest study

1 from the United Kingdom, actually was independent of
2 immunosuppressive data, but the studies that I
3 presented, the larger studies, there are not data
4 available.

5 CHAIRMAN ABRAMSON: Dr. Burge, can I just
6 get a clarification of the numbers? You saw six
7 lymphomas during the randomized trials, and then you
8 discussed 70 subsequent to that. Were they in your
9 registries and open-label extensions or were some of
10 those MedWatch type reports?

11 DR. BURGE: The 70 was the post-marketing
12 experience of spontaneous and facilitated reporting.

13 CHAIRMAN ABRAMSON: Separate from
14 registries that you had yourselves?

15 DR. BURGE: It would include anything other
16 than the clinical trials.

17 CHAIRMAN ABRAMSON: Thank you very much.

18 The next presentations will be by
19 Centocor, and Dr. Boscia will make the first
20 presentation.

21 DR. BOSCIA: Well, the good news is I
22 promise to only spend one sentence on SEER and one

1 sentence on SIR. I promise.

2 Good morning. My name is Dr. Jerry
3 Boscia. I am Vice President of Clinical Research &
4 Development at Centocor. On behalf of Centocor and
5 Johnson & Johnson, I would like to express
6 appreciation for this opportunity to present
7 information on REMICADE, or infliximab.

8 I would particularly like to express
9 appreciation to Dr. Jeffrey Siegel at the FDA who we
10 occasionally drive crazy. But of course, he never
11 drives us crazy.

12 REMICADE is a monoclonal antibody that is
13 specifically directed against human tumor necrosis
14 factor alpha. After this brief introduction, I will
15 be providing some background information with regard
16 to REMICADE's safety profile.

17 Specifically, I will cover the following
18 topics: Lymphoma; other malignancies; tuberculosis;
19 opportunistic infections; and heart failure. I will
20 spend the majority of my time on lymphoma, for obvious
21 reasons. If you have questions on safety topics not
22 addressed by me, we will be happy to answer them.

1 Dr. Tom Schaible will then summarize
2 Centocor's ongoing and planned studies and registries
3 for the continuing characterization of REMICADE's
4 safety profile. He will briefly discuss REMICADE's
5 efficacy and have some concluding remarks.

6 We have a short time to present our
7 information, but in case anyone has additional
8 questions, we have with us today several consultants
9 who can help answer any questions. They are: Dr.
10 Roger Cohen, a hematologist/oncologist from the Fox
11 Chase Cancer Center; Dr. Susan Fisher, an oncologic
12 epidemiologist from the University of Rochester; Dr.
13 Stephen Hanauer, a gastroenterologist from the
14 University of Chicago; Dr. Milton Packer, a
15 cardiologist from Columbia University; Dr. Paul Stang,
16 an epidemiologist from Galt Associates; Dr. William
17 ST. Clair, a rheumatologist from Duke University; and
18 finally, Dr. Frederick Wolfe, a rheumatologist from
19 the Arthritis Research Center Foundation.

20 I would like to spend just a few minutes
21 reminding everyone of the burden of disease with
22 regard to rheumatoid arthritis and Crohn's disease.

1 As an infectious diseases physician -- that's my
2 training -- I sometimes have to remind myself. So for
3 the non-rheumatologists and non-gastroenterologists in
4 the room, I thought I would just take a few minutes to
5 do this.

6 Upwards of 90 percent of patients with
7 aggressive rheumatoid arthritis develop significant
8 disability within 20 years of diagnosis. Furthermore,
9 the life expectancy of patients with rheumatoid
10 arthritis is reduced compared with the general
11 population.

12 Crohn's disease is a debilitating disease,
13 mostly affecting young adults. In about half of
14 patients it has a detrimental impact on patients'
15 ability to work and/or their productivity at work. As
16 many as 90 percent of patients with Crohn's disease
17 require surgical intervention, and most of them
18 require additional surgeries.

19 REMICADE is indicated for patients with
20 rheumatoid arthritis and Crohn's disease who have had
21 an inadequate response to conventional therapies.
22 During Dr. Schaible's brief discussion of efficacy

1 towards the end of this presentation, you will see
2 that REMICADE fulfills previously unmet medical needs
3 with its profound benefit in a majority of patients.

4 REMICADE as a potent biologic also has
5 safety issues. Centocor has been, and continues to
6 be, diligent in characterizing REMICADE's safety
7 profile. We presented a safety assessment of REMICADE
8 to this committee in August 2001. Today we will
9 update the committee with new data from our clinical
10 trials, large registries, and spontaneous adverse
11 event reports.

12 Centocor has completed 15 clinical trials
13 with REMICADE in patients with rheumatoid arthritis
14 and Crohn's disease, encompassing approximately 1700
15 patients treated for almost 3500 patient years. An
16 additional 14 trials are ongoing in patients for a
17 variety of diseases, encompassing about 3100 patients
18 treated with REMICADE.

19 We estimate that, through August 2002
20 which was the last cutoff date for reporting to
21 worldwide health authorities, 365,000 patients for
22 about 554,000 patient years of exposure had been

1 treated commercially with REMICADE worldwide. This
2 number of patients treated is now well over 400,000.

3 I will now review our data examining the
4 risk of lymphoma and other malignancies associated
5 with REMICADE treatment. As reviewed in the briefing
6 document, an increased risk of lymphoma is associated
7 with having rheumatoid arthritis or Crohn's disease.

8 Comparisons of lymphoma risk in these
9 populations are typically made with age, race, gender
10 matched, general population from the Surveillance
11 Epidemiology and End Results or SEER database.

12 Lymphomas are more common in patients with
13 rheumatoid arthritis compared with the general
14 population, as demonstrated by standardized incidence
15 ratios or SIRs of 2 to 3, as reported in the
16 literature. Elevated relative risk is associated with
17 greater inflammatory activity, as much as a 26-fold
18 increase, poor functional class, and involvement of
19 both the small and large joints.

20 Use of conventional immunosuppressants
21 such as azathioprine have also been associated with
22 increased risk. Although the epidemiologic data

1 supporting increased risk of lymphoma in Crohn's
2 disease is not as compelling as for rheumatoid
3 arthritis, the preponderance of studies suggests an
4 association.

5 This table summarizes number of patients,
6 patient years of follow-up, observed numbers of
7 lymphomas, and SIRs for REMICADE clinical trials in
8 rheumatoid arthritis. The assessment of SIRs for
9 lymphoma is based on a comparison with the number of
10 lymphomas expected in an age, race, gender matched,
11 general population from the SEER database.

12 This is not as relevant a comparison as it
13 would be against a population of patients with
14 rheumatoid arthritis or, better yet, against a
15 rheumatoid arthritis population with a similar level
16 of disease activity as in the REMICADE clinical
17 trials.

18 In contrast to our other analyses, this
19 table also includes our recently completed trial in
20 patients with early rheumatoid arthritis in order to
21 show the differences between various rheumatoid
22 arthritis populations.

1 For all REMICADE arthritis studies
2 combined, the SIR for REMICADE treated patients is
3 6.4. We observed that no lymphomas occurred in a
4 methotrexate naive early rheumatoid arthritis
5 population who received REMICADE, compared with four
6 lymphomas in a disease modifying anti-rheumatic drug
7 or DMARD resistant high disease burden population,
8 studied in our other rheumatoid arthritis studies.

9 These findings are consistent with the
10 epidemiologic data I presented on the last slide. The
11 SIRs for patients who received placebo are all zero.
12 However, please note that the placebo patient years of
13 follow-up is only 18 percent of the REMICADE patient
14 years of follow-up in the DMARD resistant rheumatoid
15 arthritis population, the group in which all four of
16 the lymphomas occur.

17 Although the SIRs are greater for the
18 REMICADE treated patients compared with the placebo
19 treated patients, the 95 percent confidence intervals
20 are wide and overlap.

21 This table summarizes the same information
22 as the last one did for lymphomas, except this one

1 does it for REMICADE clinical trials in Crohn's
2 disease, and then for all REMICADE studies from this
3 and the last slide combined.

4 For all Crohn's disease studies, the SIR
5 for REMICADE treated patients based on two cases of
6 lymphoma is 8.7. The SIR for patients who received
7 placebo is zero. However, please note that the
8 placebo patient years of follow-up is only six percent
9 of the REMICADE patient years of follow-up.

10 For all rheumatoid arthritis and Crohn's
11 disease studies combined, the SIR for REMICADE treated
12 patients is 7.0. Although the SIR for patients who
13 received placebo is zero, the placebo patient years of
14 follow-up is only 17 percent of the REMICADE patient
15 years of follow-up.

16 Once again, the SIRs are greater for
17 REMICADE treated patients compared with placebo
18 treated patients, but the 95 percent confidence
19 intervals are wide and overlap.

20 For those in the audience who wish to know
21 the incidence of lymphomas in our clinical trials, I
22 present this table -- in other words, if you prefer

1 incidence rather than SIRs.

2 These are shown for all REMICADE
3 rheumatoid arthritis studies, all Crohn's disease
4 trials, and both combined. Please note that the
5 incidence is per 1,000 patient years of follow-up.

6 At study entry, the four patients with
7 moderately to severely active rheumatoid arthritis who
8 developed lymphomas had long disease duration,
9 substantial joint involvement, and significant
10 elevated sedimentation rates. All of these are
11 factors associated with increased risk of lymphoma.

12 This figure summarizes the latency in
13 months from first infusion to diagnosis, as shown with
14 the yellow bars, REMICADE dose and number of infusions
15 -- the number of infusions are shown as orange arrows
16 underneath the yellow bars -- and other medications
17 received for the four patients with rheumatoid
18 arthritis who developed lymphoma.

19 The first three of these four cases were
20 reviewed at our presentation to the FDA
21 Gastrointestinal Advisory Committee meeting in 1998
22 when REMICADE was approved for Crohn's disease -- not

1 approved; when it was recommended for approval. Sorry
2 about that.

3 The fourth case is new since that time.
4 The four cases had a diverse histologic profile. One
5 lymphoma was high grade, the grade most commonly
6 observed in the setting of immunosuppression. The
7 other three were not high grade and included an
8 indolent lymphoma, a mantle cell lymphoma, and a
9 Hodgkin's lymphoma.

10 No apparent relationship to REMICADE
11 exposure was observed, with the third patient in this
12 figure having received only a single dose of 1 mg/kg.

13 Patients two and four had received azathioprine in
14 their past, and the fourth patient started receiving
15 etanercept about three months prior to diagnosis of
16 lymphoma.

17 This figure summarizes the same
18 information as the last one, except this one does it
19 for the two patients with Crohn's disease who
20 developed lymphomas. The first of these two cases was
21 also reviewed at that 1998 FDA Gastrointestinal
22 Advisory Committee meeting. The second case is new

1 since that time.

2 These cases also had diverse histology.
3 One of these lymphomas was an intermediate grade B-
4 cell lymphoma of histology that can occur in the
5 setting of immunosuppression, and the other was an NK
6 lymphoma. Both patients received only a single dose
7 of REMICADE, and both were also receiving
8 azathioprine.

9 Now this could be important.
10 Unfortunately, we have Dr. Wolfe here with us here
11 today. As we reviewed in our presentation to this
12 committee in August 2001, we are supporting Dr.
13 Frederick Wolfe's national data bank for rheumatic
14 diseases to obtain long term follow-up for safety and
15 outcomes in patients receiving commercially supplied
16 REMICADE.

17 Dr. Wolfe's extensive database in over
18 18,000 patients with rheumatoid arthritis enables the
19 comparison of REMICADE treated patients with patients
20 who have not received REMICADE. The patients in the
21 registry are from 908 rheumatology practices in the
22 United States. Dr. Wolfe's group captures data twice

1 yearly using a mailed questionnaire.

2 Several parameters are assessed, including
3 adverse events and outcomes. There is a validation
4 process to maximize accuracy and reliability. The
5 registry retains a high retention rate of its patients
6 with approximately an eight percent attrition rate
7 each year.

8 The same information that I summarized
9 earlier for the clinical trial lymphoma cases is
10 summarized in this and the next table for the lymphoma
11 cases in Dr. Wolfe's registry. Again, this uses the
12 SEER database to determine the expected number of
13 cases.

14 This table shows the SIRs for lymphoma
15 patients who received no methotrexate or anti-TNF
16 therapy, those who received methotrexate but no anti-
17 TNF therapy, and those who received REMICADE and/or
18 etanercept. Please note that three patients received
19 both REMICADE and etanercept and are represented in
20 both the REMICADE and etanercept lines.

21 When evaluating the SIRs on this slide,
22 please note that the patients receiving anti-TNF

1 therapy are probably at greater risk for lymphomas
2 compared with those not receiving anti-TNF therapy,
3 due to greater levels of disease activity refractory
4 to standard treatment. So when you look at those,
5 1.3, 1.5, 2.6, and 3.8, remember that.

6 We also reviewed with this committee in
7 2001 our plan to develop the Crohn's therapy resource
8 evaluation and assessment tool or TREAT registry.
9 This registry has now enrolled 5,000 patients,
10 including both patients treated and not treated with
11 REMICADE.

12 The TREAT registry enrolled patients with
13 Crohn's disease who were 18 years or age or older and
14 were willing to participate for at least five years.
15 Patients completed a health status questionnaire at
16 baseline, and they do so every six months. Data
17 collected includes adverse events and outcomes.

18 Follow-up data is now available in
19 approximately 1100 REMICADE treated patients, and 1300
20 patients not treated with REMICADE. The number of
21 reported lymphomas is shown here. One lymphoma has
22 been reported in a REMICADE treated patient, and one

1 has been reported in a patient not exposed to
2 REMICADE.

3 Spontaneous adverse event reports of
4 lymphoma are summarized in this slide. A total of 71
5 lymphomas were reported in patients with rheumatoid
6 arthritis, Crohn's disease, and other diseases through
7 August 2002, the last cutoff date for reporting to
8 worldwide health authorities.

9 When Dr. Cote presented this information
10 earlier -- Dr. Cote from the FDA -- he mentioned 95
11 cases of lymphoma. His cutoff, though, was December
12 of 2002, and that explains the difference. Our
13 numbers match his through December.

14 In summary, lymphomas are common in
15 patients with rheumatoid arthritis -- are more common
16 in patients with rheumatoid arthritis compared with
17 the general population, as demonstrated by SIRs of 2
18 to 3. The risk increases with increasing severity of
19 disease.

20 An SIR of 6.4 for lymphoma was observed in
21 REMICADE treated patients compared with the general
22 population from the SEER database in our clinical

1 trials. However, the lymphomas occurred in patients
2 who had known risk factors for elevating lymphoma
3 risk. These included high inflammatory activity, high
4 disease burden, and long term exposure to
5 immunosuppressive agents.

6 An SIR of 2.6 for lymphoma was observed in
7 REMICADE treated patients compared with the general
8 population from the SEER database in Dr. Wolfe's
9 registry. Based on all this, the rates of lymphomas
10 may not be greater in the REMICADE treated rheumatoid
11 arthritis and Crohn's disease populations compared
12 with populations with similar levels of disease
13 activity who do not receive REMICADE.

14 Centocor remains committed to continue to
15 examine the potential lymphoma risk in clinical
16 trials, large registries and post-marketing
17 pharmacovigilance. We look forward to the FDA
18 Arthritis Advisory Committee's and FDA's deliberation,
19 assessment, and guidance on the best approach to
20 studying the potential risk of lymphoma with anti-TNF
21 therapy, and the best means to communicate to treating
22 physicians in our prescribing information.

1 We feel current evidence is insufficient
2 to reach conclusions on whether REMICADE increases the
3 risk of lymphomas.

4 We will now -- I will now -- I will now
5 briefly review our clinical trial and spontaneous
6 adverse event reports of non-lymphoma malignancies in
7 rheumatoid arthritis and Crohn's disease.

8 To date, epidemiologic studies in large
9 rheumatoid arthritis cohorts have not demonstrated an
10 increased risk of non-lymphoma malignancies in this
11 disease. Longstanding Crohn's disease predisposes to
12 intestinal malignancies, with the risk of colon
13 carcinoma for Crohn's colitis thought to be similar to
14 ulcerative colitis.

15 This table summarizes the same information
16 for non-lymphoma malignancies in REMICADE clinical
17 trials as I showed earlier for lymphomas. Once again,
18 this uses the SEER database to determine the expected
19 number of cases.

20 For all rheumatoid arthritis studies, all
21 Crohn's disease studies, and all studies combined, the
22 SIRs for REMICADE treated patients approximate one.

1 They are no greater than the SIRs for placebo treated
2 patients, despite the fact that the placebo patient
3 years of follow-up are only 6 to 18 percent of the
4 REMICADE patient years of follow-up. Admittedly, the
5 number of non-lymphoma malignancies in the placebo
6 treated patients is small.

7 Robby, can you go back, please? When Dr.
8 Liang from the FDA presented this data, he presented
9 it with the ASPIRE trial, and we have that, and we can
10 present it that way also. The reason we chose not to
11 include ASPIRE in this analysis is because it's still
12 blinded, and we didn't know which groups, of course,
13 to put the five malignancies that exist and have
14 occurred in ASPIRE. We didn't know where to put them.

15 Dr. Liang presented the worse case
16 scenario, and we can also put that slide back up, if
17 the committee would like to see it once again.

18 In our post-marketing commercial
19 experience, 354 non-lymphoma malignancies have been
20 reported in patients with rheumatoid arthritis,
21 Crohn's disease, and other diseases through August
22 2002. This includes 230 in patients with rheumatoid

1 arthritis and 68 in patients with Crohn's disease.

2 Taken together, our clinical trial data
3 and spontaneous adverse event reports are insufficient
4 to reach conclusions on whether REMICADE increases the
5 risk of non-lymphoma malignancies.

6 The topic of tuberculosis was covered in
7 detail with this committee in August 2001. Just prior
8 to that meeting, Centocor added a box warning
9 addressing tuberculosis in our prescribing
10 information.

11 Associated with this was the mailing of a
12 Dear Health Care Professional letter. Also, during
13 August and September of that year, we implemented our
14 tuberculosis medical risk management education
15 program. This involved about 7500 rheumatologists and
16 gastroenterologists in the United States.

17 Our follow-up of this program indicates
18 that most of these physicians evaluate patients for
19 latent tuberculosis infection with a tuberculin skin
20 test prior to therapy with REMICADE.

21 Also, there has been a decreased number of
22 spontaneous reports of tuberculosis, despite a steady

1 increase in the number of patients, including new
2 patients, treated with REMICADE. Before Dr. Miles
3 Braun has chest pain, I should mention that we realize
4 that part of this effect could be due to a decrease in
5 reporting efficiency.

6 This table depicts the worldwide reports
7 in REMICADE treated patients for a variety of viral,
8 bacterial, and fungal opportunistic infections
9 reported during post-marketing surveillance through
10 August 2002. Potential confounding factors for the
11 development of opportunistic infections include the
12 fact that patients with rheumatoid arthritis being
13 treated with REMICADE also received methotrexate,
14 since REMICADE is labeled for combination use with
15 methotrexate.

16 Furthermore, patients with rheumatoid
17 arthritis as well as patients with Crohn's disease
18 typically receive other additional immunosuppressive
19 agents, such as corticosteroids, azathioprine, 6-
20 mercaptopurine, and others. Often, patients are
21 receiving two or more of these immunosuppressants.

22 The cases of histoplasmosis and

1 coccidioidomycosis have, for the most part, occurred
2 in the Ohio, Mississippi River Valleys and southwest
3 United States respectively where histoplasmosis and
4 coccidioidomycosis are endemic.

5 For patients who have resided in regions
6 where histoplasmosis and coccidioidomycosis are
7 endemic, the benefits and risks of REMICADE treatment
8 should be carefully considered before initiation of
9 REMICADE therapy.

10 With regard to all of these opportunistic
11 infections and tuberculosis, patients should be
12 monitored for signs and symptoms of infection while on
13 or after treatment with REMICADE. The route of
14 administration of REMICADE fosters regular physician-
15 patient interaction and, therefore, very close follow-
16 up.

17 Now I would like to turn our attention to
18 heart failure. I know you've been through this
19 already, but I'll be brief.

20 The ATTACH trial was a randomized, placebo
21 controlled, Phase 2 study designed to evaluate the
22 effect of REMICADE in patients with Class III-IV heart

1 failure due to systolic dysfunction. One hundred
2 fifty patients were randomized to receive placebo, 5
3 mg/kg of REMICADE or 10 mg/kg of REMICADE at zero, 2
4 and 6 weeks.

5 The protocol specified follow-up period
6 was 28 weeks. In addition, survival status at one
7 year was determined for all patients. This table
8 displays the number and Kaplan-Meier rates of patients
9 who were hospitalized for worsening heart failure at
10 28 weeks, and the number and rates who died through
11 both 28 weeks and one year.

12 At 28 weeks the rates of hospitalization
13 for worsening heart failure were similar in the
14 placebo and 5 mg/kg groups, but increased in the 10
15 mg/kg group. At the same time point, mortality was
16 increased in the 10 mg/kg group. By one year, there
17 were similar death rates in the placebo and 5 mg/kg
18 groups, with a persistent increase in the 10 mg/kg
19 group.

20 The REMICADE prescribing information was
21 updated by the company in march of 2002, at which time
22 all patients in the ATTACH trial had completed 38

1 weeks of follow-up, but one-year mortality follow-up
2 was still ongoing.

3 At that time, it was decided to
4 contraindicate REMICADE at any dose in patients with
5 Class III/IV heart failure. Although no data were
6 available in patients with Class I/II heart failure,
7 avoidance of REMICADE doses greater than 5 mg/kg was
8 recommended in these patients.

9 Now that complete results on the ATTACH
10 trial are available, including mortality data through
11 one year, we are discussing with Dr. Ellis Unger at
12 the FDA the potential for further changes to the
13 prescribing information.

14 Centocor and the FDA -- Somebody asked
15 this question earlier, somebody on the committee.
16 Centocor and the FDA have recently focused attention
17 on new onset heart failure. That is the appearance of
18 heart failure in patients with no known history of
19 heart failure.

20 Reports of heart failure in clinical
21 trials other than ATTACH have been infrequent. This
22 is probably due, at least in part, to the exclusion of

1 patients with significant underlying cardiac disease
2 at study start.

3 This table shows that, despite the
4 approximately 20 percent less average follow-up in
5 weeks for patients on placebo compared with those on
6 REMICADE, there is no increase in new onset heart
7 failure in patients treated with REMICADE compared
8 with those on placebo.

9 As of October 2002 there were 158
10 spontaneous post-marketing reports of heart failure.
11 Twenty-eight of these had no known history of heart
12 failure, acute precipitating event or risk factor --
13 none of those. However, interpretation of these data
14 is confounded by incomplete and, at times, conflicting
15 information, as well as lack of a control group.

16 Centocor is presently discussing these
17 spontaneous cases of new onset heart failure with the
18 FDA.

19 I would now like to introduce the person
20 who stands between you and lunch, Dr. Tom Schaible,
21 Vice President of Medical Affairs at Centocor, who
22 will summarize our plans for continuing to assess

1 safety in clinical trials and patient registries.

2 He will briefly discuss REMICADE efficacy
3 and have some concluding remarks. Tom.

4 DR. SCHAIBLE: Thank you, Jerry, and thank
5 you for putting me on the spot. I appreciate this
6 opportunity to speak to the advisory committee as
7 well.

8 In this presentation I would like to
9 review with the committee our continuing commitment to
10 obtaining long term prospective safety information in
11 patients receiving REMICADE.

12 First, I will review our progress on
13 commitments made at the August 2001 Arthritis Advisory
14 Committee. These ongoing safety assessment programs
15 include Phase III and Phase IV clinical trials,
16 patient registries, and our long term follow-up
17 program in clinical trials.

18 Secondly, I will review new safety
19 assessment programs that we are undertaking. These
20 will include programs to further expand our safety
21 databases, as well as to obtain specific follow-up on
22 lymphoma cases.

1 As I review these programs, all of which
2 are collecting data in patients receiving REMICADE,
3 you will see that many are designed to also include
4 patients who have not received REMICADE. These data
5 are important in helping to differentiate safety
6 signals that may be associated with anti-TNF therapy
7 from those that occur as part of the natural history
8 of the disease.

9 In the next series of tables I will review
10 the status of ongoing safety assessment programs,
11 showing the status at the last committee meeting in
12 August 2001 and the status as of last week.

13 This table reviews our Phase II and Phase
14 IV studies in rheumatoid arthritis. The ASPIRE trial
15 in early RA has completed enrollment of 1049 patients,
16 and all of these patients have completed one year of
17 study treatment.

18 The Phase IV START study, designed
19 specifically to evaluate safety, and the iRAMT study
20 evaluating methotrexate tapering have both completely
21 enrolled patients since the last meeting.

22 Two Phase II trials in Crohn's disease,

1 the ACCENT I trial in active luminal Crohn's disease,
2 and the ACCENT II study in fistulizing Crohn's disease
3 had both completed enrollment at the August 2001
4 Advisory Committee meeting. Since that time, ACCENT I
5 has received marketing approval for maintenance
6 therapy in Crohn's disease, and for ACCENT II the BLA
7 has been submitted and has received a priority review
8 status from FDA.

9 At the last meeting we reported that
10 Centocor is sponsoring two patient registries to
11 evaluate long term safety in patients receiving
12 commercially supplied REMICADE, one in rheumatoid
13 arthritis and one in Crohn's disease.

14 We have now well exceeded our target of
15 5000 REMICADE treated patients in the National
16 Databank for Rheumatic Diseases Registry. We have
17 also recently achieved our target of 5000 REMICADE or
18 non-REMICADE treated patients in the TREAT Crohn's
19 disease registry.

20 We will continue to enroll patients in
21 these registries to compensate for the expected
22 attrition of some patients over time and maintain a

1 minimum of 5000 active patients in each registry.

2 As you saw in Dr. Boscia's presentation,
3 both of these registries provided valuable data for
4 evaluating the occurrence of lymphomas in REMICADE and
5 non-REMICADE treated patients with these diseases.

6 When combining the safety assessment
7 programs that I have just described, a substantial
8 prospective safety database emerges. As of today, this
9 includes approximately 13,000 patients who have
10 received or are receiving REMICADE and approximately
11 15,000 disease matched non-REMICADE treated patients
12 for comparative analyses.

13 I should also mention that this database
14 includes our long term safety follow-up program which
15 follows all patients who have participated in our
16 clinical trials for a period of five years following
17 their study participation. In August 2001 we
18 committed to developing safety databases encompassing
19 12,500 REMICADE treated patients, and we have achieved
20 that goal.

21 At the same time, we are also initiating
22 new international patient registries to further grow

1 our safety databases. This includes the APART
2 registry, an RA registry in the U.S. that will enroll
3 another 2500 patients. With our colleagues at
4 Schering Plough, our REMICADE marketing partner in
5 Europe, we are participating in a consortium of
6 existing RA registries in Spain, Germany, Sweden and
7 the U.K.

8 Finally, also in collaboration with
9 Schering Plough, we are creating a Crohn's disease
10 registry in Europe that will enroll approximately 4000
11 patients, and follow them for five years. All of
12 these registries will enroll and prospectively follow
13 both REMICADE treated and non-REMICADE treated
14 patients.

15 The registries will also provide valuable
16 sources to obtain additional details on reported
17 lymphomas. Importantly, we should be able to compare
18 lymphoma profiles when REMICADE is given with or
19 without other immunosuppressants, and also with
20 patients who have not received REMICADE.

21 In more fully characterizing lymphomas, we
22 will actively collect data on exposure and latency,

1 clinical presentation, histology, and EBV status, and
2 treatment and response to therapy. We will also
3 initiative surveillance in multiple health care
4 delivery systems, such as HMOs, to further quantify
5 lymphoma risk and contributing factors.

6 In considering risk management
7 initiatives, we should recognize that REMICADE is used
8 by a well defined set of physicians. REMICADE is used
9 primarily by, and continues to be promoted to sub-
10 specialists, namely rheumatologists and
11 gastroenterologists.

12 We believe that sub-specialists are best
13 able to make benefit risk decisions on the appropriate
14 use of anti-TNF agents. In addition, the sub-
15 specialist population can be readily targeted for risk
16 management initiatives.

17 This was exemplified by the REMICADE TB
18 education program that we conducted in August and
19 September of 2001. This program targeted 7500
20 physicians who were responsible for treating over 90
21 percent of patients who were receiving REMICADE.

22 In conclusion, Centocor remains committed

1 to research and education regarding the safety of
2 REMICADE. As we have done with TB, we will conduct
3 risk management programs as specific safety issues
4 arise.

5 With regard to safety assessment, Centocor
6 continues to grow its prospective safety databases in
7 rheumatoid arthritis and Crohn's disease. These
8 include Phase III and Phase IV clinical studies,
9 international patient registries, and a long term
10 safety follow-up program.

11 As of today, safety follow-up in REMICADE
12 treated patients and non-REMICADE treated patients is
13 being conducted in nearly 30,000 patients. This
14 knowledge base will continue to increase in the
15 future. We expect these programs to provide
16 approximately 100,000 patient years of prospective
17 follow-up over the next five years in REMICADE treated
18 patients.

19 Although most of our presentation today
20 discussed risk, no benefit to risk profile can be
21 addressed without some mention of benefit. Therefore,
22 to close our presentation today, I would like to

1 briefly review some of the attributes of the efficacy
2 of REMICADE in rheumatoid arthritis and Crohn's
3 disease.

4 The ATTRACT trial was a Phase III, two-
5 year, controlled study in patients with moderately to
6 severely actively rheumatoid arthritis despite
7 methotrexate therapy. After 30 weeks of treatment,
8 which was the primary endpoint for signs and symptoms,
9 all four REMICADE treatment regimens in combination
10 with methotrexate produced reductions in the signs and
11 symptoms of disease activity, as measured by the
12 percentage of patients achieving ACR20 criteria.
13 These were significantly greater than the reductions
14 achieved by patients receiving methotrexate alone.

15 In ATTRACT the changes in the Van de
16 Heijde modified Sharp Score were used to assess
17 progression of structural damage due to rheumatoid
18 arthritis over two years. The median changes from
19 baseline in the total score at two years were 0.5 for
20 all four of the REMICADE dose groups combined, and 4.3
21 for the methotrexate alone group.

22 Thus, there was little or no progression

1 of structural damage observed in the REMICADE treated
2 patients over a period of two years.

3 REMICADE is the only agent approved for
4 improving physical function in patients with
5 rheumatoid arthritis. This figure presents the data
6 on the improvement in physical function as measured by
7 the Health Assessment Questionnaire or the HAQ Score
8 averaged over the two years of the ATTRACT trial.

9 The lines represent the median improvement
10 in the HAQ averaged over time bracketed by the inter-
11 quartile ranges. In short, patients enrolled in
12 ATTRACT who had longstanding disease and substantial
13 impairment in function at baseline, when treated with
14 REMICADE, had a statistically and clinically
15 meaningful improvement in function compared with
16 patients who were treated with methotrexate and
17 placebo over two years.

18 The clinical benefit of REMICADE for
19 Crohn's disease is substantial and unique. This was
20 initially demonstrated in this Phase III trial in
21 which patients with active luminal Crohn's disease who
22 were not adequately responding to conventional

1 therapies were treated with one 5 mg/kg dose of
2 REMICADE or placebo.

3 Four weeks later, over 80 percent of the
4 treated patients achieved a definitive clinical
5 response, and nearly half achieved clinical remission.

6 The relevance of this benefit is underscored by the
7 low placebo response rates observed.

8 The importance of REMICADE maintenance
9 therapy for luminal Crohn's disease was demonstrated
10 in our ACCENT I trial. The proportion of patients
11 maintaining clinical remission at week 30 was
12 approximately twice as great in the maintenance groups
13 of either 5 or 10 mg/kg administered every eight weeks
14 compared with the treatment group administered only a
15 single 5 mg/kg dose of REMICADE. Please note, there
16 was no true placebo group in this study.

17 Likewise, the unique clinical benefit of
18 REMICADE for fistulizing Crohn's disease is shown
19 here. Two-thirds of patients who received a three-
20 dose induction regimen of 5 mg/kg of REMICADE at zero,
21 two and six weeks achieved the primary endpoint of
22 fistula response, defined as a 50 percent or greater

1 reduction in the number of draining fistula.

2 Furthermore, more than one-half of
3 patients who received REMICADE achieved complete
4 response, defined as absence of any draining fistulas,
5 compared with only 13 percent of patients who received
6 placebo.

7 Now REMICADE is already approved for this
8 induction regimen, and Centocor presently has a
9 pending supplemental biologic license application
10 under priority review at the FDA for maintenance
11 therapy for fistulizing Crohn's disease. Suffice it
12 to say, for Crohn's disease, whether luminal or
13 fistulizing, REMICADE provides an important clinical
14 benefit, and fulfills an unmet medical need.

15 In conclusion, REMICADE is highly
16 efficacious for patients with rheumatoid arthritis,
17 luminal Crohn's disease and fistulizing Crohn's
18 disease, and these are patients who have failed
19 conventional therapies.

20 Treatment related serious adverse events
21 do occur with REMICADE use, but they are infrequent.
22 Centocor remains committed to continue to characterize

1 the safety profile of REMICADE and implement further
2 risk management initiatives as needed.

3 We also look forward to the FDA Arthritis
4 Advisory Committee's and FDA's deliberation,
5 assessment and guidance with regard to the known but,
6 more importantly, potential risks of anti-TNF agents.

7 We believe the benefit to risk profile for
8 REMICADE for both rheumatoid arthritis and Crohn's
9 disease continues to be excellent.

10 I'd like to thank you for your attention,
11 and Centocor and its consultants will now be happy to
12 answer any of your questions.

13 CHAIRMAN ABRAMSON: Thank you very much.
14 May I ask first a question regarding dose. Is there
15 any difference between the 3 mg/kg and higher doses
16 with regard to either the opportunistic infection or
17 the lymphoma reports?

18 DR. SCHAIBLE: Well, you saw the
19 individual cases for lymphoma in clinical trials, and
20 the range there was the lowest dose we have ever
21 studied, which was 1 mg as a single infusion up to
22 several doses of 10 mg/kg. So, certainly, for

1 lymphoma there has been no relationship to overall
2 drug exposure.

3 With regard to opportunistic infections,
4 in our clinical trials we have not seen -- We don't
5 have that many opportunistic infections in clinical
6 trials, and haven't seen a dose relationship there
7 either.

8 CHAIRMAN ABRAMSON: Other questions? Dr.
9 Gibofsky?

10 DR. GIBOFSKY: It's been suggested by
11 several speakers today that we ought to be cognizant
12 of the effect of prior concurrent DMARD
13 immunosuppressant therapy on the subsequent
14 development of lymphoma.

15 I am intrigued by the data that you showed
16 in slides 8 and 9 showing that in the placebo groups,
17 presumably matched for DMARD use and other variables,
18 there were no cases of lymphoma development. It was
19 only seen in the populations taking REMICADE.

20 To what extent does that discount, if you
21 will, the dispositiveness of prior concurrent
22 immunosuppressive or DMARD therapy in the development

1 of lymphoma?

2 DR. SCHAIBLE: I think, as Dr. Boscia
3 touched on in his presentation, if you look at the
4 absolute placebo exposure in our studies, it's less
5 than 20 percent compared to the overall REMICADE
6 exposure. So I think a major interpretive problem
7 occurs by the large discrepancy in exposure between
8 REMICADE and placebo treated groups.

9 So it's very difficult to interpret that
10 data or to evaluate the point that you've raised.

11 DR. KROOK: A follow-up on that question:
12 Are those people on the placebo arm now receiving
13 REMICADE? Is that the reason for the small number,
14 that they have crossed over? In other words, the
15 number that's in the placebo will really not change
16 over time greatly.

17 DR. SCHAIBLE: That's correct. That's
18 actually static right now, because most of those
19 patients do cross over ultimately, and they are
20 censored at the point of time that they cross over.

21 DR. KROOK: So in these groups, as they
22 are listed here, actually, the placebo group is almost

1 at its maximum?

2 DR. SCHAIBLE: It will --

3 DR. KROOK; It will increase some.

4 DR. SCHAIBLE; It will increase minimally,
5 because those patients are followed through five years
6 after their initial treatment in the clinical trial,
7 but it will be minimally.

8 DR. KROOK: But they have been crossed
9 over, if I'm right?

10 DR. BOSCIA: Right. It's much worse in
11 the Crohn's disease population than in the RA
12 population, because, of course, there are other
13 therapies to treat patients with rheumatoid arthritis.

14 For Crohn's disease, you saw our -- We don't have
15 much placebo follow-up. There's nothing else for
16 those patients to use. So --

17 DR. KROOK: Well, I would suspect also in
18 this group, as you see the effect and as a clinician,
19 you will cross them over when supposedly the study is
20 done. I mean, that's what most clinicians would do.

21 DR. SCHAIBLE: I agree. Yes.

22 CHAIRMAN ABRAMSON: A question that may be

1 best directed to Dr. Wolfe, and he may not have the
2 information. But the issue of having a comparable
3 patient cohort, obviously, has been raised several
4 times, and the Leflunomide treated patients would be
5 of some interest, because they typically have similar
6 indications -- that is, people who are failing to
7 respond to methotrexate over the last several years.

8 I'm wondering, Fred, if you looked at that
9 cohort as a comparator with malignancy.

10 DR. BOSCIA: Hey, Fred, I think that
11 microphone will work right in front of you. There
12 were 58 patients treated with Leflunomide in the --

13 DR. WOLFE: Actually, I have not officially
14 looked at it. It's part of the group which was
15 classified as no therapy. So within that group the
16 rates seem to be somewhat lower, but there is -- To
17 some extent, it depends on how you define exposure in
18 that group as a whole, and we didn't -- We took the
19 entire time in the data bank as the exposure rather
20 than a specific time on Leflunomide.

21 So I can't comment at this moment on the
22 Leflunomide, but the data are available.

1 DR. BOSCIA: I misspoke. When I said 58
2 patients, I was thinking of Teneret, not Leflunomide.

3 CHAIRMAN ABRAMSON: Dr. Day?

4 DR. DAY: Concerning risk management, you
5 mentioned that REMICADE is prescribed primarily by
6 sub-specialists, namely those who are best able to
7 determine the benefit risk profile. Do you have any
8 ballpark numbers of the percentage of prescribers who
9 fall into that category?

10 DR. SCHAIBLE: It's over 90 percent

11 DR. DAY: Thank you.

12 CHAIRMAN ABRAMSON: Yes, Dr. Anderson?

13 DR. ANDERSON; I have a question also for
14 Dr. Wolfe relating to the registry data, national data
15 bank on slide 15. I was wondering about the
16 comparability of the patient populations on the
17 different drugs, whether differences in demographics
18 and maybe reimbursement and other things would affect
19 whether certain patients take -- which drug patients
20 take, and what impact taking that into account might
21 have on the results.

22 DR. WOLFE: Well, the REMICADE patients

1 are slightly older, but that would be reflected in the
2 risk from the -- as adjusted from the SEER database.
3 There are independent risks associated with age, with
4 sex, and with education, and those are the effects
5 that we could see at this time. Any other information
6 on that? Okay.

7 CHAIRMAN ABRAMSON: Dr. Manzi?

8 DR. MANZI: I would like to just make a
9 general comment and then a question. But I think that
10 there is a tremendous amount of data that could be
11 mined from these large registries that have comparator
12 populations, which is something we are all saying that
13 we need.

14 When I look at what the advantages would
15 be, certainly, the number of patients that are in
16 these registries is tremendous. I mean 18,000.
17 Secondly, it represents, I think, more of what the
18 general use of these drugs are than possibly the
19 artificial environment of clinical trials, although
20 you get important information from those as well.

21 I guess, lastly, it is certainly an
22 advantage over passive surveillance and counting on

1 people just reporting. So I would have a lot of
2 questions for the owners of these registries that
3 might help us, because I think that information may be
4 there that a lot of us need.

5 So my question to our chair is: Do you
6 think this afternoon would be the appropriate time to
7 have a dialogue with people that have these registries
8 in Europe and here as to how much information we could
9 get now from them that may be helpful?

10 CHAIRMAN ABRAMSON: I think that's
11 important and, in fact, one of the questions is how we
12 should go forward in capturing information. So
13 existing and novel ways to do that, I think, is an
14 important part of the discussion. Dr. Jaffe?

15 DR. JAFFE: One issue that hasn't been
16 brought out is sort of the change in diagnostic
17 criteria for the diagnosis of lymphoma over time.
18 When I started in hematopathology 30 years ago, a lot
19 of what we call lymphoma today was pseudo-lymphoma or
20 atypical hyperplasia in the patient with rheumatoid
21 arthritis.

22 So I was just wondering with respect to

1 some of the registry data whether that is reflected by
2 an increase in incidence in lymphoma over time due to
3 change in diagnostic criteria that may not be real?

4 DR. WOLFE: I'm afraid I have no
5 information on change in diagnosis over time. The
6 registry -- If you recall it, REMICADE has only been
7 out for about four years. I am not sure that there
8 would be any change in diagnosis, except that the rate
9 in the SEER data banks has been increasing, and this
10 reflects the rate that everyone else used up to now.

11 DR. JAFFE: Well, I think it's just a
12 caution that, if you are going to use historical data
13 to compare incidence figures, you have to be careful
14 as to what the diagnostic criteria were used.

15 CHAIRMAN ABRAMSON: Especially in concepts
16 of regression and the notion of pseudo-lymphoma and
17 Sjogren's and what-not.

18 So we thank you very much. We are going
19 to change the agenda slightly. We are going to break
20 for lunch now and have the open public hearing when we
21 return at 2:00 p.m. So thank you very much.

22 (Whereupon, the foregoing matter went off the record at 1:11 p.m.)

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (2:09 p.m)

3 CHAIRMAN ABRAMSON: We would like to begin
4 the afternoon session. So can people please take
5 their seats.

6 We are going to begin the session this
7 afternoon with the open public hearing, and we have
8 four -- five individuals who would like to speak, and
9 our first guest is Mr. Rodger deRose who is President
10 and Chief Executive Officer of the Crohn's and Colitis
11 Foundation of America. Mr. deRose.

12 MR. deROSE: Thank you, Mr. Chairman, and
13 I would like to thank the committee for giving us the
14 opportunity to share our thoughts. I know that I
15 submitted a paper to you several weeks ago, and I
16 don't want to read that to you. I'll just give you an
17 executive summary of that, and then would like to
18 introduce Rachel Hettich, one of the Crohn's patients
19 that we have had some association with over the years.

20 First of all, let me say that I don't come
21 from the medical or scientific community like many of
22 you do, but I did stay at a Holiday Inn recently. So

1 I guess that qualifies me. No, I personally come out
2 of the private sector and retired about 18 months ago
3 to join the nonprofit world and try to leverage my
4 business skills to help them manage their business
5 more effectively.

6 The CCFA, Crohn's and Colitis Foundation
7 of America, has been in existence since 1967. We have
8 raised over \$200 million during that time and put that
9 into mission critical programs such as research,
10 education, and support, and we really believe that we
11 are one of the voices of the million or so Americans
12 that suffer from Crohn's and colitis.

13 As you know, these are chronic intestinal
14 diseases that share common symptoms. They are
15 referred to as inflammatory bowel disease or IBD for
16 short. I am really appearing before this committee,
17 because one of the medications under discussion is the
18 first therapy to receive your approval, the FDA
19 approval, for the treatment of Crohn's disease, and
20 the drug, of course, is what you heard earlier,
21 infliximab, REMICADE marketed by Centocor.

22 At this point, I want to note for all of

1 you, just so that you are aware of the arrangements
2 that we face and we have with Centocor, is that they
3 do sponsor some of our education and awareness
4 programs. In 2002, of the \$22 million in revenue that
5 we generated, they contributed about three-tenths of
6 one percent or about \$152,000.

7 The majority of our dollars come from the
8 patient community and major donors, and in 2003 we are
9 projecting that the contribution from Centocor will
10 probably be in the three-tenths of one percent as
11 well, and our revenues are expected to grow to about
12 \$26 million this year.

13 I also want to mention that we do have
14 currently a co-branding commercial on air right now
15 with Centocor, and I want to make it very clear to you
16 that this is not an endorsement. From our point of
17 view, this is a way in which the Crohn's and Colitis
18 Foundation of America can add additional information
19 to the patient community, because when they call in to
20 the fulfillment number, they get in that packet
21 additional information about the CCFA as well as all
22 medications, treatments, therapies, about the disease,

1 talking about all drugs.

2 So I look at it as total patient care in
3 terms of information and knowledge. I think, as you
4 look at our patient community, they probably are one
5 of the most knowledgeable with regard to this disease
6 as well as the medications and therapies that are
7 available to them.

8 One of CCFA's most important roles, we
9 feel, is to provide our patient community with
10 accurate and up-to-date and unbiased information about
11 the treatment options that they have. If you look at
12 all of our literature, you will clearly see that.

13 The statement that I am making today and
14 the one that I submitted is one that has been approved
15 by our National Scientific Advisory Committee, which
16 is made up of some of the thought leaders, certainly,
17 in the industry, in the field of IBD.

18 I want to mention that Crohn's and colitis
19 as a disease, if you are not familiar with it, is --
20 It's a life altering disease, and it's notoriously
21 difficult to deal with and treat, and the symptoms
22 include significant abdominal pain, severe diarrhea,

1 sometimes patients that have to use the restroom 15 to
2 20 times a day, fever, and malnutrition. It's not
3 unusual to see an 18-year-old that looks like he or
4 she is 12 years old, because they can't get the
5 nutrition into their body.

6 Over time, we know that there are other
7 symptoms that occur, such as they become higher risk
8 candidates for colorectal cancer, can lead to liver
9 disease and arthritis as well. And as yet there is no
10 cure, and it is oftentimes that Crohn's patients need
11 to have surgery.

12 As I have crossed the country talking to
13 patients, one of the patients that I've talked to that
14 had the most in surgeries had 23, and it's not
15 uncommon for a Crohn's patient to at least have one
16 surgery in their lifetime, and still it's common for
17 the disease to reoccur.

18 Now there are a wide spectrum of IBD
19 patients. So their therapy must be tailored to the
20 individual, and we recognize, as many of you do, that
21 infliximab is a very powerful drug. We know that, and
22 that it is only for patients with moderate to severe

1 Crohn's disease who don't respond to conventional
2 therapy.

3 It is also indicated, as you saw, for
4 patients that have fistulas, which is a very painful
5 complication as well. But when administered to the
6 right patient by an experienced physician, it can mean
7 the difference between constant suffering and at least
8 an active, healthy lifestyle and a productive
9 lifestyle.

10 I think, if patients are properly
11 selected, the benefits certainly outweigh the
12 potential risks.

13 Now it's important to note, and I know
14 that all of you are aware of this as professionals in
15 your field, that infliximab doesn't work -- doesn't
16 always work for every patient and doesn't fit every
17 profile. However, we are greatly encouraged by some
18 of the additional new medications that are coming to
19 the field, and I know you were talking about some of
20 them this morning that are currently in the pipeline,
21 and many of these being biologic therapies that we are
22 anxious to see come to market.

1 We must emphasize that, like all of you in
2 this room, that we as the patient community, as a
3 patient advocacy group, believe that patient safety
4 must never be compromised. All therapies, from those
5 that are currently on the market as well as those that
6 are being fast tracked, need to continue to be
7 researched for efficacy and safety, and we know that
8 you have stringent procedures in place to do that.

9 So at a high level, that is where the
10 Crohn's and Colitis Foundation stands on this. I
11 thought it would be very interesting for you to hear
12 from a patient that was diagnosed with Crohn's at the
13 age of eight. Rachel is 18 now, and she has been on
14 REMICADE for three years. Rachel.

15 MS. HETTICH: My name is Rachel Hettich.
16 I am 18 years old, and I have Crohn's disease. I was
17 diagnosed when I was eight years old. I had just
18 started the third grade and began to have constant
19 stomach pains. I lost weight very rapidly and noticed
20 a decrease in my energy.

21 At first, I was able to keep up in school,
22 but things just kept getting worse and worse. The

1 pain from my stomach aches was excruciating and very
2 draining, both emotionally and physically. Dealing
3 with it 24 hours a day was very frustrating.

4 Basically, it shut down my life for long
5 periods of time. Just making it through a whole week
6 of school was a huge accomplishment. I don't really
7 remember it now, but my parents tell me that most of
8 the summer I was curled up on the edge of the couch in
9 pain for hours and even days at a time. My whole life
10 would just shut down, and so would my family's.

11 To control the severity of my disease, my
12 doctor tried a variety of medications and treatments,
13 including Asacol, Pentasa, 6-MP, MG-2 feedings,
14 central IV lines, and even several surgeries.
15 Finally, after much consideration, my doctor
16 recommended trying REMICADE.

17 My first treatment was three years ago
18 when I was a sophomore. We knew there might be some
19 risk with REMICADE, but we really had no other choice.

20 Living with Crohn's disease is like crossing a raging
21 river by walking across on logs. You put your foot
22 out and just hope that there will be another log to

1 step onto.

2 When they finally put me on REMICADE, the
3 difference was like night and day. I was back in
4 school and acting more like myself. I gained back my
5 energy and weight as well as a healthier appearance.
6 I could eat just about anything, which was a major
7 deal for me. It was wonderful.

8 It only takes a few days after my REMICADE
9 infusions for me to begin feeling better. It's like a
10 switch that gets flipped on.

11 On behalf of all people who suffer with
12 IBD, I would like to express sincere appreciation to
13 all the researchers who work so hard to improve the
14 quality of our lives. I look forward to the future
15 with great anticipation of medical breakthroughs that
16 may not only treat the symptoms of IBD but perhaps
17 even cure the disease. Thank you.

18 CHAIRMAN ABRAMSON: Thank you, Rachel.
19 The next speaker is Ms. Timms-Ford.

20 MS. TIMMS-FORD: Good afternoon. My name
21 is Betty Timms-Ford. I'm from Denver, Colorado, and I
22 am here today representing myself, although my travel

1 expenses to attend this advisory committee meeting are
2 being paid by Abbott Laboratories.

3 I'm here today to share my experience with
4 rheumatoid arthritis and HUMIRA, a medication that has
5 greatly improved my RA and given me back the active
6 life I had before RA took over my day to day
7 existence.

8 In April 1990, as a 48-year-old woman, I
9 noticed swelling and redness in my knuckles, and at
10 the same time started experiencing some pain. I
11 visited an internal medicine doctor who initially
12 diagnosed rheumatoid arthritis but referred me to an
13 arthritis specialist who, after various tests,
14 confirmed that I did indeed have RA.

15 My doctor initially prescribed mild
16 medications which seemed to have little effect in
17 relieving my pain and swelling, and my RA continued to
18 worsen. He referred me to a physical therapy clinic
19 where they started me on various exercises in an
20 attempt to keep my joints mobile.

21 They gave me adaptors for my car keys,
22 toothbrush, and even pens and pencils, as I was unable

1 to close my hands enough to grip these items without
2 aids. At this point, my day to day existence
3 consisted of rising, preparing myself for work,
4 working an eight-hour day, coming home, climbing the
5 stairs and going straight to bed.

6 At my desk at work, the pain in my feet
7 was so severe at times that I used a pillow on the
8 floor as a cushion for my feet. Rising from most any
9 chair at home required my husband's assistance, and on
10 days I felt good enough to grocery shop, I would use
11 the shopping cart to steady myself and wrap my arm
12 around items on the shelf and drop them into the cart.

13 My doctor tried numerous medications,
14 hoping to find the right one for me. My RA did
15 improve, but I was never able to completely recapture
16 the energy level I had before developing RA. That is,
17 not until I started in the HUMIRA drug study program
18 in August of 2000.

19 I never gave up on incorporating some
20 exercise routine in my lifestyle, but since starting
21 HUMIRA, it is very rare that I experience any pain,
22 and I am now, weather permitting, walking two to three

1 miles most days on my lunch hour, and three or four
2 nights each week after working eight or nine hours, I
3 head straight to the gym and work out for one, one and
4 a half hours.

5 If an occasion arises, I tell people I
6 have RA. Their response is almost always, I never
7 would have guessed; you certainly don't exhibit any
8 signs of arthritis.

9 I also have been able to involve myself in
10 a lot of volunteer work that I was doing previously
11 until my energy level was drained so severely. I
12 consider myself extremely fortunate that I was blessed
13 with an inordinate amount of energy and also found a
14 wonderful doctor who was willing to involve me in the
15 HUMIRA study program.

16 HUMIRA has had a tremendous impact on my
17 life, and I appreciate the opportunity the committee
18 has given me to share my story during your meeting, as
19 I think it is important for others to know how
20 invaluable this drug has been for me and, undoubtedly,
21 would be for others suffering from RA.

22 Thank you for your time and attention.

1 CHAIRMAN ABRAMSON: Thank you very much.
2 Lucille Cerretta.

3 MS. CERRETTA: Hello. Thank you for
4 having me today. My name is Lucille Ann Cerretta, and
5 I'm here to share my personal experience with
6 rheumatoid arthritis and HUMIRA.

7 Abbott Laboratories has provided my travel
8 so that I could attend this meeting.

9 I am a 50-year-old woman, and I was
10 diagnosed with RA when I was 37. I have been on a
11 host of drugs over the years, including prednisone for
12 more than a decade. None of these treatments had the
13 results of HUMIRA, and some almost took my life.

14 Not only did I have to fight the pain of
15 RA, I had to live with the side effects of those
16 medications. I am finally off those drugs, thanks to
17 HUMIRA.

18 The pain and suffering I had to ensure are
19 really hard to capture as I stand here and speak to
20 you. I was unable to work, and had to live on
21 disability. That alone is a challenge. Try living on
22 \$500 a month.

1 I turned to art to ease my pain. I used
2 modified brushes that were built up so I could hold
3 them. I would go to Home Depot and buy tubing that
4 was about this big, and I would start to paint.

5 Today, with HUMIRA, I am exhibiting my
6 artwork, standing at exhibits, carting paintings in
7 and out of my van, and carrying them into galleries.
8 I'm in two galleries right now that are upstairs
9 lofts. So I have to carry my paintings up the steps,
10 and I do it.

11 Not only do I feel better, but I am no
12 longer using a cane, looking at scooters to buy or
13 sleeping with a brace. I also appears that I have had
14 improvement or reversal in some of the damage done. I
15 am now down to wearing one brace on my fingers, where
16 before I needed four.

17 I have experienced a hard life, but I am a
18 positive person and always believed research would
19 someday find an answer to this crippling disease. I
20 only wish I was just now being diagnosed. Today
21 people with RA have the option with HUMIRA that allows
22 you to continue living the life you already have. I

1 didn't have that option until two years ago.

2 I am so grateful that RA patients now have
3 a treatment like HUMIRA. Without it, myself and RA
4 patients like me would revert back to being dependent
5 on others, and nobody wants to do that.

6 Thank you for allowing me to share my
7 story with you today. I really appreciate it. Thank
8 you.

9 CHAIRMAN ABRAMSON: Thank you very much.
10 Judy Levinson.

11 MS. LEVINSON: Good afternoon, Mr.
12 Chairman and members of the Food and Drug
13 Administration. My name is Judith Levinson. I am a
14 58-year-old individual who has suffered with
15 rheumatoid arthritis for 18 years. I have been on the
16 drug Enbrel since January 7, 1999.

17 Since that time, I have administered
18 approximately 431 shots. I am not a paid
19 spokesperson, but I do own Amgen stock. I purchased
20 it two weeks after I began my treatment, because I had
21 such confidence and trust in this drug and this
22 company.

1 Some of you might remember me from April
2 11, 2000, when I asked for your approval for newly
3 diagnosed patients to have the opportunity to receive
4 Enbrel as part of their treatment. I applaud you for
5 making that possible.

6 On August 17, 2001, I spoke to you
7 regarding safety of Enbrel. These ongoing reviews of
8 new biologic modifiers is essential to protect all
9 individuals from potential harmful side effects.

10 One recommendation was for doctors to
11 encourage their patients to be tested for TB. I took
12 that advice, and my TB test was negative. Enbrel
13 patients are also advised by the inclusion of
14 information packets in the dosing boxes to immediately
15 notify their physicians about any serious infection
16 they may experience.

17 I told you about my 14 surgeries I have
18 undergone to correct hand, wrist and foot deformities
19 caused by severe RA. Over the past 18 years I have
20 taken many prescribed drugs, some of which have caused
21 serious side effects, including nausea, fluid
22 retention, puffiness, stomach distress, and headaches.

1 I'm happy to say that on Enbrel I have
2 experienced none of these problems nor have I had any
3 infections, not even a single cold. Every two months
4 I undergo complete blood panels to evaluate the status
5 of my health to ensure that I am remaining within the
6 parameters of normal levels.

7 Amgen is diligent with respect to keeping
8 their users informed about any findings regarding
9 Enbrel. I have every confidence that Enbrel is safe
10 and that, if any problems should arise, I will be
11 notified immediately to contact my doctor.

12 Approximately 100,000 people now benefit
13 from this incredible drug. To me, Enbrel has been a
14 miracle. It has given me back my life. Before taking
15 Enbrel, I visualized myself requiring assistance even
16 to do the simplest of tasks, but not now.

17 Today I am a productive individual, a
18 wife, a mother, a daughter, and a sister. I'm a
19 published poet and a fused glass artist. Around my
20 neck I am wearing my signature piece, a wounded dove,
21 made from small bits of glass that I designed with
22 these hands. Enbrel has restored my strength,

1 stamina, and allowed me to forgo my afternoon naps,
2 giving me a better quality of life than I ever thought
3 was possible.

4 My husband calls me his energized bunny,
5 because I am always in the go mode. I am always
6 amazed by people I meet who either know someone using
7 Enbrel or want to know about the benefits of this
8 drug.

9 Last week, I met someone whose brother has
10 RA and is being treated with bi-weekly injections, and
11 she said that he has been given a second chance to
12 life.

13 The safety of all drugs is extremely
14 important, and it is very reassuring to know that you,
15 the FDA, considers it such a high priority. I'd like
16 to thank you allowing me to speak to you today.

17 CHAIRMAN ABRAMSON: Thank you. We thank
18 each of the speakers. I think it is so important for
19 us. The courage that you all show is -- we need to be
20 mindful of that, and because our charge is to look at
21 the benefit and the risks of these medications, and I
22 think hearing a person's story can bring home to us as

1 physicians and others the details of this condition
2 that we can't read particularly in the papers and the
3 dossiers.

4 We have one more public statement to be
5 read in by Ms. Reedy from Colleen Andrus.

6 MS. REEDY: Colleen Andrus writes: "I am
7 currently a patient with rheumatoid arthritis and am
8 on a regimen of Enbrel and Arava. My attending
9 physician is Dr. Michael Schiff at the Denver
10 Arthritis Clinic.

11 "I understand that Enbrel is set for
12 review and evaluation this year, and am writing in
13 support of this wonderful medication. I am 54 years
14 old, and was diagnosed with RA about five years ago.
15 Treatment has involved several different RA drugs
16 prior to Enbrel, all of which were eliminated for my
17 treatment, either because they did not relieve
18 symptoms or I had some type of adverse reaction.

19 "I began injections of Enbrel in July of
20 2001, and my quality of life has improved
21 significantly. I have had no side effects, nor site
22 reactions. It is quite reassuring to know that there

1 is treatment upon which I can depend, and that I can
2 continue a fairly normal lifestyle. I have always
3 been very active, and the problems with RA have been
4 challenging.

5 "Although I have not yet experienced any
6 serious joint deterioration, I found that fatigue and
7 moderate to fairly severe joint pain was constant
8 without Enbrel. My grandmother suffered form severe
9 debilitation from RA, and, of course, I am concerned
10 that my condition will progress. To date, I am happy
11 to report that my current treatment seems to be very
12 successful, and progress of the disease seems to be
13 inhibited by my current drug regimen."

14 Her next paragraph addresses the
15 difficulty in opening the vials and in piercing the
16 caps with hypodermic needles. Take note. She closes:

17 "I hope that Enbrel will continue to be
18 approved by your agency, as having a choice of
19 treatments is very valuable to those of us with RA."

20 CHAIRMAN ABRAMSON: Thank you. We are now
21 going to enter the segment of addressing the questions
22 put to the committee, and Dr. Siegel will introduce

1 the questions. Then I think what we will do is the
2 panel will have a discussion of each of -- There are
3 six questions pertaining to lymphoma. As we go
4 through each one, the panel will make their comments,
5 and then if any of the sponsors would like to make a
6 comment after we discuss a point, you are welcome to
7 sort of come to a microphone and make a statement or a
8 clarification.

9 So, Jeffrey, would you like to begin,
10 please.

11 DR. SIEGEL: Thank you. I want to make a
12 few concluding remarks, and then discuss the questions
13 that we wanted to pose to the committee.

14 Before we begin, I wanted to just review
15 some of the data for lymphomas. We have asked the
16 panel to concentrate particularly on several different
17 adverse events, and we have presented a lot of data
18 over the course of the morning. So I thought it would
19 be helpful to just review some of the key data.

20 We have presented two different analyses
21 for you for each of the products. One is an analysis
22 of the controlled portions of the controlled trials

1 where we think the experience is comparable between
2 drug and placebo. Separately, we have presented data
3 from the overall database, including the standardized
4 incidence ratios.

5 For adalimumab in the controlled portions
6 of the clinical trials, two cases of lymphoma were
7 observed among 1380 patients who saw a mean exposure
8 of 0.6 years. In the placebo control arms of these
9 trials, zero cases of lymphoma were observed among 690
10 patients with 0.5 years mean exposure.

11 In the overall safety database for
12 adalimumab, ten cases of lymphoma were observed among
13 2400 patients. This was over a course of 2.4 years
14 median exposure, and a calculated standardized
15 incidence ratio of 5.42 was calculated with confidence
16 intervals as shown on this slide.

17 By the way, all of the data that I am
18 going to be showing you in these first slides is in
19 your handouts, but these slides are new, just to place
20 it in summary form.

21 For etanercept, one case of lymphoma was
22 observed in the controlled portions of the clinical

1 trials in the etanercept arm, among 2502 patients
2 receiving a mean exposure of 0.5 years.

3 In the placebo arms of these trials, no
4 cases of lymphoma were observed among 921 patients
5 with a mean exposure of 0.5 years.

6 In the overall etanercept database, six
7 cases of lymphoma were observed among 3389 patients
8 receiving a mean exposure of 2.2 years. The
9 standardized ratio here for the total database was
10 2.31, with the confidence intervals as shown on the
11 slide that do overlap one, 0.85 to 5.03.

12 Finally, for infliximab, in the controlled
13 portions of the clinical trials, three cases of
14 lymphoma were seen among infliximab treated patients,
15 among 2421 patients who received a mean exposure of
16 one year.

17 In the placebo control arms of those same
18 studies, there were no cases of lymphoma among 489
19 patients with a mean exposure that was similar to the
20 infliximab group of 0.9 years.

21 In the overall safety database for
22 infliximab, six cases of lymphoma were seen among 2421

1 patients receiving a mean exposure of 1.7 years. The
2 standardized incidence ratio here for the infliximab
3 database was 6.98 with the confidence intervals that
4 exclude one, namely from the lower bound of 2.56 to
5 15.19.

6 So in summary, the newer data that we have
7 presented show an occurrence of lymphomas with each of
8 the approved TNF blocking agents. In controlled
9 trials, we see one to three cases of lymphoma with the
10 study drugs versus none with placebo.

11 In the controlled plus the non-controlled
12 extension trials, we saw a higher rate of lymphomas
13 than observed in the general U.S. population, based on
14 comparison to the SEER database, and additional cases
15 of lymphoma have been observed in the post-marketing
16 experience.

17 It is important to keep in mind, as you
18 have heard several times over the course of the
19 morning, that higher reported rates of lymphoma have
20 been observed in RA patients, and this clearly
21 complicates the analysis.

22 In terms of congestive heart failure, the

1 data you've seen this morning suggested deleterious
2 effects of infliximab in congestive heart failure
3 patients, and data from the etanercept trials showed
4 some concern in trends in congestive heart failure
5 patients receiving etanercept.

6 We don't know what the effects of
7 adalimumab are on similar congestive heart failure
8 patients, because studies are unavailable.

9 So in conclusion, the approved TNF
10 blockers are associated with high ACR response rates
11 in rheumatoid arthritis and beneficial effects for
12 progression of structural damage.

13 For infliximab, there is also an
14 additional prove claim of improvement in physical
15 function as based on the Health Assessment
16 Questionnaire, based on data from a long term study.
17 Data for this same improvement in physical function
18 are currently being collected for the other TNF
19 blockers.

20 A number of serious but uncommon adverse
21 events are also associated with the use of TNF
22 blockers, and for some adverse events these risks can

1 be reduced with appropriate screening.

2 Turning to risk management, it is, of
3 course, important to maximize the benefit of treatment
4 with these agents and to minimize the risks associated
5 with their use. For the identified risks of TNF
6 blockers, it is important to collect data to
7 accurately assess this risk, to minimize those risks
8 where appropriate by patient selection and screening,
9 and by appropriate risk communication.

10 So finally, the agency welcomes discussion
11 on the part of the Advisory Committee regarding
12 lymphoma of the confounding factors in assessing
13 causal relationships, in the Advisory Committee's
14 assessment of the likelihood of causal relationships
15 between lymphomas and TNF blocking agents.

16 We welcome their advice on how to collect
17 data that would help assess causal relationships, and
18 on selection of appropriate language for package
19 labels to communicate the available information.

20 Regarding congestive heart failure, we
21 welcome discussion of approaches to risk management.
22 Thank you very much.

1 CHAIRMAN ABRAMSON: Thank you. What I
2 will do is read the first question and then open it
3 for discussion to the panel members.

4 Question Number 1: Please comment on the
5 characteristics of the cases of lymphomas -- that is,
6 age at time of diagnosis, distribution of non-
7 Hodgkin's lymphoma versus Hodgkin's disease,
8 histology, etcetera -- observed in patients treated
9 with TNF inhibitors relative to the experience in the
10 general population and relative to the experience in
11 people with underlying rheumatoid arthritis or Crohn's
12 disease.

13 What I'd like to do to begin is that we
14 have three experts, particularly in the field of
15 oncology and lymphoma, Dr. Blayney, Krook, and Jaffe,
16 and I would ask first to solicit their opinions. Then
17 we can open up for more extended discussion. Dr.
18 Jaffe?

19 DR. JAFFE: With respect to the first
20 question, I think, unfortunately, we don't have a lot
21 of the data that we really need to answer this
22 question. I think most of the lymphomas that have

1 been reported in the session today and in the
2 literature have not been adequately studied so that we
3 can draw definitive conclusions. But I think, based
4 on the data available, I would say that the pattern of
5 lymphoma occurrence is similar to what one observes in
6 rheumatoid arthritis and less similar to what one sees
7 in the general population.

8 In general, the proportion of non-
9 Hodgkin's lymphoma to Hodgkin's disease tends to be
10 somewhat higher, as it is in the rheumatoid arthritis
11 patient population, and the overall incidence of
12 follicular lymphoma, the most common lymphoma subtype
13 in the United States, is relatively low.

14 So I think, from my perspective based on
15 the data, it resembles the pattern of lymphoma that
16 you see in rheumatoid arthritis.

17 With Crohn's disease, those cases have not
18 been extensively studied. There are small incidences
19 of lymphomas associated with immunosuppression, and
20 those are sometimes Hodgkin's and Hodgkin's-like
21 lymphomas as well as large cell lymphomas.

22 CHAIRMAN ABRAMSON: So from a pathological

1 perspective, the issue had raised whether patients
2 with immunosuppression develop a certain kind of
3 lymphoma. Are you also saying that this is not the
4 kind of lymphoma that these people are developing?

5 DR. JAFFE: No. I think some of the
6 lymphomas that are seen in rheumatoid arthritis are
7 related to the other therapies that are used, in
8 addition to the underlying disease. So I think we
9 have two confounding variables when trying to look at
10 these particular drugs that we are considering today.

11 One is the other agents such as
12 methotrexate and to the lymphomas that occur
13 sporadically as a consequence of the disease itself.
14 I think the Hodgkin's and Hodgkin's-like lymphomas and
15 large cell lymphomas are the ones that are generally
16 related to the immunosuppression.

17 CHAIRMAN ABRAMSON: Thank you. Dr. Krook.

18 DR. KROOK: I will echo some of Dr.
19 Jaffe's concerns. I know, as I look at all three TNF
20 inhibitors, generally they are older patients and
21 generally they have had a long duration of the
22 rheumatoid arthritis.

1 Some of the confounding things are, just
2 as Dr. Jaffe said, how long the other drugs which
3 have been involved and where it is. Now one of the
4 other things that in some of the documents which I
5 received there were some of the follow-up on this. If
6 I remember right, there were not very many deaths.
7 They were treated and did fairly well, and I think
8 that that relates to that also.

9 I think that, if you look at the incidence
10 of Hodgkin's in the overall population, it is probably
11 similar, one Hodgkin's or two Hodgkin's to nine or ten
12 of the other, and I think that is very similar.

13 I think the other thing is that we just
14 need to see what happens with these people, whether
15 they act the same as others. But again, this is a
16 heavily pre-treated group of people. My impression is
17 that it is very similar to what one sees in the
18 overall population.

19 CHAIRMAN ABRAMSON: Dr. Blayney.

20 DR. BLAYNEY: I'm struck by what we don't
21 see here. As Dr. Jaffe pointed out, we don't see
22 follicular lymphoma, and we don't see a lot of

1 Hodgkin's disease. What we do see is lymphoma that
2 seems to be related to the background incidence in
3 rheumatoid arthritis, and perhaps in these heavily
4 pre-treated patients or these advanced disease
5 patients, it's very difficult to sort out which is
6 which.

7 There is some acceleration in the
8 underlying propensity to develop lymphoma of the B
9 cell, large cell type. Furthermore, we don't see
10 Kaposi's sarcoma, and we don't see an excess of
11 melanoma. Perhaps these people aren't exposed to the
12 Kaposi's sarcoma infectious agent and aren't exposed
13 and develop Kaposi's sarcoma. So I find that
14 reassuring.

15 The third thing we don't see in the heart
16 failure trials, or at least we didn't hear about it in
17 the heart failure trials, was lymphoma developing in
18 patients with heart failure who are exposed to these
19 agents, albeit for six months to 12 months. So I find
20 that data reassuring as to the safety of these
21 compounds as a class.

22 There may be some difference among the

1 three that needs to be explored, but I basically am
2 reassured by what we don't see.

3 CHAIRMAN ABRAMSON: Thank you. Other
4 comments from members of the panel? Dr. Williams?

5 DR. WILLIAMS: As I have had the chance to
6 review the extensive materials and listen today, I
7 don't see that I can expect anymore incidence of
8 lymphoma with etanercept than I would based on just
9 the incidence we see with rheumatoid arthritis. There
10 may be perhaps some increase with monoclonal
11 antibodies, but even that is in patients with chronic
12 inflammation and who have been exposed to other
13 immunosuppressive agents, and I don't think causality
14 can be determined at this time.

15 I thought the statement that was made in
16 adalimumab's labeling was very fair in terms of
17 notifying people what the potential was, but we need
18 much more data before we can say it was caused by
19 these drugs.

20 CHAIRMAN ABRAMSON: Other comments?

21 DR. MAKUCH: Just a few comments, one of
22 them being: I think, actually, that the SIRs are

1 actually perhaps even more comparable than what was
2 just given in the summary, as I know that for Enbrel
3 the one given to us was 2.3, but on the other hand, I
4 think there is some going back and forth on whether it
5 really is six or nine cases, in which case for nine
6 cases then you do have a significant SIR of 3.47.

7 So it seems as if one of the things I
8 wanted to make a comment about is, I guess -- or
9 raise, is the issue about a class effect versus
10 individual drug effect. When I do look at, especially
11 with the Enbrel alternative, SIR 3.47, they all seem
12 to coincide with one another.

13 The second comment was, I guess, looking
14 at it a different way but sharing the remarks of
15 everyone else up to this point, we really didn't see
16 information about concomitant meds. We really didn't
17 see, despite numerous questions earlier, about
18 duration of treatment or dose, other prognostic
19 features.

20 So it really then is very difficult to
21 separate out the underlying association between the
22 lymphoma cases in RA versus the lymphoma cases with

1 respect to it being due to these drugs.

2 I think the final remark is regarding the
3 length of follow-up. I did hear the entire morning
4 that the risk is constant over time and, if you do
5 believe that the risk is constant over time, then I
6 think the data that we see are fine.

7 If you do not believe that the risk is
8 constant over time, and looking at some things, I
9 think it might not be -- it may increase over time.
10 If that's the case, then what we may be seeing would
11 be then an underestimate of the risk associated with
12 these compounds in their relationship to lymphoma.

13 So I guess the summary comment is just
14 some of the things that we did not see are actually
15 fairly uniform SIRs among the three, indicating at
16 least some discussion about a class effect, and
17 finally the effect of length of follow-up on the true
18 risk if you do not believe that the risk is constant.

19 CHAIRMAN ABRAMSON: So if we can go back
20 just to the first point number one question on the
21 histopathology, is it fair to say that, in summary,
22 the kinds of lymphomas we are seeing are consistent

1 with those that we have seen in the past in rheumatoid
2 arthritis patients, which differ a little bit from the
3 normal population where you see more follicular cell.

4 So, therefore -- and there is nothing distinctive
5 that we are seeing that says it's a third class, a
6 different kind of tumor that might be peculiar to this
7 class such as we see in HIV or what-not.

8 So it's consistent with the disease
9 historically. Is that a fair summary? What we have
10 observed in the disease in the past --

11 DR. JAFFE: What we have observed in the
12 disease, both sporadically and with given therapies;
13 in other words, I think that some of what we see is
14 related to other therapies that are used for the
15 disease.

16 CHAIRMAN ABRAMSON: Right. So without
17 ascribing causality, it's just the histopathology is
18 consistent with RA and treated RA.

19 Any other comments on the point one from
20 the committee members? Yes?

21 DR. ILOWITE: Mostly a question. There
22 was some discussion about EBV histology, EBV genome in

1 the tumors. Would that be helpful in elucidating this
2 issue?

3 DR. JAFFE: No, I think those are the data
4 we need. I mean, I think that the sporadically
5 occurring lymphomas that you see in rheumatoid
6 arthritis and those associated with therapy are often
7 EBV positive, particularly those occurring in patients
8 related to therapy, methotrexate and other
9 immunosuppressive agents.

10 CHAIRMAN ABRAMSON: Are there comments
11 from any of the sponsors with regard to this first
12 question? Dr. Siegel, anything more on point number
13 one before we go on? Okay.

14 All right. So question number 2, I'll
15 read again: Please discuss the strength of the
16 available evidence, including the pre-marketing
17 controlled trial experience, open label extension
18 studies, post-marketing registry data, and post-
19 marketing spontaneous reports, incidence rates over
20 time, etcetera, and any conclusions you are able to
21 draw regarding an association between TNF-blocking
22 treatments and lymphoma.

1 Once again, I think I will begin the
2 discussion with some of our experts in this area
3 perhaps, and that is Doctors Day, Elashoff, Makuch and
4 Anderson in terms of epidemiology and biostatistics.
5 Then we will open it up to other members of the
6 committee. Dr. Day?

7 DR. DAY: I have no comment.

8 CHAIRMAN ABRAMSON: Dr. Elashoff.

9 DR. ELASHOFF: Yes. To assess how either
10 reassured or disturbed we should be by what we see in
11 terms of the lymphoma SIRs, I would need some
12 additional biologic medical information. What is
13 known or believed about how long -- what the latency
14 is from the time of some triggering event to diagnosis
15 of lymphoma.

16 If we were to conclude that these drugs
17 were affecting it, would we be thinking it was
18 triggering the initial development or perhaps
19 stimulating things? So if we think it is perhaps
20 triggering it, have any of these follow-ups really
21 been long enough so that we would expect to see
22 anything yet?

1 So I would need some discussion of that
2 point in order to assess the data we have.

3 CHAIRMAN ABRAMSON: Dr. Makuch.

4 DR. MAKUCH: I think, very briefly, the
5 strength of the available evidence -- I think there
6 are some issues. One of them is just very small
7 numbers. Just a few cases one way or another would
8 make a substantial difference. I think that any kind
9 of analysis in which you did vary this, sometimes
10 called sensitivity analyses, may lead to substantively
11 different conclusions.

12 So, therefore, the strength of the
13 available evidence, to me, is not overly strong.

14 I did mention as a second general category
15 about evaluating the evidence, concomitant meds,
16 duration of treatment, dose, prognostic features,
17 etcetera. Without having more information about that,
18 one cannot reliably understand the extent or nature of
19 the association to any great degree.

20 Finally, again getting at the constant
21 risk -- and again I think Dr. Elashoff said the same
22 thing in a slightly different way of looking at the

1 length of follow-up. I really would have a much
2 higher comfort level with seeing data six months or a
3 year from now in which the length of follow-up is
4 longer, and again because the -- If you do not believe
5 that the risk is constant over time, there may be an
6 issue there.

7 I would have liked all of the sponsors
8 probably to have done one additional analysis, which
9 is called a hazard analysis, which is an explicit
10 evaluation of the risk question *per se*, which was not
11 done here.

12 CHAIRMAN ABRAMSON: Dr. Anderson.

13 DR. ANDERSON: I don't really have
14 anything to add to what Doctors Elashoff and Makuch
15 have said.

16 CHAIRMAN ABRAMSON: Are there other
17 comments people think the strength of the evidence --
18 Oh, okay, Dr. Krook.

19 DR. KROOK: I think, as we look at this,
20 and the question is that the committee or whoever
21 follows this is going to have real problems, because
22 you have three drugs here which are going to be used

1 fairly extensively in the community, and I think that
2 is going to confound things unless we have an older
3 control. But then we have problems with timing, all
4 the other things that were talked about, geography and
5 otherwise.

6 My own looking at this, the pre-marketing
7 controlled trial is probably almost as good as we are
8 going to do and see what happens with these people.
9 Unfortunately, I heard that most of the placebo group
10 has crossed over, and that's going to be a problem,
11 because I think you are going to see with that one
12 even -- you are going to perhaps see a few more
13 lymphomas, as somebody said, down the line. One or
14 two more lymphomas are going to change the whole
15 thing. We are going to get away from the SIR. We are
16 going to get outside the confidence limits.

17 So I'm not sure we can do much better than
18 we are now. The other comment which is interesting on
19 post-marketing is I was impressed by the national
20 database that most of the adverse events were coming
21 from patients, not from physicians and whatever,
22 although -- and that adds to the problem.

1 CHAIRMAN ABRAMSON: Yes, Dr. Unger?

2 DR. UNGER: I have a comment about one of
3 Dr. Fischkoff's slides with respect to the risk of
4 lymphoma over time. I don't know if there is any way
5 we could see one of those slides or you could look in
6 your packet, slide 43.

7 Dr. Fischkoff presented this slide, and
8 his interpretation was that the risk was, in fact,
9 constant over time. In my examination of the slide, I
10 arrived at a different conclusion, which is that I see
11 -- between day 620 and 840 approximately, I see five
12 out of ten of the cases of lymphoma in a 200 day
13 period.

14 Now I'm not a statistician, but you have
15 2000 days of follow-up. You have ten events. So if
16 this were sporadic, one would expect one event per 200
17 day period, and we are looking at five events in that
18 period of time. I'm wondering if anyone else made
19 that observation and if there are any comments about
20 that.

21 DR. MAKUCH: Which slide number are you
22 talking about?

1 DR. UNGER: That slide. It's slide 43. I
2 mean, the way the scale is drawn, it's hard -- Aha. I
3 have a pointer. In this area right here, there are
4 five events, and they occur 21 to 28 months after
5 initial exposure.

6 A related question that I have -- it's a
7 rather provocative question, but being that we have
8 some epidemiologists here: If one does something to
9 cause cancer, if one blows up a nuclear device or you
10 have a Chernobyl and there's a bump in lymphomas, what
11 is the lag time?

12 CHAIRMAN ABRAMSON: Dr. Blayney, would you
13 like to address that? Dr. Blayney or Krook?

14 DR. BLAYNEY: I think there's several
15 answers to that question. One, we are not -- The
16 cancers, as I understand them, that relate to damage
17 from DNA from radiation exposure and, by the way, from
18 alkylating agents probably have a peak incidence of
19 five to six years after the treatment.

20 The other -- and this goes to Dr.
21 Elashoff's question, the best models of
22 immunosuppressed related lymphoma that I know are HIV.

1 So HIV, you see the lymphomas way at the tail end of
2 the disease course when the immunosuppression is quite
3 profound.

4 In this instance, it depends on where you
5 start the clock. Do you start the clock at the
6 diagnosis or rheumatoid arthritis and all of the other
7 things that happen to a RA patient in that time or do
8 you start the clock when they receive the anti-TNF
9 agent?

10 My supposition would be, and my hypothesis
11 would be to start the clock when the rheumatoid
12 arthritis diagnosis is made. So I think maybe a year
13 of follow-up is not going to be helpful, because
14 that's a small percentage -- a small absolute
15 percentage of the time course when patients are at
16 risk for developing one of these lymphomas.

17 In the transplant setting where you have
18 iatrogenic immunosuppression, I don't remember what
19 the peak incidence is, but I think the point to your
20 question is there are a lot of different ways that
21 people get secondary malignancies, and here we are
22 talking about an immunosuppressive event.

1 DR. WEISS: In the transplant setting the
2 lymphoproliferative diseases that do occur tend to
3 occur rather rapidly in the course of disease, and
4 oftentimes, too, those might regress once you remove
5 the immunosuppression. So they do seem to be of
6 somewhat different character.

7 DR. KROOK: Just interesting. In Doug's
8 model there, if you start at the time of the
9 rheumatoid arthritis, you would have a curve that
10 would certainly stretch that farther out. I suspect
11 this is from the inhibitor.

12 The other thing: I think on the slide
13 that was shown, if you can put it back up, there are
14 some patients which are probably back at day 800 and
15 1000, if I'm right. So you don't have all -- if I'm
16 correct, all 2500 patients that are 2000 days out,
17 unless I'm wrong. They may be thinking of cancer
18 curves, but at least usually there is a bunch coming
19 along.

20 DR. BLAYNEY: But if I may respond.

21 CHAIRMAN ABRAMSON: Yes, go ahead.

22 DR. BLAYNEY: to the left of that there is

1 a bunch of patients who never got to this, who may
2 have developed lymphoma from rheumatoid arthritis and
3 didn't qualify for the treatment with the experimental
4 agent. So this sort of -- it doesn't include -- there
5 is a selected bias in this slide 43 against people who
6 might have developed lymphoma from the rheumatoid --
7 or the underlying condition or its treatment, as Dr.
8 Jaffe has pointed out.

9 CHAIRMAN ABRAMSON: Dr. Fischkoff, did you
10 want to make a comment?

11 DR. FISCHKOFF: Yes, a couple of comments.
12 Number one, the reason that we presented the data
13 this way is because, as has been discussed here, not
14 all patients have had equal exposure, and in order to
15 correct for that, we thought the Kaplan-Meier analysis
16 would be the correct one to do rather than choosing
17 some arbitrary bins.

18 The other reason that we also felt that
19 that was an appropriate analysis is because the shape
20 of the curve that you get also depends on the
21 selection of the bins. If you look at it by years
22 instead of by six months, you see that there were

1 three in the first year, four in the second year, two
2 in the third year and one later on, of course,
3 recognizing that not all patients have made it that
4 far.

5 So those are the two reasons for the one
6 that you had brought up, and also because there is
7 also some effect of the way you choose your analysis
8 bins on the shape of the curve. It was our feeling
9 that this analysis correct for those kinds of effects.

10 CHAIRMAN ABRAMSON: Yes, Dr. Makuch.

11 DR. MAKUCH: I agree it does actually do a
12 nice job of showing that. I think the issue was
13 whether or not it is consistent with constant risk or
14 not constant risk. Actually, it took me about ten
15 minutes for my eyes to focus on the graph, but I think
16 I can see it now, and I would agree with you that --
17 because as you go through those bins in time, there
18 are fewer people at risk.

19 So since all of a sudden, you are having
20 that clumping between, let's say, 600 and 800 days
21 with fewer people at risk, that really does indicate
22 to me that there might be an increasing risk for some

1 period of time. If there is, then that figure
2 actually argues fairly persuasively for one to two-
3 year follow-up as being necessary to perhaps assess
4 the full risk associated with what we are examining
5 here.

6 CHAIRMAN ABRAMSON: Thank you. Dr.
7 Gibofsky.

8 DR. GIBOFSKY: I share the concerns of my
9 colleagues across the table with regard to the caveats
10 imposed on the strengths of the data at the present
11 time. That said, I think we have to be careful not to
12 confuse a temporal association with a causal
13 association. They are quite different, both
14 scientifically and to our patients.

15 That said, I want to get back to Dr.
16 Manzi's comment earlier, that if we are asking these
17 kinds of questions, we really do need to come up with
18 the methodology and the data to mine that will us be
19 more precise in the answers that we want to arrive at.

20 I think one of our charges and one of the
21 areas that we should be discussing is what kinds of
22 questions we should be asking, what kind of data we

1 should be collecting, what kind of standard
2 information should be required.

3 I was intrigued by Dr. Silman's comment
4 that to use one of these agents in his country, there
5 is a requirement for a national registry. Perhaps we
6 should be moving toward some kind of effort in that
7 regard. Dr. Wolfe has certainly taken great steps in
8 that direction, but it would be nice if we as a group
9 of concerned individuals and experts could prod our
10 respective professional associations and colleagues to
11 a similar effort.

12 I think that is how we are going to get a
13 better handle and come back when we revisit this as to
14 what information we have collected and how the data
15 looks to us.

16 CHAIRMAN ABRAMSON: Exactly. And that we
17 need to get into a little bit more as part of the next
18 question. I guess, what is the strength of the
19 available evidence? Obviously, the committee feels
20 that the evidence -- there's not a lot of cases.
21 There's some issues -- there's clear issues of numbers
22 and the need for more data.

1 DR. WILLIAMS: I would like to just
2 reiterate the comment that I think that the case, at
3 least for etanercept, didn't make a very good case at
4 all right now. There are no more expected than you
5 would expect in a group of rheumatoid patients,
6 regardless of severity of disease. So that it wasn't
7 equal for all three groups.

8 CHAIRMAN ABRAMSON: Right. So this is
9 again a question. Are they equal? On the other hand,
10 the signals are small for each of the drugs, and it is
11 striking that in the randomized trials you don't see
12 much emerging in placebo. Again, not enough numbers
13 to say causality but enough to say there might be a
14 signal, and I'm not sure. I'm curious as to what
15 other people think.

16 Dr. Williams raises the point that is this
17 more or less for one or other of the drugs or simply
18 can we say we have a signal emerging that needs more
19 information going forward? I'm curious if people have
20 comments on that.

21 DR. WILLIAMS: Having made the point, I
22 would say that I would still survey all three drugs.

1 I would not eliminate etanercept just because it
2 wasn't strong on that, because it has a similar
3 effect.

4 CHAIRMAN ABRAMSON: Right. Okay.

5 DR. KROOK: Certainly, we have a time
6 difference between the three drugs, you know. The
7 last one in is tomorrow.

8 CHAIRMAN ABRAMSON: Right.

9 DR. GIBOFSKY: One more comment, if I
10 might. I think we also have to focus on the dichotomy
11 between the clinical trial and clinical practice. It
12 was commented by one speaker that, in the context of a
13 trial where you have wonderful inclusion and exclusion
14 criteria, you are not always getting the real world
15 experience. Our charge now is to come up with some
16 recommendations for the use of these drugs for our
17 patients in the real world.

18 CHAIRMAN ABRAMSON: Right, going forward.

19 And I would like to just suggest perhaps to the FDA
20 that there are other drugs that were approved in the
21 same time frame, Leflunomide and Anakinra, indicated
22 for similar kind of patient population, particularly

1 in the Phase III trials. It would be very interesting
2 to go back to some of the existing databases and see
3 what kind of signals emerge from those DMARDS.

4 Okay. So this is question number 2. Are
5 there comments from any of the sponsors regarding this
6 question 2? Yes, Dr. Boscia.

7 DR. BOSCIA: Hi, Jerry Boscia from
8 Centocor. I just want to caution that you have to be
9 careful when you compare one sponsor's product to the
10 next sponsor's product to the next sponsor's product,
11 because the patient populations that each company
12 studied weren't necessarily the same.

13 I mean, Jeff would be the best person to
14 comment on this, but I believe that -- and I don't
15 know, Jeff, because I'm not privy to all the data, but
16 some companies studied patients with early RA more so
17 than some of the other companies studying patients
18 with later disease, and I really think that makes a
19 difference.

20 DR. SIEGEL: I think there is no doubt
21 that that could clearly make a difference. I think
22 the pattern that we are seeing with most of the

1 products that have been approved and that go through
2 the pipeline is that sponsors initially study them in
3 DMARD failures, in people with more longstanding
4 active disease, and after they have shown efficacy in
5 that population, then do a study on early rheumatoid
6 arthritis.

7 That was certainly the case with
8 etanercept, and I think we are seeing similar patterns
9 with some of the other products. But at least early
10 on, you will tend to see mostly data in more advanced
11 disease, longstanding disease.

12 DR. WEISS: I think you also raise a good
13 point, that it will be important as we develop more
14 data to see more of these trials in early disease, of
15 longer term follow-up, to -- just like the other
16 suggestions, to be able to try to characterize the
17 patterns of adverse events in, particularly, the
18 lymphomas that we see, and see if they tell a
19 compelling story.

20 CHAIRMAN ABRAMSON: Dr. Ilowite.

21 DR. ILOWITE: I just wanted to point out
22 some issues that are uniquely pediatric. One is that

1 children are likely to be on these drugs, if they
2 respond, much longer than adults, maybe 30-40 years
3 more than similarly affected adults with analogous
4 conditions, and that any analysis of lymphoma risk to
5 assure safety for children would, I think, have to be
6 longer than necessary for adults, whether there's a
7 blip at 600 to 800 days or not.

8 DR. WEISS: Can I just ask. Among the
9 slides -- We have one of the products that is right
10 now approved for JRA, and I believe that we looked,
11 and none of the cases occur in children with JRA. I
12 mean, there are some young adults that have developed
13 lymphoma, but no children. But we have asked -- I
14 don't know; maybe Amgen can comment. There are long
15 term registries going on in the JRA population,
16 because it's true, it might be -- Again, they have
17 less longstanding disease. So that may or may not be
18 a factor.

19 I don't even know if there are any natural
20 history type databases with respect to JRA to try to
21 characterize the lymphoma rates, and I don't know if
22 anybody has that kind of information, but I would be

1 very interested.

2 DR. BURGE: Yes. I was just going to
3 comment. Yes, you are accurate that there have been
4 no lymphoma cases in pediatric patients, whether in
5 clinical trials or in post-marketing reports. Again,
6 yes, we have initiated a registry to continue to
7 monitor safety in kids.

8 CHAIRMAN ABRAMSON: Okay. Dr. Siegel, any
9 other clarifications on this question 2?

10 DR. SIEGEL: No, that was a thorough
11 discussion. Thank you.

12 CHAIRMAN ABRAMSON: Thank you. Question
13 3, Part 1: As part of post-marketing studies, all
14 three manufacturers have committed to follow between
15 1000 and 2000 patients with RA and to provide the
16 agency with updated information on malignancies
17 annually for a minimum of five years. At five years,
18 the agency will determine whether additional follow-up
19 will be necessary. The yearly update includes numbers
20 and types of tumors based on histology and other
21 standard assessments.

22 Should the companies be asked to obtain

1 additional specific types of information not normally
2 assessed in patient management that could help
3 elucidate the relationship between anti-TNF therapy
4 and lymphoma? What findings would suggest that there
5 be continued active follow-up of this nature?

6 I would just open that up to members of
7 the panel. It does also get to some of the points
8 that Dr. Gibofsky and Manzi were talking about
9 registries. But let's focus first on the companies'
10 commitment over the next five years. Dr. Elashoff?

11 DR. ELASHOFF: Well, while studies of 1000
12 to 2000 patients sound pretty large and, I'm sure, are
13 expensive to do, with respect to the kinds of rates
14 that we think might be of concern and with respect to
15 the total numbers of patients being treated with these
16 drugs, those look rather small.

17 In addition, the five-year may be small in
18 terms of detecting some of the kinds of things that we
19 are concerned about.

20 CHAIRMAN ABRAMSON: So additional
21 registries or patient population cohorts need to be
22 followed in addition to that. Yes?

1 DR. WILLIAMS: We are still not going to
2 have any better idea in five years what the underlying
3 rate is for rheumatoid arthritis, regardless of stage.
4 I don't think that data is going to get any better,
5 because nobody will be untreated.

6 CHAIRMAN ABRAMSON: Right. Dr. Manzi

7 DR. MANZI: I guess I would echo that and
8 just say that, to me, the only advantage of this over
9 the current system is that you are now going from
10 passive to more active, and you are defining a certain
11 set of patients. But you still haven't gotten away
12 from exactly what people have pointed out: first of
13 all, numbers, comparator populations, and all of the
14 other confounding issues that I think much larger
15 registries can help us with.

16 CHAIRMAN ABRAMSON: Can we have a
17 clarification as to how the 1000 to 2000 patients that
18 are being followed have been chosen, since that is,
19 obviously, just a subset of patients being treated
20 with the drugs?

21 DR. SIEGEL: Generally, the number of 1000
22 to 1500 and, in some cases, some more is the follow-up

1 of patients who were recruited into the initial
2 clinical trials for approvals, and then just to follow
3 those patients along.

4 There was no rigorous way of deciding that
5 this was the exact number that should be followed. So
6 we would be open to suggestions about ways of deciding
7 what the appropriate number might be.

8 CHAIRMAN ABRAMSON: So these are people in
9 Phase III trials?

10 DR. SIEGEL: As these products that were
11 being developed, we were concerned that adverse events
12 might emerge with longer durations of exposure. So we
13 have generally advised sponsors to, if possible,
14 enroll patients -- to roll over patients in all the
15 studies into active drug, so that at the time of a
16 potential approval, we would have the largest database
17 that could be had.

18 So it's the control trials but also the
19 other trials.

20 CHAIRMAN ABRAMSON: Right. Perhaps I
21 would be interested to know from each of the companies
22 who those 1000 patients are, if they can just in 30

1 seconds or less describe those cohorts for us.

2 DR. BURGE: Yes. The patients in the
3 etanercept long term follow-up studies are patients
4 from initial, Phase II and Phase III studies and some
5 additional open-label studies that were early on in
6 the development program that those patients have
7 rolled over into longer term extension trials.

8 In addition, we have another cohort from
9 the early RA trial that's gone into open-label
10 extension, and our colleagues at Wyeth have
11 additionally taken the patients in their early trials
12 in Europe and done the same thing. So those are sort
13 of the early clinical trial patients that have
14 extended for a long duration.

15 DR. FISCHKOFF: In the adalimumab clinical
16 program, the 1700 patients that I cited before
17 represent every patient who has ever been in a Phase
18 I, II or III study and has chosen to stay in a long
19 term continuation.

20 DR. DR. SCHAIBLE: Similarly, every
21 patient in a clinical trial is followed through five
22 years, whether they stay on REMICADE or not. Then in

1 addition, we have substantial registries which -- I
2 think you just look at our patients who are in them
3 right now and who we have planned will probably take
4 us close to 20,000 to 30,000 range of patients
5 prospectively followed.

6 CHAIRMAN ABRAMSON: Dr. Gibofsky.

7 DR. GIBOFSKY: I defer to Dr. Elashoff
8 with regard to what extent the number listed here is
9 an appropriate power to get at the incidence and
10 prevalence of lymphoma in other conditions.

11 The other caveat I would offer is, to the
12 extent that the commitment is only for rheumatoid
13 arthritis, as articulated here, I think we are not
14 going to see the complete picture. If anything, we
15 should strongly suggest that this data be collected
16 for all indications for our patients with Crohn's
17 disease, for ankylosing spondylitis, for JRA and so
18 on, and not just for rheumatoid arthritis.

19 CHAIRMAN ABRAMSON: Dr. Williams?

20 DR. WILLIAMS: I have a little concern the
21 way the patients have been selected. I have more
22 comfort with the registry that was mentioned by Dr.

1 Schaible. But if we are only taking patients that
2 were put in the initial studies, those are a selected
3 group of patients that are not going to be equal to
4 the standard patients that are treated with this drug.

5 DR. MAKUCH: I agree with everything. I
6 think that for sample size I couldn't agree more with
7 Dr. Elashoff. Probably she could do her calculation,
8 I could do mine, and we all could. But I imagine it
9 would be in the 5000 to 6000 range.

10 Secondly, responding to the remark just
11 made about what kind of patients get into this, I
12 agree that those in the clinical trials are probably
13 very select. So it's been my experience that I have
14 seen these kinds of studies being done where it is a
15 hybrid. It is composed of both those from the
16 clinical trial experience to get the longer term
17 follow-up fairly immediately, as well as putting in
18 perhaps the same number of new subjects into the
19 clinical trials mix, so that you get perhaps a more
20 general representative group.

21 The third thing about this question, I
22 guess is a recommendation, and it came up with the

1 controls. The control selection really, I think,
2 requires a lot more thought. I don't have an answer
3 to it, but I think that, if five years down the road
4 this were just done, I think we'll all still be
5 looking at one another and still not know quite what
6 to do. So I would really give a lot more thought to
7 what the controls would be.

8 Fourthly, in addition to SEER, I think it
9 would be -- even next year, to do an update, from what
10 Dr. Tarone said, to update the analyses using the 2000
11 data from SEER that would become available.

12 Then finally, if one is doing these kinds
13 of studies, to at least collect the kind of
14 information that perhaps will allow you to better
15 discriminate among different possible other
16 explanations for lymphoma: Again, duration, dose,
17 prognostic factors, concomitant meds, etcetera.

18 So I think that this is -- Question number
19 3 is a good start, but I think it really needs a lot
20 more work. It's a very difficult question, in fact.

21 CHAIRMAN ABRAMSON: I guess, arguably,
22 some of the patients who were followed were the very

1 difficult, more severe RAs which would be of
2 particular interest to follow. Dr. Schaible and then
3 Dr. Burge.

4 DR. SCHAIBLE: Right. I think two things
5 about the registries. First of all, they do, I think,
6 represent a more real life type of patient than you
7 have in clinical trials, but there is also this
8 caveat. That is that the patients who are going to be
9 getting anti-TNF will be more severe than your
10 comparator population. I can tell you, we have looked
11 at the patients in our registries, and in both Crohn's
12 disease as well as RA, you get the more severe
13 patients getting treated with anti-TNF.

14 You may need to develop adjustment factors
15 to adequately analyze those data.

16 DR. BURGE: The question specifically
17 addressed the commitment of this 1000 to 2000 patients
18 for five years, but as we have illustrated in our
19 presentation, we obviously are observing far more than
20 those patients from our initial clinical trials.
21 RADIUS program has 10,000 patients, 5,000 of which are
22 initiating etanercept and 5,000 patients who are on

1 other disease modifying agents.

2 The European registries have close to 2000
3 patients into them now. I think it's around 1600-
4 1700, and continuing to roll all the patients that go
5 onto TNF inhibitors in those countries.

6 So there is a substantially greater effort
7 than just the long term extension trials mandated by
8 the agency at the time of initial approval. In
9 addition, we have -- again, trying to understand what
10 the background epi is in RA has been challenging.

11 Dr. Silman did a great job of representing
12 his view on the current literature, and we are trying
13 to explore that further by doing an epidemiologic
14 study in the Engenics Health Care Program to see if we
15 can shed some more light on this.

16 So I think there are great efforts going
17 on to try and help advance this.

18 CHAIRMAN ABRAMSON: Dr. Silman, do you
19 want to make a comment?

20 DR. SILMAN: Just a brief comment on
21 numbers and power. Unfortunately, it is not entirely
22 analogous to a clinical trial, because even if you

1 have control groups who are not anti-TNF treated, they
2 may have differences.

3 We attempted this exercise in the U.K.,
4 and we came up with a figure of slightly under 2,000.
5 About 1900 subjects followed up for five years treated
6 with anti-TNF would be sufficient to show a doubling
7 in lymphoma risk at five years compared to background
8 RA risk against an RA untreated comparison group.

9 CHAIRMAN ABRAMSON: For our oncologists,
10 would five years solve the issue of latency and give
11 us some comfort that that was an adequate amount of
12 time to see an effect of the drug?

13 DR. KROOK: I don't really think it will.
14 I'd like to make two comments, as long as I answered
15 that question.

16 One, pathology -- I mean, the MedWatch
17 which Dr. Cote showed us -- I mean, we've got 473
18 reports of somehow coding lymphoma, which really only
19 95 are biopsy proven. So what are we going to use?
20 The best control that we have are the clinical trials,
21 and having functioned in oncology clinical trials, my
22 data managers are bugged all the time, both by

1 industry and cooperative groups, to are they alive,
2 dead, what's happened, is there anything new event.

3 I think that, you know, it would be nice
4 to use MedWatch or a group, but I don't know how we
5 are ever going to sort it out in that group when we
6 are not -- you know, to look at all these path slides
7 and then, as Dr. Jaffe said earlier, we were talking
8 that even the nomenclature in lymphoma is changing and
9 may change again.

10 So I think the best group we have are
11 those clinical trials. Now I'm not sure we are going
12 to get more than that.

13 CHAIRMAN ABRAMSON: Dr. jaffe and Blayney,
14 is five years enough to give comfort?

15 DR. JAFFE: I don't think so. Even if you
16 look at the situation of post-transplant lymphoma,
17 post-transplant lymphoma is not one disease. It is
18 multiple diseases. Early on, you see the EBV positive
19 polymorphic B cell lymphomas that can regress
20 spontaneously. Late, you get more monomorphic
21 lymphomas, and you get even T cell lymphomas and gamma
22 delta T cell lymphomas, and probably each of those

1 subsets has different pathogenetic factors.

2 So I think you need very long term data,
3 and I think you have to really look at the cases,
4 because lymphoma is not one disease. I mean, we are
5 talking as though lymphoma is one disease. It is
6 multiple diseases, and you don't know -- You have to
7 sort out what is due to disease, again what is due to
8 treatment, and what is due to background noise.

9 DR. BLAYNEY: I would certainly defer to
10 Dr. Jaffe on that point. I don't think we know.
11 There are, as she says, many different diseases, but
12 it is worth pointing out that lymphoma is, as an
13 oncologist, one of the diseases which we do quite well
14 at. Even if we don't get rid of the
15 immunosuppression, we do put into remission a fair
16 number of these patients.

17 So again, it is quite different from the
18 secondary leukemias that are seen and secondary lung
19 cancers that are seen after radiation. So bearing in
20 mind that the risk of death from lymphoma is not 100
21 percent.

22 CHAIRMAN ABRAMSON: Dr. Elashoff.

1 DR. ELASHOFF: I just wanted to make a
2 comment about the value of the registries. We saw
3 some figures for one registry about eight percent
4 attrition per year. Registries are only really
5 valuable if the patients that you get into them stay
6 in them for long enough so that you really have long
7 term data on each patient.

8 If you get a lot of patients in and then
9 they are lost to follow-up after six months, then you
10 never get much more than six months information on
11 people, no matter how many patients are in. So the
12 whole issue of keeping the attrition rate low is
13 extremely important to the potential value of any
14 registries.

15 CHAIRMAN ABRAMSON: Dr. Manzi.

16 DR. MANZI: I think I would just like to
17 make -- I agree with you about attrition, but I also
18 think it takes a tremendous amount of support,
19 financial support, to keep these registries intact for
20 long periods of time.

21 I credit Dr. Wolfe and other people who
22 have tried to do this, but it takes a commitment on

1 whoever is going to support it to have the staff
2 available to get all the lost to follow-ups and
3 accuracy in biopsy reports and everything that we are
4 talking about that is critically important. I think,
5 to their credit, they are doing probably a lot of this
6 without the full support that it takes to do it.

7 DR. COTE: I'd like to concur with my
8 colleagues who are also reticent to cut things off a
9 *priori* at five years. I think there's some wisdom in
10 that, because other information from transplants, to
11 AIDS, to atom bombs have all shown that there are very
12 late term effects.

13 I think therein will lie the real answer,
14 is in long term cohort studies, but I'd like to bring
15 the committee back for just a moment to this, the
16 MedWatch program, the 158 cases of lymphoma that we
17 know that do exist and for which we have very poor
18 information.

19 What kinds of information shall we -- Is
20 the juice worth the squeeze to go back and get the
21 kinds of histology information, perhaps secure blocks
22 and slides, perhaps do testing for EBV, perhaps find

1 out those kinds of questions that were brought up
2 earlier in the day in terms of latency, between times
3 of treatment that were begun and times of development
4 of lymphoma? Is it worth mounting an effort to do
5 that or requesting sponsors to do that at this time?

6 CHAIRMAN ABRAMSON: Before we address
7 that, Dr. Williams had a comment. Then we will come
8 back to that.

9 DR. WILLIAMS: We hear talk about
10 comparator groups and control groups, and there won't
11 be any. We are much more aggressive in our treatment
12 of rheumatoid arthritis, and these are the best agents
13 we have. So anyone who doesn't respond fairly
14 dramatically to other agents are going to end up on
15 these agents. So there really aren't going to be a
16 good control group.

17 DR. KROOK: It's going to be historical,
18 if any.

19 DR. WILLIAMS: We've heard the historical,
20 and it hasn't been adequate for us today.

21 CHAIRMAN ABRAMSON: Right. I think just
22 my own response to the question is the MedWatch is a

1 good way to maybe pick up a signal, but probably not a
2 good place to go looking, digging for more data, since
3 we have, in my own view, more sophisticated ways to do
4 that.

5 I wonder, you know, between the Tennessee
6 Medicaid database, this ARAMIS -- there's so many
7 large clinical population medical care databases now,
8 and I ask Dr. Siegel or a representative from the FDA,
9 how is the FDA using these large population medical
10 care databases to capture this information?

11 DR. BRAUN: We had a Request for Proposal
12 that went out somewhere around a year ago at the FDA,
13 and we are contracting with the UnitedHealthcare which
14 is a nationwide medical care reimbursement insurance
15 organization, and using its claims database, we are
16 going to try to look at some of these questions, these
17 adverse events that have been discussed today.

18 Roughly -- This is very rough -- there is
19 around 4 million covered lives, but it is very
20 instructive when you get into these databases and look
21 at the number of patients who are taking the biologic
22 agents for rheumatoid arthritis. They become very

1 small. It's amazing how you can start with 4 million
2 covered lives, and you find 1000 or 2000 patients who
3 are taking -- who are on etanercept or on infliximab.

4 You know, the adalimumab has not hit the
5 really -- hit those kind of databases yet. So that is
6 really a blank. It's very challenging, and as was
7 mentioned, it is also expensive, certainly for us,
8 because we don't have a large research budget. But we
9 are trying to obtain independent data, as was
10 mentioned, real world use of the products.

11 We have already -- I think we are
12 confident that we will be able to demonstrate some
13 results, but we won't be able to easily, if at all,
14 answer these kind of questions, say, about can we
15 demonstrate an increased risk of lymphoma or not
16 definitively in patients on biologic agents versus
17 some comparator, say, a methotrexate treated group.

18 This is an ongoing project that we have,
19 and it is something that we can try to obtain
20 independent information from.

21 CHAIRMAN ABRAMSON: Dr. Blayney.

22 DR. BLAYNEY: I think the insights are

1 going to be on a biologic level. As was pointed out,
2 both by our pediatric colleague and Dr. Williams,
3 people are going to get this medicine earlier in the
4 course of the illness and, hopefully, improve
5 morbidity, but that also gives them a longer chance to
6 develop some of these untoward side effects.

7 I think that the juice is going to be on a
8 biologic level and find out either who is at risk for
9 these and how to treat them. We are not going to have
10 a control group. I think the work of epidemiology is
11 done. It now needs to move to the laboratory and our
12 bench colleagues.

13 CHAIRMAN ABRAMSON: Dr. Williams, then Dr.
14 Burge.

15 DR. WILLIAMS: Involving the question of
16 juice and squeeze, I think that if what we are seeing
17 is that the lag time is 600 to 800 days, we probably
18 won't learn anything, and we'll come back with SIRs of
19 5, and we won't know anymore than we know now.
20 However, if we are seeing the beginning of a group of
21 patients that will develop lymphoma as a result of
22 these therapies, then we may see higher results, and I

1 think it is still worth looking at it so that we are
2 not missing something bigger.

3 DR. BURGE: I just wanted to respond to
4 Dr. Cote in that what's the value? You know, is there
5 value in going after this? Our personal bias is that,
6 certainly, more information is better, and there's
7 multiple avenues by which you can get data, clinical
8 trials certainly, registries certainly, doing some
9 work with epidemiologic work.

10 We actually feel it is also hugely
11 valuable to try and pursue and get as much information
12 as we can on these cases in post-marketing. We
13 developed a standardized worksheet to go after
14 specific issues on things like lymphoma, and we have
15 been very successful at it.

16 We have obtained 70 percent of the
17 histopathology reports. Again, that's not 100 percent,
18 but certainly having more data is much more helpful in
19 interpreting the situation than having less, and then
20 when you can put all these pieces of the puzzle
21 together, the clinical trials and the registries and
22 your data from your post-marketing, we get a much more

1 complete picture.

2 So we think that it is not only useful,
3 but it i s feasible to pursue, and again we are not
4 going to get 100 percent of it, but it is very
5 helpful.

6 CHAIRMAN ABRAMSON: Thank you. So the
7 question, just to go back to the question: The
8 companies are already following 1000 to 2000 patients
9 and have registries of various kinds. Should the
10 companies be asked to obtain additional specific types
11 of information than what is already being collected?

12 I wonder if there is a comment from the
13 committee?

14 DR. WILLIAMS: Again, we have already
15 mentioned this, but all these patients come from
16 trials, and I think the registry done by Centocor is
17 going to probably give us more information. We need
18 to get some patients who are not selected for the
19 early trials.

20 CHAIRMAN ABRAMSON: Dr. Weiss.

21 DR. WEISS: Though it sounds like from the
22 comments that came out as part of these discussions,

1 there's certain things that maybe it will be difficult
2 to do in the post-marketing passive system, but to try
3 to be a little bit more proactive in terms of things
4 like the EBV association, things that there might be a
5 window of opportunity to try to collect, or it's
6 better to collect it up front than to try to go back
7 maybe and hunt up this information.

8 So I'm just wondering about with this
9 ongoing -- you know, either the registries or these
10 long term extension studies, to go back and look and
11 make sure that there is active case report forms that
12 actually specifically have places to try to fill in
13 the blanks with respect to things. And there
14 generally are, but for things like concomitant
15 medications or duration of treatment, but other things
16 that are more difficult maybe like other concomitant
17 medications, prior medical -- prior types of
18 treatments, the EBV in particular, which may or may
19 not always be collected.

20 I just want to know if the committee
21 thinks it would be good to just sort of relook at what
22 is being collected now in either these registries or

1 these extension studies that are going on, to just try
2 to make sure that we get the biggest bang for the buck
3 with those data.

4 CHAIRMAN ABRAMSON: I take it at this
5 point, there's not been any standardization of the
6 various registries by the FDA at this point. Is that
7 correct?

8 DR. WEISS: The FDA isn't really -- you
9 know, isn't running them, and we ask the companies to
10 collect information and then, as you see, they have
11 all gone on beyond just these open label extensions
12 and developed registries of different kinds.

13 I mean, we haven't looked specifically to
14 make sure that every case report form or every type of
15 questionnaire is exactly the same. We certainly have
16 highlighted that we are particularly interested in
17 infections and malignancies and lymphomas, and that's
18 been sort of the standard kind of theme throughout all
19 of these.

20 CHAIRMAN ABRAMSON: Dr. Ilowite.

21 DR. ILOWITE: Having worked with one or
22 two of the registries, one of the problems with the

1 registries is that, if they start a different biologic
2 treatment, they are automatically kicked out of the
3 registry, at least in the one I've been involved in.

4 Of course, that's just the kind of
5 information we don't want to lose, someone who has
6 been exposed to a series of biologic agents. So it
7 would be nice to have cooperation among -- and
8 coordination among the various registries.

9 DR. GIBOFSKY: I think that's an important
10 point, Dr. Abramson, that you began and that Dr.
11 Ilowite followed up on. That is, while ideally it
12 would be nice to have one registry as per Dr. Silman
13 told us. The reality is there are half a dozen of
14 them or so, and to what extent we can strongly urge
15 that there be common data collection by whatever
16 format is being used for that collection, but common
17 data collection of a common dataset that can be mined
18 across studies, I think that would go a long way
19 toward answering many of the questions that we have.

20 CHAIRMAN ABRAMSON: This could be a good
21 role for the ACR, some professional organization to
22 develop a collaborative effort with these outcomes.

1 DR. GIBOFSKY: If Dr. O'Dell is still in
2 the room, perhaps he would like to speak to his
3 experience in trying to get that project going. Or
4 not.

5 DR. WOLFE: Actually, Dr. O'Dell did try
6 to get it going, and it was the NIH that expressed
7 disinterest in projects that were not hypothesis
8 driven, and that's what really killed it. So
9 everybody should know that, I think.

10 If you want to really know how to do it,
11 you need to ask Dr. Silman who is doing it -- who is
12 enrolling all patients and doing it really correctly,
13 because he has -- The nature of the support he had and
14 the nature of the government support is such that
15 that's the way to do a study.

16 Now speaking for registries, the national
17 databank that I run is not a REMICADE registry. It's
18 a databank of all patients with rheumatic diseases,
19 and we take them all, whether they are on drugs or
20 not, and we continue them, and we follow them, and we
21 get all medications, and we try to follow them over
22 time.

1 I think one of the things that I think
2 isn't clear from here is that what really is needed to
3 collect. My experience with this is that the target
4 moves. When the drugs first came out, no one quite
5 knew that there was a tuberculosis, and two years went
6 by before suddenly everybody wanted to know about
7 tuberculosis, and then congestive heart failure came
8 up last year.

9 It would be very helpful, I think, if
10 there were some sort of a conference for database
11 managers to try to understand how best to collect it
12 and what needs to be collected as a very minimum.

13 Having said all of that, it is
14 extraordinarily difficult to get this information,
15 because you have -- Up to now, you have had -- You
16 need patient consents for every single thing.
17 Beginning April 1, the world is going to change, and
18 if you think that it's difficult now, it is going to
19 be very, very difficult to get this sort of
20 information.

21 I think it is because no one has really
22 quite understood the need for it or defined the need

1 for it that has really made it hard; and if we all got
2 together and perhaps defined what we want to collect,
3 that would help a great deal.

4 CHAIRMAN ABRAMSON: Dr. Weiss, any further
5 information on this question?

6 DR. WEISS: Dr. Anderson.

7 CHAIRMAN ABRAMSON: Dr. Anderson, one
8 comment.

9 DR. ANDERSON; I'd just like to make a
10 comment. I think that the work that Dr. Wolfe has
11 been doing is just admirable in setting up his data
12 bank, but in addition, I think that, in addition to
13 all the clinical information, you really need in these
14 databanks information of a more health services type.

15 I would hope you wouldn't have to have too
16 much of it, but just to know -- You know, the reasons
17 for starting and stopping drugs aren't all clinical,
18 and some of them have to do with whether the patient
19 can pay for the drug or not or whether there are
20 reimbursement mechanisms available to them for paying
21 for the drugs. So that these factors may have quite
22 substantial effects on drug choices and, I think,

1 should be considered in the analyses.

2 MS. McBRAIR: As a consumer rep, I think
3 this would be extremely valuable data, and people with
4 rheumatoid arthritis would be very grateful to have
5 information that would be collected on them as people
6 that have a very serious disease. I think Dr.
7 Anderson's comment about the additional information to
8 be collected is also important.

9 Rheumatoid arthritis has had its first
10 focus because of these new biologics. It really
11 wasn't studied very much as far as -- or didn't have a
12 lot of answers to help people. So I think this has
13 been absolutely wonderful that there are some
14 biologics medications that can help.

15 I think we need to learn more, and a
16 national database would certainly provide us with some
17 wonderful information that would be helpful to all of
18 us.

19 CHAIRMAN ABRAMSON: Before we move to the
20 next question, I think a historical note is important,
21 because you always want more data, but I think both
22 the FDA and the companies need to be commended;

1 because I remember in 1998 we were worried that there
2 wouldn't be follow-up and databases, and the FDA
3 mandated. I think the companies even went beyond what
4 was mandated, and we have a lot of information and a
5 lot of new insights into this disease, even separate
6 from this particular toxicity, that came as a result
7 of this interaction.

8 So I think that's just a historical note
9 from someone who was here five years ago.

10 Let's get to question number 5: Please
11 discuss how best to communicate information about
12 lymphomas to health care providers and patients. For
13 each of the respective product labels, please discuss
14 how the agency should present the data on the observed
15 incidence of lymphoma, the degree to which the data
16 suggest an association, and the degree of uncertainty
17 about the association. Should the standardized
18 incidence ratio with respect to the general population
19 be presented? Should the SIR with respect to the RA
20 population be presented? Should labels be similar for
21 each product?

22 Before we tackle that specifically, Dr.

1 Siegel, can you just briefly give us -- remind us what
2 the specific labels are right now? Remember, the
3 HUMIRA label was fairly explicitly discussed, but to
4 address this question it would be nice to know.

5 DR. WEISS: Well, we handed out -- We
6 don't have an overhead or a slide of this, probably
7 because it is so difficult to do. We handed out
8 copies of the label.

9 I want to make a comment, that I hope you
10 appreciate the difficulty of getting the entire label
11 on one page, front and back, on a very large piece of
12 paper, but we managed to do that. It took some time
13 and maneuvering. So I hope you appreciate that, so you
14 don't have stacks of paper to look through.

15 We have wording -- Actually, Abbott
16 provided the wording -- the label for HUMIRA in their
17 packet. I want to point out that that's the one --
18 because it's the newest information and because we
19 had, adding onto the HUMIRA experience, the experience
20 with infliximab, and with etanercept, to some extent,
21 in our background, we had more information in the
22 HUMIRA label with respect to malignancy and lymphoma

1 than we have in the other labels currently. But that
2 is one type of question that we want to put to the
3 committee, and we certainly talked to both Centocor
4 and to Amgen about ways to update the label.

5 Everybody has been receptive to it. It's
6 just a matter of trying to find the right balance. I
7 don't know if would help to read what we have, if you
8 want me to do that, so that the audience can hear it.

9 I know the committee -- It is very small print, but
10 we provided information in the warning section for the
11 HUMIRA label on malignancies.

12 It says: "Lymphomas have been observed in
13 patients treated with TNF blocking agents, including
14 HUMIRA. In clinical trials, patients treated with
15 HUMIRA had a higher incidence of lymphoma than the
16 expected rate in the general population." Then it
17 refers to the adverse reactions.

18 "While patients with rheumatoid arthritis,
19 particularly those with highly active disease, may be
20 at higher risk, up to severalfold, for the development
21 of lymphoma, the role of TNF blockers in the
22 development of malignancy is not known."

1 Then we also have a section -- If you go
2 to the adverse reactions section, we have a little bit
3 longer description in the adverse reactions section,
4 actually more on the data.

5 We say in the adverse reactions under a
6 section called "Malignancies: Among 2,468 RA patients
7 treated in clinical trials with HUMIRA for a median of
8 24 months, 48 malignancies of various types were
9 observed, including 10 patients with lymphoma. The
10 SIR for malignancies was 1.0," -- and we give the
11 confidence intervals -- "and for lymphomas was 5.4" --
12 and we give the confidence intervals. "An increase of
13 up to sevenfold in the rate of lymphomas has been
14 reported in the RA patient population, and may be
15 further increased in patients with more severe disease
16 activity. See Warnings."

17 Then we describe some of the other types
18 of malignancies that were seen in the HUMIRA database.
19 Several-fold -- that is up there. Thank you, Abbott.

20 CHAIRMAN ABRAMSON: So let me just
21 reframe, if I may, this question, which is that, in
22 two parts, how best to communicate this information,

1 and then in essence, should the label pretty much for
2 the other drugs be comparable to this? I think, if
3 somebody would like to open the discussion -- Dr. Day
4 has particular expertise in this area. I'd like to
5 begin with her.

6 DR. DAY: I'd like to comment that, if
7 there is the decision to go with one of the ways to
8 represent the data, the SIR or something else, then it
9 would be useful to have it be the same across all.
10 Although a highly trained and specialized physician
11 may know how to use all of them, it makes it very
12 difficult to compare across labels when there's
13 different forms of representation.

14 This question is basically a three by
15 three. We have the three products by the three ways
16 to represent information, and we have to consider what
17 the nature of the data are in each case and whether
18 specific information should or should not be provided.

19 Once that is done, if we could agree that there is an
20 appropriate way to represent the information, that
21 would move us along quite a bit, but I would speak
22 very strongly for the same method or format of

1 presentation of the information across labelings for
2 these comparable drugs, especially since the same
3 physicians will be looking at all of them.

4 CHAIRMAN ABRAMSON: So let's stick with
5 that part of the question. Does anyone else want to
6 address whether these labels should be different from
7 the HUMIRA? That's one aspect of this. Dr. Williams?

8 DR. WILLIAMS: I don't think they should
9 be different. I think they should be the same, and I
10 thought that the statement under the warnings was
11 applicable to all three.

12 When you get under adverse events, it was
13 specific to adalimumab, but under warnings could have
14 been to all three.

15 CHAIRMAN ABRAMSON: Anyone disagree with
16 Dr. Williams?

17 DR. DAY: May I ask a question? I notice
18 that there are boxed warnings for two out of the
19 three, and if this -- We always have to decide not
20 only what is the information but where shall it go.

21 CHAIRMAN ABRAMSON: Right. So the boxed
22 warning pertained to tuberculosis. Is that what you

1 mean?

2 DR. DAY: Right. But if we should decide
3 that this should be in a boxed warning, there would be
4 implications -- as opposed to the warning section.

5 DR. WILLIAMS: I would argue against the
6 boxed warning on the data that we have right now. I
7 think what is stated there is enough to state that
8 there is a concern, but we don't know anymore about it
9 than what's --

10 DR. DAY: And I would agree with that.
11 I'm just trying to focus in.

12 DR. SIEGEL: I'd like to thank the panel.
13 It was a very helpful discussion. I just wanted to
14 maybe provide a little history and just raise one
15 concern.

16 We mentioned that, when we craft language
17 for labels, that we do it based on the data we have,
18 and the datasets for the first two approved TNF
19 blocking agents was more modest, and we couldn't make
20 as many conclusions or as many calculations.

21 With the database that was available for
22 adalimumab at the time of its approval, we had much

1 more information. We could calculate an SIR with
2 reasonable confidence intervals, and face the question
3 of what to do with it.

4 We thought that the kind of wording that
5 was used in the previous labels probably clearly
6 didn't contain all the information that we had for
7 adalimumab, and we crafted the language for adalimumab
8 based on this additional information.

9 Now having gone back with the other
10 products to collect this information, we need to make
11 a decision about how those labels should be done, and
12 I think the committee has given us good advice on
13 that.

14 I do want to bring up one issue here,
15 which is that one of the confounding variables is the
16 activity and the duration of disease, and there is
17 some thought that these factors may substantially
18 impact the background rate of lymphomas.

19 Some people have raised a concern about a
20 hypothetical company developing a new product who
21 selectively studies their product only in very early
22 disease or people with mild disease, who might end up

1 with a lower SIR potentially based on recruiting
2 patients with less active disease and then being at
3 some kind of -- in a different situation when it came
4 to incorporating that language in the label.

5 Is there additional information that we
6 should include in the label -- for instance, the
7 average disease activity or the median disease
8 activity in terms of, for instance, acute phase
9 reactants at the time of beginning the product, the
10 duration of disease before bringing in the product,
11 anything like that that would be helpful to provide a
12 common metric?

13 CHAIRMAN ABRAMSON: As a physician who
14 tries to read these labels from time to time, the less
15 you put in, the better, if it doesn't really add that
16 much value. I think -- Not to be facetious, I think
17 since we don't know for sure what that information
18 means yet, probably the simpler, the better for the
19 physician being able to digest what is going on.

20 DR. WILLIAMS: Also, the milder the
21 disease, the harder they are going to be able to show
22 disease modification, too.

1 DR. BURGE: Hello. I was just going to
2 say, again, we do have a substantial database. Again,
3 I know everybody would like to have an enormous, 30
4 million patient years of exposure, but we have a
5 substantial clinical database. It is continuing to
6 grow. We've got five to six years of clinical
7 experience, four and a half years of commercial
8 experience, and we do believe it is very important to
9 communicate the data that we have in our package
10 label, and we proposed a label addition in the fall of
11 last year.

12 I'm sure that a lot of this -- We've been
13 discussing this with the agency, and a lot of it was
14 awaiting this discussion we would have here. It is
15 certainly our position that we believe that products
16 should be individually assessed, and they should be
17 assessed on their data and, when discussing the
18 appropriateness of the label, should reflect the data.

19 We personally, with our SIR in the 2 to 3
20 range, don't believe that the data from the etanercept
21 experience elevates it to a warning in the label, and
22 would just like to make that statement. But we do

1 believe it is very important to communicate this, and
2 we are in this active negotiation and discussion with
3 the agency to move this forward.

4 DR. LEFKOWITH: I wonder if I could
5 comment. I wanted to follow up on Dr. Siegel's
6 questions and comments that we have heard from Doctors
7 Makuch and Tarone.

8 I think it is fair to put into the label
9 the data that are derived from the clinical trials.
10 The issue, however, is whether or not all SIRs are
11 created equal, if you will.

12 I believe that, given the range of SIRs
13 that are possible within the RA population, from one
14 to 26-fold, small changes in trial population may make
15 an enormous difference. Whereas, it may be
16 informative to portray the SIR within the label,
17 merely indicating the lack of -- without appropriate
18 context, it may be hard to compare the rates, and
19 physicians may make wrong comparisons.

20 I believe there is precedence within
21 labels to state specifically that, that rates derived
22 within different products in different trials cannot

1 be directly compared. I think that is more
2 informative to physicians than simply stating a rate
3 and stating that it means something, and having them
4 draw inappropriate conclusions.

5 DR. VOSE: My name is Julie Vose. I am a
6 lymphoma specialist from the University of Nebraska
7 Medical Center, and I would just like to comment on
8 the SIR.

9 I am usually on the receiving end of
10 things that go on after patients have received
11 different products in patients that have RA, but I
12 think in patients that have RA, we know that there is
13 a background rate that's there, and the oncology
14 literature would say between 2 to 2.5, and that's very
15 consistent with what we've heard today.

16 I think it is very important for us when
17 we are treating our patients to look at the products
18 that we are trying to compare, and the SIR is a very
19 good way to do that across products, but also to keep
20 in mind that we need to know what the background rate
21 in RA patients is in that context, and also to the
22 extent that the patients have with respect to their

1 disease status, and certainly the more severe patients
2 would have a worse set disease and SIR.

3 So we need to keep that in mind. And I
4 would be in favor of putting that in the label, but
5 the data that we have is not conclusive that that is
6 necessarily a causational. So I think I would be
7 against putting it in a warning box *per se*. Thank
8 you.

9 DR. SCHAIBLE: I would just mention there
10 is some precedence here in how immunogenicity is
11 labeled, and that there is statement in labeling on
12 immunogenicity rates that these rates cannot be
13 compared from one product to another because of a
14 number of confounding factors, which I think we also
15 have here in terms of the nature of the population
16 studied and the fact that one or two lymphomas could
17 make a huge difference in the estimate of the SIR.

18 DR. GIBOFSKY: Mr. Chairman.

19 CHAIRMAN ABRAMSON: Yes.

20 DR. GIBOFSKY: I think, as important as it
21 is to determine what we put where in the label, I
22 would hope we don't lose sight of the fact the

1 question asked is how best to communicate, and if the
2 label is going to be the only place that we put it, we
3 are missing a wonderful opportunity to get information
4 out to the physicians and to our public.

5 I think we should be thinking in terms of
6 rapid communication such as the ACR hotline, sister
7 publications with the AGA, and primary care
8 specialties who take care of our patients,
9 communications through our patient representative
10 organizations like the Arthritis Foundation and the
11 Crohn's and Colitis Foundation we heard from today.

12 I think the label is one important place,
13 but we should not spend an inordinate amount of time
14 trying to put 2 point font into 5 point boxes and miss
15 the opportunity to give the bigger message.

16 CHAIRMAN ABRAMSON: Okay. So just to
17 follow up on Dr. Gibofsky's point, we should go to
18 follow up the discussion how best to communicate. But
19 before we move to that, I'm wondering if the FDA has
20 any comments about the specific issue of the label
21 from more opinion from the committee at this point?

22 DR. WEISS: No. I think that we heard

1 some very good advice. We struggle a lot with coming
2 to labels and to updates on labels all the time.
3 Agree, it's not the only or perhaps not even the best
4 way of communication. It is has what the FDA has
5 jurisdiction and control over. A lot of the other
6 methodologies that were described are very, very good,
7 but not ones that we mandate or have any particular
8 say-so in, other than, you know, the label and Dear
9 Health Care Provider letters as our main ways of
10 trying to communicate, as well as things like any
11 publications that have been done in this area and
12 presentations. But the issue of whether or not
13 there's identical label for similar products or
14 different, and we try to explain, for instance, with
15 the tuberculosis and infections, there are some
16 differences based on the data that we saw, but there
17 are other times, perhaps this being one of them, where
18 the data may be different but may not be, because of
19 some of the uncertainties and immaturity.

20 You know, again it's not an easy question,
21 struggling to be fair and balanced with presenting the
22 data. That's basically a comment I wanted to make.

1 But I very much appreciate the discussions and advice
2 we have received thus far.

3 CHAIRMAN ABRAMSON: Yes, Dr. Anderson?

4 DR. ANDERSON: Yes. I appreciate that
5 there's not much room on this label to put anything
6 extra. But it would be -- and maybe these other
7 avenues of communication would be the place for this.

8 But I think it's not enough just to have SIRs. I
9 think you need the absolute risk, you know, the excess
10 risk, because an SIR can be misleading to people who
11 don't appreciate just how low the baseline risk is.

12 So when other means of communication are
13 used, then I think both ways of describing the risks
14 should be included.

15 DR. TARONE: I'd just like to reiterate a
16 comment I made in my presentation. I'm not really
17 sure exactly what has been decided about what to put
18 in the label, but I want to make the point again that,
19 from a statistical point of view, there is no
20 difference between the SIRs that have been reported.

21 Quite frankly, given the severity of the
22 rheumatoid arthritis in the clinical trials for

1 adalimumab, I would have been stunned to see an SIR of
2 2.3. I would have been stunned. It's not consistent
3 with what is known about patients with serious RA
4 disease.

5 These SIRs are not significantly
6 different. I don't know how you can put them in
7 without having some indication of the variation.
8 Again, I don't think confidence intervals are well
9 understood. It's a serious issue.

10 I think the most serious issue is how you
11 get across the fact that there is variation in these
12 estimates that you expect to see, and they are not
13 comparable just from a statistical point of view.
14 There is no significant difference.

15 So it will be misleading, I think, to put
16 in the individual SIRs and just have them there for
17 people to see.

18 CHAIRMAN ABRAMSON: I would think that is
19 also the sense of the committee, that if the SIRs are
20 included, there has to be a very clear statement that
21 there is no way that one can compare one agent with
22 another based on these numbers, and that more

1 information is really required.

2 DR. WILLIAMS: In fact, my recommendation
3 was they use the warning statement which was very
4 generic and did not have SIRs in it, because it stated
5 there was a risk and we didn't understand what the
6 risk was.

7 DR. WEISS: Just to comment generally. In
8 the hierarchy or the labeling rules, we generally put
9 in information in a more descriptive term like you saw
10 in the warning statement, and then usually specific
11 data in the adverse reactions. That's generally sort
12 of how the labels are set up. So that's sort of the
13 reason why you saw the format that you did for the
14 HUMIRA label.

15 CHAIRMAN ABRAMSON: Okay. So just to
16 finish this segment and to pick up on what Dr.
17 Gibofsky had started, what is the best way to
18 communicate this information? Are there other
19 suggestions in addition to what Allan raised? Yes?

20 MS. McBRAIR: This isn't exactly a
21 suggestion, but I think it is important not to scare
22 patients. People with rheumatoid arthritis have been

1 forever grateful for these medications, and I don't
2 think anything that we've heard today is going to keep
3 them from these. They have been wonderful.

4 So we just don't want to scare them
5 either. They need to be vigilant. The physicians
6 need to be vigilant. The patients need to be educated
7 on how to be vigilant, and that seems to be the most
8 important piece here for me.

9 DR. KROOK: Just a comment. As was said
10 before, that most of the people who are getting these
11 drugs are taking care of by sub-specialists. Somebody
12 said 90 percent. So whenever, at last in my
13 specialty, you sit down and say the side effects and
14 the whatever, I think we depend on the physician, and
15 if these are mostly all rheumatologists, then it's
16 through their societies and through whatever that this
17 would be done.

18 I think I heard 90 or 92 percent were
19 prescribed by rheumatologists. So those are the
20 people that should be to.

21 DR. BLAYNEY: I think the other comment to
22 make about the label, and it may be obvious, but

1 that's what the people -- the sales force who calls on
2 me uses. I would -- Any difference is going to be
3 brought to my attention, regardless of how carefully I
4 read the label.

5 CHAIRMAN ABRAMSON: So the best way to
6 educate doctors is to make one better than the other.
7 Dr. Siegel.

8 DR. SIEGEL: The other part of question 5
9 was whether the SIR, with respect to the general
10 population, should be used. And then whether the SIR,
11 with respect to the RA population, should be
12 presented.

13 I wonder if we could get some specific
14 comment on that. If it should, what would you use as
15 the expected rate in the RA population? Would you use
16 2.2, and what about varying rates with different
17 levels of disease?

18 I understand the difficulties, but it
19 would be helpful to have some comment.

20 CHAIRMAN ABRAMSON: I think it's
21 important. I think everyone would agree that it is
22 important that the RA SIRs be in there, and that the

1 range for severe disease be noted, can be at this
2 level and even higher, because that is the only
3 context that this information can be dealt with, I
4 think. I don't if people have different comments on
5 that.

6 DR. DAY: I'm wondering if the people who
7 are concerned about providing the SIR have more
8 comfort in thinking about having them provided for
9 both the general population and the RA population.
10 Would that not ameliorate their concerns?

11 DR. ELASHOFF: I'm not quite sure I
12 understand. Are you talking about saying the SIR as
13 observed in these trials and the SIR for RA compared
14 to the general population from prior epidemiology
15 data, or are you talking about letting people divide
16 the one by the other, which I would be strongly
17 opposed to?

18 DR. SIEGEL: One possibility -- and it
19 would be very difficult to calculate and very
20 problematic -- would be to say "the appropriate
21 comparator for calculating an SIR would be a
22 comparable patient population, namely a rheumatoid

1 arthritis patient population."

2 To do that, you need to have an estimate
3 of what you would expect the rate would be in that
4 patient population, and you could calculate an SIR
5 based on those assumptions. If it was twofold higher,
6 say, than the general population and you calculated
7 that the RA population was twofold higher, you would
8 call that SIR 1 perhaps.

9 That would, of course, be very
10 problematic, because it depends on what you choose as
11 the SIR for rheumatoid arthritis compared to the
12 general population. So that is really what we are
13 asking, if you are comfortable with the way the HUMIRA
14 label, for example, is currently expressed or if you
15 think it should be done in relation to the RA
16 population.

17 DR. WILLIAMS: I personally think using
18 SIR is going to be more confusing than it is going to
19 be helpful to the average physician or person that
20 reads the label.

21 DR. DAY: What would you recommend
22 instead?

1 DR. WILLIAMS: I don't know, but I had to
2 educate myself on this for this panel, and I didn't
3 know about SIRs before we got into this panel, and I'm
4 just thinking that there are so many areas that we
5 have discussed and so many variations that you are
6 going to end up with quite a long statement if you
7 have to explain the SIR in the normal population and
8 the SIR in the rheumatoid population and the SIR in
9 the patients who have had lymphoma.

10 CHAIRMAN ABRAMSON: Currently, in your
11 label for HUMIRA you do say that the RA SIR is higher
12 than the normal population. You cite a reference, and
13 that may be sufficient. Dr. Boscia.

14 DR. BOSCIA: Dr. Abramson, I'm going to go
15 out on a limb a little bit here. I'm going to get a
16 little provocative. I'm outside my area of expertise,
17 because I'm an infectious diseases trained physician,
18 but this committee is very familiar with NSAIDs and
19 Cox 2 inhibitors. I mean, you deal with them all the
20 time. You've dealt with them in the past.

21 It's my understanding that for NSAIDs and
22 then even when the Cox 2 inhibitors became available,

1 that the incidence of GI bleeds has basically been
2 registered as a range for the different products, and
3 it's done that way, I think, partly to prevent one
4 competitor from differentiating themselves from
5 another competitor based on noncomparative data.

6 I think it's been pretty much agreed that,
7 in order for a competitor like a Cox 2 inhibitor to be
8 able to differentiate itself from an NSAID in GI
9 bleeds, they've got to do a very large comparative
10 trial or some sort of trial to show that difference.

11 So because we don't have comparator data
12 in comparative trials, and because the populations
13 have been so different in the trials in some instances
14 -- and that was one of the reasons why I put up our
15 early RA study versus our DMARD resistant study,
16 because there were no lymphomas in early RA and there
17 were four lymphomas in DMARD resistant RA.

18 I'm just wondering if -- I said I was
19 going to be provocative -- if it would make the most
20 sense to list a range for the different competitors.
21 I just thought I would mention it.

22 CHAIRMAN ABRAMSON: I think, in the case

1 of the NSAIDs, they all have the class statement that
2 they may all cause GI toxicity, and I'm not sure that
3 that's necessarily -- that that statement should make
4 a better range is pertinent to this discussion.

5 Dr. Paulus.

6 DR. PAULUS: I'm Hal Paulus. I'd rather
7 not see any SIRs in the label or risk ratios,
8 particularly for these rare events. If I'm a patient,
9 I don't want to know if I'm twice or ten times more
10 likely to get something than somebody else, if I don't
11 know what the likelihood is that somebody else is
12 going to get something.

13 So what you would like to know is, if I
14 start this drug, what's the chance that I'm going to
15 get a lymphoma. You can say that for the general
16 population the chance of developing a lymphoma at
17 sometime in their life is one out of 1000 or one out
18 of 10,000, and for patients with rheumatoid arthritis
19 it's one out of 500, and with this drug it's in the
20 range of the RA population or whatever range it is.

21 Then the patient can say, well, I'd take a
22 chance of one out of 500, because I think this stuff

1 works. But if you tell them that the SIR is 5.6, they
2 don't have the foggiest idea what it means, and the
3 doctor doesn't know either.

4 DR. GORE: My name is Jeff Gore. I work
5 at Wile Medical College of Cornell University in New
6 York, and I was a member of the steering committee for
7 RENAISSANCE, and I look at -- I evaluate drugs from
8 time to time.

9 I'd like to make an observation here that
10 may be worth thinking about. You all know this, but
11 I'd like to state it anyway, and it's a follow-on to
12 something Dr. Boscia said a few minutes ago.

13 He pointed out that the populations that
14 are studied with the different agents are different
15 and, therefore, it is hard to compare them and lump
16 them together when you talk about writing a label.

17 I think another point has to be made, and
18 Dr. Siegel made it earlier, but I want to state it in
19 a different way. When you have substantially
20 different molecules, two substantially different
21 molecules, and they happen to share one
22 pharmacological effect, if you think of it that way --

1 in this case, doing something to block the effect of
2 TNF alpha -- when they share one pharmacological
3 effect, it doesn't mean that they share any other
4 pharmacological effect.

5 In fact, all drugs have multiple
6 pharmacologic effects, and we don't even know all of
7 them. The clinical effects are the net of the
8 pharmacological effects. If we don't know the
9 pharmacological effects, it's hard to trace a given
10 pharmacological effect to a clinical effect.

11 Knowing that, the FDA always asks for
12 data. They do a body count, and that's what's been
13 done here. I think the suggestion would be that it
14 would be useful to do what the committee seems to be
15 doing, which is to say we don't have all that much
16 information here. We have some suggestive or
17 tantalizing suggestions, suggestive data, but nothing
18 that really hits the mark to allow us to confirm or
19 prove something with reasonable certainty and,
20 therefore, we want more data.

21 Rather than lumping together the data from
22 drugs that have been studied in different populations

1 and have multiple pharmacologic effects, of which they
2 perhaps share one, and maybe they share more than one,
3 maybe it's better to get more data.

4 So I just offer that as an observation.

5 CHAIRMAN ABRAMSON: In view of the time,
6 let me go back to Dr. Siegel. In terms of this
7 question of labeling, is there anything -- Obviously,
8 there is some complicate issues to be addressed. Is
9 there any final comment you would like to make on
10 this?

11 DR. SIEGEL: No. We really appreciate the
12 committee's advice. I think we've gotten the
13 information we need from you.

14 DR. WEISS: I think we got a good range of
15 suggestions, and I think we are going to take that
16 back home and reconsider things, but we have a lot of
17 good material to work with.

18 CHAIRMAN ABRAMSON: Okay, thank you. We
19 are going to take a break in one minute, but I think,
20 if we looked at question number 6: Please comment on
21 the incidence and types of other malignancies observed
22 in the TNF blocking agents. Do these data raise any

1 concerns at the present time?

2 The sense is not, and we can deal with
3 that question that way.

4 Okay, why don't we take a ten-minute break
5 and come back to do the last question at about 4:20.

6 (Whereupon, the foregoing matter went off
7 the record at 4:13 p.m. and went back on the record at
8 4:31 p.m.)

9 CHAIRMAN ABRAMSON: We are going to go to
10 the final two questions, and as people are taking
11 their seats, I will read question number one.

12 Please comment on the data observed in the
13 randomized controlled trials in patients with New York
14 Heart Association class III and IV heart failure as
15 well as the spontaneous reports of adverse cardiac
16 events in patients with RA. Is it reasonable to
17 discuss CHF related safety concerns in labels for all
18 TNF blocking agents? Other than product label changes
19 that will caution use in patients with preexisting CHF
20 or who develop CHF while on treatment, should the
21 companies be asked to develop additional procedures
22 for congestive heart failure risk management?

1 I'll open that up to members of the
2 committee. Yes, Dr. Makuch?

3 DR. MAKUCH: Yes. This was an interesting
4 situation. I'm looking at the FDA comment that says
5 there were deleterious effects of infliximab in the
6 CHF patients and that in etanercept there were
7 concerning trends in CHF patients.

8 So two comments. One is that there does
9 appear to be a discrepancy in opinion or difference
10 between the two drugs with respect to the effect on
11 CHF.

12 Secondly, even within Enbrel itself, there
13 is a discrepancy of results within the two trials.
14 Again, I wanted to focus a little bit more on the
15 futility aspects of those two trials, because I'm
16 trying to understand both this between drug as well as
17 within drug distinctions occurring.

18 So I was hoping that, one, there would be
19 a further clarification of the futility rule and its
20 relationship, if any, to safety in CHF in particular,
21 and secondly, just to know more about the safety data
22 at the time the trials were stopped.

1 CHAIRMAN ABRAMSON: I understand Dr.
2 Packer is a consultant with Centocor today, and he was
3 a principal investigator on these studies. Dr.
4 Packer, would you mind coming to the microphone and
5 addressing some of these questions, please?

6 DR. PACKER: My name is Milton Packer.
7 I'm from Columbia University. I guess I sort of hold
8 myself responsible for some of these issues, since I
9 was the senior author on the first paper to ever
10 report that TNF was elevated in heart failure. It
11 might be a therapeutic target.

12 So a lot of the enthusiasm that
13 pharmaceutical companies had for blocking TNF which
14 has not paid off in the area of heart failure, I guess
15 our initial paper sort of led them astray.

16 I also, I guess, have the dubious hat of
17 having been the co-principal investigator for the
18 heart failure trials for both sponsors and, although I
19 am here today as a consultant for Centocor, I guess I
20 can discuss any information which is publicly
21 available on either trial or from the heart failure
22 perspective.

1 CHAIRMAN ABRAMSON: Dr. Makuch, do you
2 want to address one of your questions to Dr. Packer in
3 terms of the methodology?

4 DR. MAKUCH: Well, I guess it was just to
5 explain more about the futility index. I mean, in
6 particular, as mentioned earlier, there is sort of a
7 one-sided hypothesis to this, just looking at the
8 efficacy component, and there was not the other side
9 of the coin where one would also be simultaneously
10 looking at a safety issue.

11 Of course, if you stop the study because
12 you are only seeing a lack of efficacy, but you are
13 sort of going down the safety concern side, but you
14 stop only because you have the efficacy issue at
15 heart, well then, almost by definition you are not
16 going to see a safety issue, not because there may not
17 have been one, but perhaps because the efficacy
18 component drove the futility index decision to
19 terminate the trial early, and then you would not have
20 the opportunity, if you will, to have seen the safety
21 issue.

22 So that's where, I guess, I need to

1 understand more fully what the futility index
2 definition was, how it was applied in this situation,
3 and again what the safety data then were at the time
4 that the trials were terminated.

5 DR. PACKER: I think probably the best way
6 I can answer that question is to again refer to the
7 public presentation of the data and the futility and
8 the public presentation of the futility rule.

9 When the results of the trial were first
10 presented, they were first presented at a European
11 Society of Cardiology meetings in Oslo about -- I
12 guess about a year and a half ago. At that time, the
13 presentation indicated that the way the futility rule
14 worked -- and I just wrote this down -- was that the
15 trial would be stopped because of futility.

16 If the effect of the drug was sufficiently
17 unfavorable to rule out an even ten percent benefit,
18 that would correspond. That is the precise wording of
19 what was presented during the presentation. Does that
20 help you? Does that answer your question?

21 DR. MAKUCH: Okay. So is the answer then
22 to my question that, if it were -- if the trial was,

1 in fact, going on the side of increased safety concern
2 on the part of the active drug, then it would have
3 been terminated prior to it actually crossing that
4 threshold?

5 DR. PACKER: Yes.

6 DR. MAKUCH: Thank you.

7 CHAIRMAN ABRAMSON: I'll ask Dr. Siegel,
8 because we've discussed the CHF earlier in the day,
9 what the status of the labels is right now for each of
10 these drugs.

11 DR. UNGER: Well, when the results of
12 these trials became available, there were --
13 Basically, for the Enbrel label there was a precaution
14 in a CB -- changes being effected, and that precaution
15 is in the label that you have in front of you.

16 For REMICADE, there was a contraindication
17 and a warning placed in the label. Again, that is in
18 front of you. For HUMIRA, there is nothing in the
19 label.

20 One of the questions that we have -- it is
21 kind of implied in the question here -- is sort of
22 similar to the question earlier when we were talking

1 about lymphomas for the committee. Would all TNF
2 blockers deserve the same language for heart failure?

3 Does it appear to be a class effect or should --
4 maybe there would be a simple statement in terms of,
5 you know, class effect, and then specific information
6 where specific information exists.

7 Obviously, we have a lot of specific
8 information for etanercept and a fair amount of
9 information infliximab.

10 CHAIRMAN ABRAMSON: Is it the precedent
11 might be the TB warning or the TB difference --
12 different language for infliximab and etanercept with
13 regard to TB precautions, one having a black box and
14 the other just a comment about -- a caution?

15 DR. SIEGEL: I guess what Dr. Unger was
16 saying would be similar to the situation with TB in
17 that all the labels contain something about TB being
18 observed in patients receiving TNF blocking agents,
19 including the agent that is in that particular label,
20 and they would have more specific language, for
21 instance, the box warning, if the data indicated that.

22 CHAIRMAN ABRAMSON: Dr. Williams.

1 DR. WILLIAMS: To address the question,
2 first of all, I think that, since two of them have
3 looked at it and found that it may make heart failure
4 worse, and the third one didn't look at it, it ought
5 to probably be in there as a caution on all of them.

6 I would probably make it similar to all
7 three and make it a caution rather than the strong
8 contraindication given to infliximab and state that it
9 should be used with care in patients who have
10 congestive heart failure.

11 DR. BOSCIA: We at least need a
12 contraindication at doses above 5 milligrams. I mean,
13 clearly, we had a problem with mortality at 10
14 milligrams, and we at least need that for patient
15 safety.

16 CHAIRMAN ABRAMSON: Dr. Elashoff.

17 DR. ELASHOFF: Okay. I don't have any
18 particular comments on what should be said in the
19 label, but I do think that the data suggest that, for
20 the two compounds that it was studied, the data are
21 suggestive in both cases that one needs to be
22 concerned and that the only reason we aren't concerned

1 about the other one is that they came along late
2 enough not to make the same mistake and study it.

3 So I think we should have relatively
4 consistent labeling on all three based on the data we
5 have at hand.

6 CHAIRMAN ABRAMSON: So as a practical
7 question, one would be suggesting that the Enbrel
8 label to be changed to be more compatible with the
9 REMICADE label?

10 DR. WILLIAMS: I have to agree that if
11 you've got mortality, that we have to have the
12 contraindication on infliximab, but I think that the
13 Enbrel label more accurately reflects things, and I
14 would make the adalimumab label more like the Enbrel
15 than I would more like the infliximab.

16 I have a question for Jeff. I don't know
17 what he is asking when he says asked to develop
18 additional procedures for CHF risk management.

19 DR. WEISS: In all fairness, I wrote the
20 question. So I can't blame it on Jeff, but I'd like
21 to. I guess -- I think it stems from some of the
22 analyses and data that Dr. Unger presented.

1 We already know that people with
2 preexisting heart disease, you know, should not be
3 taking this product. We know, though, that heart
4 disease is clearly a big health problem in the United
5 States. It's clearly a big problem in people with RA.

6 In fact, I hear from my rheumatology colleagues that
7 cardiovascular disease is probably a higher -- it's
8 elevated perhaps in the RA population. I think
9 everybody is nodding their head. So I'm glad I'm not
10 speaking in error here.

11 So with that as a background -- So we have
12 the area in the specific disease setting in CHF where
13 we know it's a bad thing and we shouldn't do that.
14 Then we have here the indicated population, large
15 population, that are taking TNF blockers. Some of
16 them are clearly going to have underlying heart
17 failure. Some of them are going to have a history,
18 predisposing factors, maybe not outright failure at
19 the time that they are started on therapy, but a
20 history of it.

21 One of Dr. Unger's analysis, albeit
22 somewhat -- definitely an exploratory *post hoc*

1 analysis, tended to imply that even people with lesser
2 degree -- at least in one of the trials -- I guess it
3 was the RENAISSANCE trial, the North American trial,
4 those with New York Heart Association II where you
5 wouldn't necessarily expect maybe these problems had
6 perhaps more -- again, caveats about being the subset
7 analyses and retrospective -- that there was
8 concerning events in people with less severe forms of
9 heart disease.

10 So how does that help you in terms of
11 trying to advise patients, what kinds of information
12 to put into label? Should there be other methods that
13 the companies could do, just like they did with TB.
14 There it's a little bit clearer. You can do screening
15 and prophylaxis.

16 Are there things that could be done with
17 people with predisposition to heart failure, with
18 existing heart failure of some degree, who have bad RA
19 and may very well benefit from these products in terms
20 of trying to improve the safety profile?

21 Ellis, if there is anything else you want
22 to add --

1 DR. UNGER: Another caveat is that, if I'm
2 not mistaken, heart failure is one of the most --
3 maybe the most common diagnosis for a discharge
4 summary, and there are many patients who are actually
5 misdiagnosed with, "heart failure."

6 So again, that suggests that it might be
7 worthwhile to have some kind of a screening test to
8 see if a patient actually has heart failure. Again,
9 we are just kind of throwing out these ideas.

10 DR. WILLIAMS: I don't know that I can
11 address that specific what screening tests should be
12 done, but there may be people with mild heart failure
13 who would benefit from these medications where we can
14 treat the heart failure and still allow them to take
15 these medications. That's why I didn't want to see it
16 as a strict contraindication.

17 I can understand at higher doses, but as
18 long as we can manage the heart failure, they may
19 still benefit from the medications. But we have to be
20 aware that we may make the heart failure worse by
21 giving them the medication.

22 CHAIRMAN ABRAMSON: A question that harks

1 back to the capturing of information going forward and
2 standardized data being collected. So the question
3 is: Is heart status part of the information that is
4 being collected in these prospective databases where
5 lymphoma has been the primary outcome of interest?

6 DR. WOLFE: Do you want me to answer that
7 question or do you want to go first?

8 CHAIRMAN ABRAMSON: I guess one of the
9 companies could address that.

10 DR. WOLFE: Okay. In the registry that we
11 have, we collect all information about cardiovascular
12 diseases as well as all drugs that people are taking
13 for cardiovascular diseases, and we also ask them
14 specifically if they have had myocardial infarction,
15 congestive heart failure, and we get all medical
16 hospitalization records.

17 So we have a paper that has been submitted
18 for publication. Based on 7,000 or so patients who
19 were not taking any TNF agent, the rate of heart
20 failure -- prevalent rate of heart failure was about
21 3.9 percent, that it was 2.8 percent on people who
22 were taking these drugs.

1 The new cases which developed in people
2 who had no previous history of any cardiovascular
3 disease suggested was about .18 percent in one group
4 and .20. These are all adjusted for severity
5 differences.

6 So we found -- and we then did sensitivity
7 analyses to look to see whether the warning from the
8 FDA might have reduced the participation of people
9 with heart failure by looking prior to the warning and
10 also to making other adjustments. As far as we can
11 see, we do not see any effect -- any increased rate of
12 heart failure, and there is actually a suggestion in
13 the other direction.

14 Now the other point is that these were --
15 Many people don't know they have heart failure, of
16 course, because when you get in the hospital and they
17 do tests, then they diagnose this. But the studies
18 that you are talking about are New York Heart
19 Association III and IV, which are very, very different
20 than what is seen in the clinic generally.

21 So I think the warning may be overstated.

22 DR. UNGER: Actually, the RENAISSANCE and

1 RECOVER studies included patients who were -- about a
2 quarter of the patients were functional class II.

3 DR. BLAYNEY: However, they did -- The
4 patients in those studies did have an ejection
5 fraction of less than 30 percent. So these are not,
6 you know, mild heart failure people. These are people
7 with damaged hearts.

8 DR. UNGER: Compensated heart failure, I
9 would say.

10 DR. BLAYNEY: Yes, but they do have some
11 underlying --

12 DR. UNGER: Dr. Packer disagrees, and he
13 was there.

14 DR. PACKER: There is no relationship
15 between ejection fraction and severity of heart
16 failure. Ejection fraction -- The only way we judge
17 severity of heart failure is really by symptoms, and
18 the relationship between ejection fraction and
19 symptoms is pretty poor.

20 Almost every trial we do enrolls people
21 with ejection fractions less than 35 or 40 percent.
22 Some of those trials are mild heart failure. Some are

1 moderate. Some are severe. So you can't make the
2 judgment of mild based on the ejection fraction, plus
3 the fact, frankly speaking, although it is not good
4 medical practice, most people with heart failure in
5 the United States are managed without an ejection
6 fraction -- without an ejection fraction measurement.

7 Yes, they have an ejection fraction. We just don't
8 measure it.

9 CHAIRMAN ABRAMSON: Yes, sir?

10 DR. GORE: Again my name is Jeff Gore.
11 I'm also in New York like Milton, but at a sister
12 institution, the Wile Medical College. I'm going to -
13 - but we share a hospital. It's the New York-
14 Presbyterian Hospital.

15 I'm going to try to respond to the
16 questions you have raised and the question as it's
17 written. But before I do, let me for Bob make a --
18 read the formal -- Milton stated it, but the formal
19 written -- Whoops, what happened to that slide,
20 please? Ah, there it is.

21 There is the formal written statement of
22 the early termination rule. The DSMB recognized that

1 even by conservative bounds that adjusted for the
2 interim nature of the analysis, the confidence
3 interval for this estimate ruled out a ten percent
4 benefit from etanercept, crossing the established
5 boundary for lack of efficacy on the morbidity
6 mortality endpoint.

7 It was on that basis, that finding, that
8 the trial was stopped and when RENAISSANCE was
9 stopped, RECOVER was stopped, because it was perceived
10 that it would be inappropriate to continue it if we
11 were stopping for futility.

12 Now having said that, let me move on.
13 Milton just made one of the key points here.
14 Screening for heart failure means you take a history
15 and you do a physical exam, which is being done, and
16 you ask questions and all that kind of stuff, and he
17 can tell you, obviously, chapter and verse about that.

18 Let me talk just a little bit about the
19 data in response to the question here. In terms of
20 worsening heart failure or death, looking at the data
21 we have just from the etanercept studies, because
22 those are the only data that I really know well, there

1 was a modest tendency in RENAISSANCE for worsening.
2 There was a modest tendency in the other direction for
3 improvement in RECOVER, very modest. I think nothing
4 of either of them, albeit as Bob pointed out earlier,
5 the follow-up time in RECOVER was less than in
6 RENAISSANCE because of the early termination.

7 If you put the two together in RENEWAL,
8 there was a modest tendency toward worsening. If you
9 believe in statistical adjustments -- and those are,
10 of course, arbitrary algorithms. But if you believe
11 in adjustment at all, at least qualitatively, the
12 existing modest tendency toward worsening becomes less
13 of a modest tendency toward worsening.

14 In any event, in any of those analyses you
15 do, even with observational statistics, not adjusting
16 for all the things that you would have to do if you
17 were talking about an efficacy endpoint, the
18 consistency of those data don't reach the level where
19 you could draw a firm conclusion. Nothing is close to
20 statistical significance --

21 CHAIRMAN ABRAMSON: Excuse me, Dr. Gore,
22 if I may just -- What I'd like to do is go back to the

1 question, which is the label change, for now.

2 DR. GORE: Okay.

3 CHAIRMAN ABRAMSON: I don't think we need
4 to hear more about the study, just because of -- in
5 terms of addressing the question here.

6 DR. GORE: Oh, all right. I'm sorry. I
7 was responding to the question that was written here.

8 CHAIRMAN ABRAMSON: Right. So do you want
9 to just hold your comment just for a second, because I
10 don't want to get too diverted from the chart. You
11 are addressing the screening, what screening
12 implementation should be, additional procedures for
13 CHF, because that's the second half of this question
14 other than label?

15 DR. GORE: Well, I was actually sort of
16 addressing the issue of whether there is something
17 here to label about, but okay.

18 CHAIRMAN ABRAMSON: Why don't we just --
19 If you just hold that thought, because I do want to
20 come back to the question of label.

21 Right now we have two labels existent.
22 For etanercept we have a precaution, and for REMICADE

1 we have more of a warning. That's pretty much
2 established. Are we being asked to address whether
3 that should be changed?

4 DR. WEISS: Well, these -- Certainly for
5 the etanercept, it was submitted as what's called a
6 CBE or changes being effected. That means that the
7 companies can submit the changes, implement the
8 changes. The FDA has the opportunity to review them,
9 but the idea is that safety information is important
10 and, while FDA is reviewing it for more data,
11 meanwhile the information isn't being communicated at
12 all.

13 So, therefore, in one of the last PADUFA
14 negotiations there was a change. So that that
15 information could actually be directly added to the
16 label without sort of an FDA concurrence, while then
17 allowing review to happen.

18 So there's opportunities to -- I mean,
19 things are never fixed, because there is always new
20 information coming up, whether it's safety or new
21 efficacy in the cases. So these labels are very
22 nonstatic, and we are constantly changing things.

1 Right now, the way they are is what you
2 see before you, but things have not been finalized.
3 There's still some discussions going on and still some
4 additional data under review. So it is a good
5 opportunity, if not now, at some relatively future day
6 soon in the future to make any changes, if the
7 committee feels that there are important changes that
8 should be made, whether or not the wording is in the
9 appropriate sections in the label or whether or not
10 there should be more similarities, etcetera. So --

11 CHAIRMAN ABRAMSON: Okay. So, Dr. Gore,
12 if you wouldn't mind, could you focus on that issue,
13 whether you think the proposed label should -- What
14 comment do you have on the label for Enbrel?

15 DR. GORE: Yes. I think that the label,
16 as it exists now with the statement about, you know,
17 there being some data that suggests maybe something is
18 going on, is perfectly adequate; because that's all
19 you can say from the information that is available.
20 The data just don't go any further than that.

21 If you want me to support that statement
22 with some information that you haven't heard about

1 today, I'd be happy to do that, but --

2 CHAIRMAN ABRAMSON: I think we are okay,
3 actually, on the Enbrel, unless you are suggesting
4 there be a change. Yes, Dr. Packer?

5 DR. PACKER: I just want to express a
6 personal view based on my own view of the data. I
7 think it also reflects the view of many people in the
8 heart failure community, and it's a view that will be
9 unpopular with everybody, and maybe I'll be able to
10 get home after stating it.

11 That is that I wouldn't give any of these
12 drugs to anyone with heart failure, and people with
13 heart failure are fragile. When they get worse,
14 sometimes you can't make them better. We are talking
15 about some major issues here, issues I have personal
16 concerns about.

17 I don't want to get into details as to
18 whether the labeling should be the same or different
19 or whatever, but I think that there is a concern such
20 that people with heart failure in general shouldn't
21 receive these drugs.

22 CHAIRMAN ABRAMSON: So that gets at the

1 specific question, should all the labels, and I guess
2 particularly -- What are the plans for the HUMIRA
3 label?

4 DR. WEISS: Recognizing that that is
5 clearly not at all mentioned in the label and that
6 there does appear to be this -- you know, two out of
7 the products have shown something, that there should
8 be some changes. I think that the company would
9 agree. So we will be discussing and have already
10 tentatively approached the company about making some
11 changes to the label. This discussion would help, I
12 think, facilitate that.

13 CHAIRMAN ABRAMSON: Yes, Dr. Gore?

14 DR. GORE: Yes. I'd just like to point
15 out -- I mean, obviously, Milton's opinion comes from
16 years and years of working in this area and is a very
17 important opinion. But I think it's not right to go
18 beyond the data that we have, and I think it's very
19 important to remember, as I said earlier, we are
20 talking about -- When we look at the three agents that
21 we are talking about here, we are talking about
22 substantially different molecules, and it's not really

1 reasonable, I think, to lump the results together and
2 say the worst one is what tells us how they all work.

3 I think you have to say what you've got
4 and give whatever cautionary information you have, and
5 then collect more data rather than saying, well, you
6 know, what we have now meets the test, and by golly,
7 nobody should get this stuff.

8 So you know, in terms of drug use as well
9 as drug approvability, the issue of efficacy and the
10 issue of safety alone aren't the criteria for use or
11 approval. It's the relation between the two, the
12 benefit to risk relation.

13 What we've seen from these data, at least
14 from the etanercept data -- I don't know about the
15 others, but from the etanercept data we've seen a very
16 modest suggestion that something may get worse. I
17 could go on and defend that, but I won't.

18 We've also seen a tremendous benefit. I
19 think, if you present that information to physicians,
20 they can make a decision about whether the relation of
21 expected benefit to known or even suspected worse case
22 risk in patients with heart failure justifies the

1 administration of the drug. I think that's very
2 important to remember.

3 CHAIRMAN ABRAMSON: So this is the
4 difficult question of class effect versus what data we
5 have. Dr. Elashoff?

6 DR. ELASHOFF: I just wanted to comment on
7 the issue of the statement that the data show only a
8 modest risk, and it has to do with the point that Dr.
9 Makuch was making. That is that the RENAISSANCE trial
10 was stopped as soon as there was any real evidence at
11 all of risk and that it was prevented from ever going
12 on and possibly showing that the risk was higher.

13 The stopping rule prevented us from ever
14 demonstrating a bigger risk. Whether there might have
15 been one or not, the statistical stopping rule that
16 was used prevented us from ever seeing a bigger risk.

17 CHAIRMAN ABRAMSON: Okay. Perhaps if
18 there is a sense of the committee, you have some
19 discussions ongoing on infliximab and etanercept that
20 are graded. They are not the same, and you have
21 discussions with the Abbott company about some
22 potential statement, as we understand it.

1 I think, unless someone else on the
2 committee has a feeling that that shouldn't be the way
3 to go forward, we're probably not going to get much
4 more out of this part of the discussion.

5 DR. WEISS: I just have something that is
6 a little bit unrelated, just for a second, just the
7 comment that our statisticians made, which I think is
8 very important to highlight, and it's not just with
9 heart failure in these trials or with RENAISSANCE and
10 RECOVER but in other settings as well where trials are
11 stopped early for futility and may or may not have
12 demonstrated harm and the whole concept that, you
13 know, you don't -- I think our view is that you don't
14 have to prove harm to the same level that you prove
15 efficacy.

16 So I mean, you know, just -- It's
17 sometimes a misnomer. I mean, it's true that the
18 trials are stopped for futility if some of them happen
19 to show some adverse trend. It's important to just
20 look at those data and not just brush it under as,
21 well, it's just stopped for futility, and that was it.

22 I mean, clearly, there are trials that are

1 stopped for outright harm, but in some of these kinds
2 of more gray areas where they are stopped early and
3 you are not going to know the answer, and you are
4 never going to be able to do those studies anymore to
5 actually, you know, prove anything beyond -- you know,
6 to the level that you would want to prove efficacy.

7 CHAIRMAN ABRAMSON: With respect to the
8 last part of that question number 1: Should the
9 companies be asked to develop additional procedures
10 for CHF risk management?

11 I could start off with a comment that we
12 don't -- I think a label is an appropriate thing to
13 do. Asking companies to do additional risk management
14 may be premature or not -- in my own view I'll express
15 for the committee, and we can have comments -- but do
16 we need, like the other discussion, more information
17 and as we collect more data on treatment with these
18 drugs, we need to get a better sense of the risk of
19 CHF in patients being treated with TNF blockers. But
20 my own view would be not to ask for new initiative on
21 their parts, given the information that we have.

22 DR. DAY: There are a variety of risk

1 management tools available. Did you have any in
2 particular in mind that you thought might be useful
3 here? I mean, it goes all the way from stickers on
4 drugs to patient registries, physician registries and
5 so on. There's a whole gamut here, and we are in a
6 caution mode. But are there a couple you would like us
7 to think about?

8 DR. WEISS: I'm really sorry I put that
9 into the question. I guess I was thinking more along
10 the lines of whether or not there's specific patient
11 screening type of things that could be done. You
12 know, we've already talked about patients should be
13 closely monitored, you know, carefully evaluated for
14 worsening, and should be, you know, stopped in some
15 cases. But whether or not there's any other ways to
16 try to evaluate patients that could be ask for. But
17 that was mostly what I was thinking.

18 CHAIRMAN ABRAMSON: Okay. The last
19 question is: Please comment on any other concerns
20 based on the safety updates provided and any specific
21 actions the agency and the various companies should
22 undertake to address them.

1 I think we may have covered the waterfront
2 here.

3 DR. WEISS: That was just in case -- I
4 mean, we did focus a lot on lymphoma. We focused on
5 CHF as a second area. We did have a little bit of
6 information and update on TB and addressed that. Some
7 of the companies presented a little bit more of the
8 update.

9 A lot of this was covered in August of '01
10 We just threw that out there as a sort of open-ended
11 question in case there's something else that the
12 committee wanted to call to our attention, to have us
13 consider. We'd be happy to entertain that, but if
14 there isn't anything, that's also fine.

15 CHAIRMAN ABRAMSON: I'm not sure if there
16 isn't anything or it's just five o'clock. Any
17 comments, additional comments? No. Okay. So I guess
18 we can adjourn. Thank you all very much.

19 DR. WEISS: Thank you, everybody on the
20 committee and guests.

21 (Whereupon, the foregoing matter went off
22 the record at 5:03 p.m.)