

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
BLOOD PRODUCTS ADVISORY COMMITTEE

81ST MEETING

FRIDAY, OCTOBER 22, 2004

The meeting came to order at 8:00 a.m. in the Ballroom of the Gaithersburg Holiday Inn, 2 Montgomery Village Avenue, Gaithersburg, MD 20877, James R. Allen, Acting Chairman, Presiding.

Present:

James R. Allen, M.D., M.P.H., Acting Chairman
Kenneth Davis, Jr. M.D., Member
Samuel H. Doppelt, M.D., Member
Harvey G. Klein, M.D., Member
Judy F. Lew, M.D., Member
Charlotte Cunningham-Rundles, M.D., Ph.D., Temporary
Voting Member
Jonathan C. Goldsmith, M.D., Temporary Voting Member
Liana Harvath, Ph.D., Temporary Voting Member
Blaine F. Hollinger, M.D., Temporary Voting Member
Matthew J. Kuehnert, M.D., Temporary Voting Member
Kenrad E. Nelson, M.D., Temporary Voting Member
Keith C. Quirolo, M.D., Temporary Voting Member
George B. Schreiber, Sc.D., Temporary Voting Member
Michael D. Strong, Ph.D., Non-voting Industry
Representative
Linda A. Smallwood, Ph.D., Executive Secretary

**This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.**

I-N-D-E-X

Committee Updates
A.Summary of Plasma Workshop
held on 8/31-9/1/04
Mark Weinstein, PhD. 5

Draft UDHQ Acceptance Guidance:
Review of Public Comments
Judy Ciaraldi, BS, MT (ASCP) SBB 18

FDA's Current Thinking on Monitoring
Weight in Source Plasma Donors
Linda Alms, BS 33

Open Committee Discussion
FDA's Current Thinking on Donor
Deferral for Potential
or Documented Infection with
West Nile Virus

1. Introduction and Background
Hira Nakhasi, PhD, Director,
Division of Emerging and
Transfusion Transmitted
Diseases, OBRR 44

2. Summary of 2004 Epidemic
Theresa Smith, MD, MPH,
FACP, FIDSA, Centers for
Disease Control and
Prevention 52

3. Duration of Viremia/Experience
With ID NAT

 a. Michael Busch, MD, PhD,
 Blood Centers of the Pacific 77

 b. Susan Stramer, PhD,
 American Red Cross 109

Public Session 138

Questions for the Committee 179

Adjourn 212

P-R-O-C-E-E-D-I-N-G-S

8:34 a.m.

DR. SMALLWOOD: Good morning, and welcome to the second day of the 81st Meeting of the Blood Products Advisory Committee.

I'm Linda Smallwood, the Executive Secretary. I will be reading a brief announcement that pertains to the proceedings for today.

This brief announcement is in addition to the Conflict of Interest Statement read at the beginning of the meeting on yesterday, and it is a part of the public record for the Blood Products Advisory Committee Meeting on October 22nd, 2004.

This announcement addresses conflicts of interest for Topic 3. Drs. Charlotte Cunningham-Rundles, Jonathon Goldsmith, Liana Harvath, Matthew Kuehnert, Kenrad Nelson, Keith Quirolo and George Schreiber, have been appointed as temporary voting members.

The Food and Drug Administration has prepared General Matter Waivers for the special government employees participating in this meeting who required a waiver under Title 18, United States Code Section 208.

Dr. Michael Busch is employed by Blood

1 Systems. He has contracts, is a researcher, speaker
2 and an advisor for firms that could be affected by the
3 discussions.

4 Dr. Theresa Smith is employed by the
5 National Center for Infectious Diseases, in Fort
6 Collins, Colorado, and Dr. Susan Stramer is employed
7 by the American Red Cross.

8 In addition, there maybe regulated
9 industry and other outside organization speakers
10 making presentations. These speakers have financial
11 interests associated with their employer, and with
12 other regulated firms.

13 They were not screened for these conflicts
14 of interest. At this time I am asking if there are
15 any declarations to be made by any of the participants
16 at this meeting, please do so at this time?

17 (No response.)

18 DR. SMALLWOOD: For those who were not here
19 yesterday, I just wanted to announce the tentative
20 meetings, the tentative meeting dates for 2005, for
21 the Blood Products Advisory Committee.

22 Those dates are March 17th and 18th, July
23 21st and 22nd, December 1st and 2nd. Again, these are
24 tentative and you will be notified when these dates
25 are confirmed through the normal, appropriate

1 channels.

2 At this time I will turn over proceedings
3 of this meeting to the Acting Chairman, Dr. James
4 Allen.

5 DR. ALLEN: Good morning. We'll start our
6 deliberations this morning by listening to a series of
7 updates. The first is the summary of the Plasma
8 Workshop held August 31st, through September 1st, this
9 year, by Dr. Mark Weinstein.

10 DR. WEINSTEIN: Thank you, we have the
11 slides, please. You'll be controlling the slides?
12 Okay, thank you.

13 I would like to review topics that were
14 discussed at the Workshop on Plasma Standards. I will
15 give you a review of the, next slide please. Of the
16 objectives of the workshop, a meeting summary, a
17 summary of the agenda, and some of the highlights that
18 were addressed during the meeting.

19 And some of our future actions. Can I
20 have the next slide? The objective of the meeting was
21 to obtain information to aide us in the development of
22 regulatory standards for plasma.

23 Particularly for recovered plasma,
24 including labeling, freezing, storage and shipping
25 conditions. We also wish to review the scientific

1 data, regulatory requirements and current industry
2 practices, regarding freezing, storage and shipping of
3 plasma.

4 Another objective was to see whether we
5 could help to harmonize our regulations with those of
6 other regulatory bodies. And fourth objective was to
7 ensure that any regulatory decisions that are made,
8 are based on the science, the need for change and the
9 practicality of implementing any change in
10 regulations. Next slide.

11 Regarding our, the goals of the, with
12 regard to policy making, we want one to be able to
13 identify the quality of plasma through labeling, that
14 indicates the conditions under which the plasma was
15 prepared, including conditions of freezing.

16 We want to remove barriers to conversion
17 of plasma collected with the intention of its use in
18 transfusion, to its use in fractionation. Current
19 regulations reduce the flexibility to do this.

20 While relaxing some barriers, we need to
21 retain some distinctions, but only those that are
22 important. The distinctions that are being considered
23 include labeling that would distinguish plasma coming
24 from a whole blood collection, versus an apheresis
25 collection, product characterization based on intended

1 use at the time of collection, and conditions of
2 freezing.

3 We also wish to have our regulatory
4 standards conform to the scientific state-of-the-art.
5 Next. Now to review the agenda of the meeting.

6 On the first day of the workshop we have
7 a presentation about recommendations of the June,
8 2003, BPAC, that addressed recovered plasma standards,
9 and we also had an overview of current FDA
10 regulations.

11 In brief, there was a lack of regulations
12 for recovered plasma, and there was a need to develop
13 specifications for allowable storage conditions and
14 dating periods.

15 We had a presentation from the consumer
16 community that emphasized the need for high quality
17 plasma products in the United States and
18 internationally.

19 We also have a very extensive review of
20 the scientific literature that covered the effects of
21 freezing, of rate of freezing and storage temperatures
22 on the integrity of plasma proteins.

23 The purpose of this review was to help
24 provide us with a scientific rationale for regulations
25 that might be proposed. Next slide, please.

1 We then had presentations from the
2 international community on their standards and the
3 rationale, and their rationale for freezing, storage
4 and shipping conditions of plasma.

5 This included standards presented by the
6 Council of Europe, European Pharmacopoeia, Canada and
7 Australia. Representatives of plasma fractionation
8 and blood collection industries, reviewed their
9 current practices about freezing, storage and shipping
10 of plasma, and raised their concerns about the impact
11 of potential changes on their operations.

12 The panel discussion followed these
13 presentations, which further clarified regulatory and
14 industry positions. Next slide, please. Here are
15 some of the major points that came about from the
16 review of the scientific literature.

17 And I think these are very important. It
18 gives a frame work for at least the scientific basis
19 of some of our thinking. Loss of factor activity, as
20 reflected in lower product yield, may be regarded as
21 one measure of a reduction in plasma quality.

22 Loss of activity indicates that proteins
23 are being altered, potentially through aggregation,
24 proteolysis or conformational change. Now is a
25 surrogate marker for proteins that can be altered

1 during this shipping, freezing, storage process.
2 Factor 8 is currently regarded as the most labile
3 therapeutic plasma protein.

4 Conditions affecting Factor 8, may affect
5 other plasma proteins in unknown ways. Again the
6 notion that Factor 8, can be considered as a surrogate
7 marker, and that the yield of Factor 8 can be
8 considered as a measure of plasma quality.

9 I mention that delayed freezing decreases
10 Factor 8 activity in plasma. Preservation of labile
11 components in plasma is optimal up to six hours after
12 donation.

13 Factor 8 loses about 15 percent of its
14 activity when stored from 16 to 24 hours before the
15 plasma is frozen. An additional losses can occur if
16 it is stored for longer than 24 hours.

17 A very important point that was raised,
18 emphasized the number of times during the scientific
19 presentation is that the rate of freezing is very
20 important.

21 Rapid freezing, such as freezing two minus
22 30 degrees in 30 minutes, gives a better Factor 8
23 yield than freezing it at minus 30 degrees over a much
24 longer period of time, say three to four hours, or
25 even longer.

1 Storage within minus 20, to minus 40
2 degrees, appears to have little affect on product's
3 quality, as long as freezing, as long as the freezing
4 rate is optimized.

5 It's more important to maintain a steady
6 storage temperature in this range of minus 20 to minus
7 40 degrees, than an absolute temperature.

8 And finally it is uncertain whether the
9 time to freeze, way to freeze in storage or shipping
10 temperatures, affect product safety. And this is an
11 area that needs further investigation. Next slide,
12 please.

13 The chart shows the current U.S. FDA
14 standards for plasma. One of our objectives was to
15 see about the chances of potentially harmonizing our
16 regulations with those of Europe.

17 I'll point out some of the areas that are
18 in contrast, that are now in contrast with the
19 European standards. First of all, our source plasma
20 is to be frozen immediately upon collection.

21 It is to be frozen at minus 20 degrees or
22 lower. Our regulations say nothing about the rate of
23 freezing. It can be stored at minus 20 degrees for
24 ten years, and it can be shipped at minus five
25 degrees.

1 One fact that emerged from the workshop,
2 is that the current shipping of plasma, that plasma is
3 generally now shipped at minus 20 degrees or below.

4 And so this standard of minus five degrees
5 is not really what is the industry standard at
6 present. Fresh frozen plasma made from whole blood or
7 plasm apheresis, should be frozen within eight hours.
8 It can be frozen, stored and shipped at minus 18
9 degrees or lower, and stored for a year.

10 The freezing, storage and shipping
11 temperatures of recovered plasma are not defined.
12 Next slide. In contrast, the European Pharmacopoeia
13 makes a distinction between plasma use to make labile
14 proteins, such as Factor 8, versus the so-called non-
15 labile proteins, like immunoglobulins and albumin.

16 The time to freeze from collection to
17 freezing, to the time to freeze can be within 24 or 72
18 hours, depending on the product to be made. And
19 again, this is in contrast to our source plasma which
20 is supposed to be frozen immediately.

21 Plasma is to be frozen at minus 30 degrees
22 or below, at, if the product is to made, that is to be
23 made is a labile protein. Or at minus 20 degrees or
24 below for non-labile proteins.

25 Storage and shipping conditions are at

1 minus 20 degrees or below. For plasma for
2 transfusion, the Council of Europe recommends freezing
3 to minus 30 degrees, within one hour, and storage
4 temperatures at minus 18 to minus 25 degrees, for a
5 three-month dating period, and minus 25 degrees and,
6 below minus 25 degrees, if there is a 24-month dating
7 period.

8 So the idea of labile proteins freezing to
9 minus 30 degrees, the rapid rate of freezing are in
10 line with some of the scientific data that we heard
11 earlier on in the meeting, this idea of labile versus
12 non-labile proteins is reflected in some of these
13 regulations and standards. Next slide, please.

14 The fractionation industry presented their
15 perspective on potential changes in the regulations
16 for freezing and storage and shipping of plasma.
17 These summarize a number of the points that were
18 raised by the industry.

19 Final products manufactured under current
20 storage and shipping requirements, are safe and
21 effective. Increased yield of plasma-derived Factor
22 8 is not a driver for manufacturing. Yield is not a
23 regulatory issue.

24 Our current regulations that allow for
25 temperature excursions give flexibility to

1 manufacturers, changes in allowing for these
2 excursions would limit the availability of plasma for
3 use in manufacturing, and add to compliance
4 challenges.

5 Changing freezing temperatures would be
6 costly and increase the cost of plasma. And resources
7 spent in changing freezing and storage temperatures,
8 could be better spent elsewhere. Next slide.

9 The blood collection industry also
10 presented their perspective on proposed changes.
11 There was a wish not to change the definition or
12 expiration date of source plasma.

13 Most plasma is used to make non-labile
14 proteins. Factor 8 activity decreases the time to
15 freeze, but there's no change in its efficacy. There
16 is no reason why preservation of Factor 8 activities
17 should drive the standards, since it is a small part
18 of the market.

19 Manufacturers specify the requirements of
20 plasma according to procedures they have already
21 validated. FDA should focus its efforts on donor
22 safety, donor qualifications and good manufacturing
23 practices.

24 Labeling can indicate expiration date,
25 anticoagulant time to freeze, freezing and storage

1 temperatures. And finally, there's no compelling
2 reason to change requirements for freezing and
3 storage.

4 The next day, meeting, next slide, please.
5 The second day of the workshop, we had a review of
6 concepts of regulations, what regulations of the
7 covered plasma.

8 And we had presentations by FDA, the blood
9 industry, the plasma industry, and this was followed
10 by a panel discussion. Next slide. This slide
11 summarizes some of the points made at the June, 2002,
12 BPAC meeting and FDA proposals for recovered plasma.

13 First of all, it was recommended that FDA
14 should develop standards for recovered plasma. FDA
15 proposed the term component plasma to replace the
16 terminology recovered plasma, because recovered plasma
17 has a negative connotation.

18 Component plasma would be defined as
19 plasma that is collected manually or by apheresis,
20 either separately or concurrently with other blood
21 components from donors who meet all whole blood donor
22 suitability requirements.

23 Source plasma would be distinguished from
24 component plasma by defining source plasma as being
25 frozen immediately after collection.

1 Questions were raised at the 2002 BPAC
2 meeting, about having a ten year expiration date for
3 component plasma, and developing a time to freeze
4 standard for plasma used to manufacture labile
5 derivatives.

6 Again, reflecting the scientific evidence
7 that was available at the time. It was hoped at that
8 meeting that a workshop would provide data to address
9 the questions. Next.

10 This slide shows some other AABB proposed
11 standards for recovered plasma. These proposals were
12 derived in conjunction with America's blood centers,
13 the American Red Cross, ECA America, the Canadian
14 Blood Services, the Department of Defense, European
15 Blood Alliance and *(8:53:25).

16 PPTA, for the most part, endorsed these
17 recommendations, although they questioned a
18 recommended two-year dating period for recovered
19 plasma. AABB proposed the name change for recovered
20 plasma to be plasma for manufacture.

21 The donor qualifications would be the same
22 as for allogeneic whole blood, including the
23 qualifications associated with infrequent plasma
24 apheresis donations.

25 Plasma for manufacture would be prepared

1 from plasma separated from whole blood, infrequent
2 plasma apheresis or by converting plasma for
3 transfusion to plasma for manufacture.

4 The expiration date is recommended to be
5 two years, and the label should state frozen within X
6 hours after phlebotomy and that the plasma should be
7 stored at minus 18 degrees and colder.

8 Next slide. There were some additional
9 comments, AABB proposed that freezing within a
10 certain, a specific time frame not be specified
11 because there are multiple types of products that can
12 become plasma for manufacture.

13 The fractionator can decide what plasma is
14 best, what is best for the manufacture of its product,
15 based on the labeled time to freeze. And short supply
16 agreements would not be necessary.

17 Regarding our future activity, last slide,
18 please. This workshop was only one opportunity to
19 collect information about standards for plasma. We
20 will continue information gathering through one-on-one
21 discussions with industry, particularly regarding
22 confidential or proprietary information.

23 And policy proposals will be developed
24 through a public dialogue process of notice and
25 comment. We are preparing a docket site together and

1 share comments about this workshop, and I anticipate
2 that that docket will be available in the very near
3 future.

4 The web site for this conference, that
5 will give you access to the slides and transcript, and
6 notice of the docket opening, is at
7 www.fda.gov/cber/whatsnew.htm. Thank you.

8 DR. ALLEN: Thank you very much. Comments
9 or questions from the Committee with regard to the
10 workshop report? Just to clarify with regard to the
11 proposed name change, if I understand the process
12 correctly, you're going through a decision making
13 process, which, as you indicated on the last slide,
14 will be open -

15 DR. WEINSTEIN: Correct.

16 DR. ALLEN: - for public comments? Also,
17 you've not yet made a decision on that?

18 DR. WEINSTEIN: That's right. I will just,
19 for whatever it's worth, I will just make one simple
20 comment. And that is I tend to agree with the FDA
21 proposals, at least the component, the term component
22 plasma to me, seems to be more descriptive than plasma
23 for manufacture, which sounds as though it's primarily
24 being collected for manufacturing purposes. Other
25 comments or questions on this report?

1 MS. GREGORY: Kay Gregory from AABB. I
2 just want to explain why we did not particularly care
3 for component plasma.

4 In our way of thinking, we normally talk
5 about components as being things that we are preparing
6 for transfusion to patients. And we wanted to
7 distinguish this plasma, which is going to somebody
8 else to do something with, from the components that
9 we're working with and the terminology that we're used
10 to working with throughout our industry.

11 DR. ALLEN: That's a good rationale, thank
12 you. Okay. We will move on to our, thank you very
13 much, Dr. Weinstein, move on to our second update,
14 which is a discussion of the draft UDHQ, Uniform Donor
15 History Questionnaire Acceptance Guidance, review and
16 public comments by Judy Ciaraldi.

17 MS. CIARALDI: That's pretty good. Good
18 morning. Before each donation, blood and plasma
19 donors are asked questions concerning their medical
20 history and their high risk behavior.

21 This is because FDA has stated, in
22 regulations and in guidance documents, that donors
23 must meet certain criteria and the donors are asked
24 these questions to determine if they are eligible to
25 donate.

1 Historically, the blood centers have been
2 responsible for developing their own questionnaires.
3 In the '50s, AABB, formerly known as the American
4 Association of Blood Banks, but now known as AABB,
5 developed their own uniform donor history
6 questionnaire that was used by most, or many, if not
7 most, blood collection centers.

8 And the number of infectious diseases
9 increased and other problems that are associated with
10 transfusion increased, so did the complexity of the
11 questionnaire.

12 A task force was created from multi-
13 organizations to review, evaluate, revise and
14 streamline the AABB questionnaire. The task force
15 submitted their questionnaire to us for your review.

16 We completed the review of the full length
17 materials, and published a draft guidance document
18 accepting it as a tool to collect donor information
19 consistent with our regulations and recommendations.

20 Today I'm going to discuss the comments to
21 the docket for the draft guidance document. Next
22 slide, please. The donor questionnaire process has
23 been discussed at several BPAC meetings, as you can
24 see.

25 In the early '90s, the FDA commissioned a

1 report, a study by the American Institute of Research,
2 to look at the donor interview process, and their
3 results were presented at two meetings of the BPAC.

4 Later on we discussed validation of donor
5 questions and the task force got a chance to present
6 their materials at two BPACs. Afterwards we discussed
7 our review process and then the abbreviated
8 questionnaire and the self-administered questionnaire
9 was presented and discussed.

10 We at FDA, really thank the BPAC for their
11 attention to this particular topic. Next slide,
12 please. In June of 2002, FDA did discuss its review
13 process of the task force materials. This is a
14 graphic representation of the review time line for the
15 full-length questionnaire.

16 Just to highlight a few points. In May of
17 2001, we received a full-length questionnaire from the
18 task force that they asked us to review. This review
19 was conducted by six individuals within FDA, the
20 different offices in FDA.

21 It took us four months to complete this
22 review, and at the end of four months we submitted
23 comments back to the task force.

24 In March of 2002, we received the revised
25 full-length and six additional documents to complete

1 a full questionnaire interview process. This
2 particular review was very complex, very broad. It
3 included eight FDA individuals and four of your BPAC
4 colleagues, for a total of 12 on the review team.

5 In spite of the complexity and broad
6 nature of this review, we were able to turn the review
7 around and provide comments to the task force within
8 seven months.

9 After some exchanges back and forth, to
10 get extra clarifications and revisions to the
11 questionnaire, in July of 2003, we were able to inform
12 the task force that we had completed review on the
13 full-length questionnaire.

14 In addition, we were deep into the
15 development of the draft guidance document, accepting
16 it as a tool for screening donors.

17 We were preparing the draft guidance
18 document during the rest of 2003, and in the beginning
19 of 2004, when in March of 2004, the task force called
20 us and asked us, if necessary, to delay a little bit
21 the publication of the draft guidance document,
22 because they wanted to insert a new validated
23 question, into the questionnaire and they wanted to
24 make sure that we had the most current version
25 included in our draft guidance document.

1 They submitted those materials to us in
2 April of 2004, and we finished the review very quickly
3 and were able to say that we were now done. At the
4 same time, our draft guidance document was published.

5 The total review time for the full-length
6 questionnaire, in FDA's hands was 13 and a half
7 months, in the task force's hands 14 and a half
8 months, independently of each other.

9 So this was a very big project by both
10 parties. Next slide, please. The draft guidance
11 document was published April 23rd, 2004, with a 90-day
12 comment period.

13 The draft guidance includes information
14 about the development of the task force materials and
15 our FDA acceptance of it. It also includes reporting
16 instructions for licensed blood establishment that
17 want to implement the new questionnaire.

18 The task force materials are included in
19 the guidance document as attachments. Next slide,
20 please. More specifically, the draft guidance
21 document states that FDA believes that the task force
22 materials will assist both licensed and unlicensed
23 blood collectors in complying with donor eligibility
24 requirements.

25 It also states that licensed blood

1 establishments may report, in their annual report, if
2 they are going to implement the questionnaire without
3 modifications or with more restrictive modifications.

4 And we are also recommending the self-
5 administration of this donor history questionnaire be
6 reported in the annual report. On the other hand, if
7 blood establishments wish to modify it, as otherwise
8 mentioned, they would have to send that in to us as a
9 prior approval supplement, so that we would have an
10 opportunity to review it.

11 Any new questionnaire that has undergone
12 major revisions by the blood establishment, have not
13 undergone this FDA review like the one that we are
14 accepting.

15 We also stated in the guidance document
16 that blood establishments should report to us as a
17 change that's being affected in 30 days supplement, if
18 they would like to implement this process using a
19 computer-assisted interactive procedure. Next slide,
20 please.

21 There were 11 comments that were submitted
22 to the docket as of last week. Four came from
23 industry groups representing both the blood and the
24 plasma industry.

25 One came from a task force themselves.

1 Three came from blood collection centers and blood
2 collection, blood suppliers. One came from a
3 university hospital.

4 One came from a computer-assisted donor
5 history software vendor, and one came from a private
6 citizen. Next slide, please. We received some
7 positive comments to our particular draft guidance
8 document.

9 These included their appreciation of FDA's
10 acceptance of the donor history questionnaire material
11 from the task force, including that they would be
12 allowed to self-administer it.

13 The also appreciated the annual reporting
14 category, if they implemented without modifications.
15 There were no dissenting comments on the prior
16 approval category for major modifications.

17 One commentor asked if we could expedite
18 the CBE30 supplement review category for the
19 implementation of the computer-assisted process.

20 Just to respond to this, all changes being
21 implemented within blood establishments, come with
22 some level of risk. And it is the responsibility of
23 the blood establishment to minimize this risk by
24 following good *(9:05:58) and process validation
25 before these procedures are implemented, regardless of

1 FDA approval. They also asked for clarification on
2 what we meant by without modification, and what was
3 required or recommended for using the accompanying
4 materials.

5 The things like the education materials,
6 medication list and so forth, that the task force
7 developed. More specifically, they wanted to know if
8 they must use a flow chart format that the task force
9 had prepared for the follow-up questions.

10 We discussed this a little bit. We
11 haven't completed our full evaluation of the comments,
12 but we did discuss this, and we agree that some of
13 those materials that were prepared by the task force,
14 do contain formats that it is important for the blood
15 establishments to keep.

16 Specifically, the questionnaires
17 themselves. But some of the other documents, a blood
18 center may use a different format that is consistent
19 with their procedures.

20 Comments also asked us how to submit
21 comments or concerns that they may have to the
22 attachments. Now the DHQ materials belong to the task
23 force themselves. They are the property of the task
24 force.

25 And they have changed control

1 responsibility over them. So comments about the
2 attachments or the materials themselves, should be
3 forwarded to the task force. Next slide, please.

4 The comments included, whether or not FDA
5 would discuss new questions with the task force before
6 we put them into draft or final guidance documents.

7 We would like to do this whenever our
8 policies allow. We have been discussing internally
9 about one possible way to develop new questions is to
10 conduct focus groups, whenever our resources and time
11 permit.

12 One comment asked us to change our donor
13 eligibility regulations to allow the position to
14 evaluate close contact with hepatitis and then the
15 Medical Director would determine deferral.

16 Right now the regulations do not allow for
17 this flexibility. Questions or comments like this, in
18 anything dealing with changing our regulation, is
19 beyond the scope of the draft guidance document
20 accepting the questionnaire.

21 A couple of comments asked us if we could
22 accept the abbreviated questionnaire in our guidance
23 document. At FDA's request, the task force is
24 continuing studies on the abbreviated questionnaire.

25 Once their revised product comes into FDA,

1 we will need to review it, and this process will delay
2 the publication of the questionnaire.

3 There were several concerns about a
4 comment in the task force material, a standard or a
5 need to complete the full donor history questionnaire,
6 but before determining eligibility. In other words,
7 if a donor answered a question early in the interview
8 process, that would defer them, why would they need to
9 complete the rest of the questionnaire.

10 That standard is not an FDA requirement or
11 recommendation, but it is included in the task force
12 materials. So this particular comment was forwarded
13 to the task force.

14 And all comments contained questions or
15 comments having to do with clarification of
16 information that was contained in the attachments
17 themselves.

18 Because the attachments are the property
19 of the task force, all of these were forwarded to the
20 task force for their evaluation. And we don't
21 consider them relevant to the content of the draft
22 guidance itself. Next slide, please.

23 There were several concerns stated in the
24 comments to the docket. The donor history
25 questionnaire contains questions related to issues not

1 currently recommended or required by FDA. These
2 include a history of cancer, transplant graft and
3 questions about pregnancy.

4 FDA had stated in its draft guidance
5 document that it will allow these non-required, non-
6 recommended issues to be omitted from the donor
7 history questionnaire if the blood establishment so
8 chooses.

9 This is because FDA does not have the
10 legal authority to require or recommend industry
11 standards where we've not come out in our own document
12 stating such. Next slide, please.

13 We also got some concerns that FDA did not
14 require or more strongly encourage the use of the task
15 force materials, and we also stated that we would
16 allow blood establishments that had previously
17 approved questionnaires, to use those even though they
18 were not tested and validated to the extent of the
19 task force materials.

20 Again, the FDA does not have legal
21 authority to require this particular standard and
22 require use of the task force material. Also, FDA
23 does not have the authority to rescind previous
24 approvals in the absence of data showing a potential
25 risk to the public health.

1 The task force is comprised of
2 participants from all the major blood establishments,
3 to ensure that it would be used widely.

4 And I think this is the hope of the task
5 force and that's the reason they composed or
6 constituted the task force with those members. Next
7 slide, please.

8 The process of preparing the final
9 guidance includes evaluating all the comments and
10 revising the document, if it is necessary. We also
11 are going to consult the task force about revision to
12 their materials based on the comments that came to the
13 docket.

14 We've informed the task force that we
15 should review these materials, because our guidance
16 document states that this is the version that we
17 reviewed and have looked at and agree with.

18 We have informed the task force, also,
19 that we feel this review is going to be much more
20 streamline and involve only the three liaisons to the
21 task force committee.

22 Lastly, we will prepare the guidance
23 document according to our regulations. The time to
24 complete this process will depend on the complexity of
25 the changes that are needed to be made to the draft

1 guidance document. Thank you very much for your
2 attention.

3 DR. ALLEN: Very nice summary, thank you.
4 Any questions or comments with regard to the donor
5 history questionnaire?

6 (No response.)

7 DR. ALLEN: Okay, I know that, at least my
8 perspective is that this is a very important step
9 forward and I look forward to it being completed. I
10 do have one quick question.

11 Has the task force or people working with
12 the task force, discussed updating of the history
13 questionnaire as new guidances come out. We discussed
14 *(9:13:02) virus yesterday. There was an update in
15 the last couple of years on, to try to detect symptoms
16 of West Nile Virus and so on, which I know will
17 probably come up again later this morning.

18 But as these new issues come up, is there
19 a way that the organizations that comprise the task
20 force propose to try to handle that and add another
21 uniform question to the questionnaire to keep it
22 uniform?

23 MS. CIARALDI: The answer to that is yes.
24 They are, they have discussed it and they're still
25 discussing the most efficient way to do that. It is

1 the, and Kay Gregory is a member of the task force, so
2 she can finish up where I've left off.

3 But they have, they want to make sure that
4 the integrity of the questionnaire, that it's been
5 validated and all the questions on it have been
6 tested. They want to keep that integrity.

7 So, as new issues come up, they want to
8 have the opportunity to find a mechanism to quickly
9 test them. And then incorporate them into the
10 questionnaire so they are developing of that process.

11 I'm not sure it's been 100 percent
12 finalized, but they have been actively discussing it.
13 It's important to them as well.

14 DR. ALLEN: Do you want to make a comment
15 on that process?

16 MS. GREGORY: I think Judy summarized it
17 very well. And we're actually sort of testing the
18 process by testing the abbreviated questionnaire in
19 some additional ways, so we'll know whether the
20 process works very well or not, and we may need to
21 modify it if that's the case.

22 DR. ALLEN: Good, I'm glad the issue has
23 been addressed. Dr. Epstein.

24 DR. EPSTEIN: Let me just mention one
25 concept that has been discussed as a possible way

1 forward. Which is that as a new issue emerges, where
2 there appears to be a need to screen the donor for
3 medical or risk history, that we might provide
4 guidance to blood establishments to defer donors for
5 that risk, but not to frame a specific question.

6 We would then have a process whereby
7 questions were validated independent of that guidance,
8 and then only later integrated into the uniform donor
9 history questionnaire, as they were validated in their
10 own right, and in the context of the questionnaire.

11 So in essence, a two-tiered process is,
12 you know, one concept that can be pursued.

13 DR. ALLEN: Thank you. Any other, yes?

14 DR. SCHREIBER: Does this uniform donor
15 history questionnaire also apply to the source plasma,
16 or is there another activity going along parallel, and
17 that's a naive question.

18 MS. CIARALDI: The questionnaire that is
19 currently in our guidance document, could be used by
20 source plasma, there's no restrictions on it.

21 But the source plasma industry has
22 determined that because of some of the differences in
23 donor eligibility criteria, that they have separated
24 into their own committee and they're working on their
25 document.

1 They had submitted a first draft to us,
2 and we finished our review and have submitted those
3 comments back to them, and they are working on those
4 revisions that we've asked them to look into.

5 DR. SCHREIBER: Thank you.

6 DR. ALLEN: Okay, thank you very much. In
7 our third update for the morning, is FDA's current
8 thinking on monitoring weight in source plasma donors,
9 Linda Alms.

10 MS. ALMS: Good morning, I'm Linda Alms, a
11 Consumer Safety Officer in the Division of Blood.
12 Next slide. The issue that I'm going to speak briefly
13 about is the tracking of the ten pound weight loss
14 over a two month period of time in source plasma
15 donors.

16 Tracking of the ten pound weight losses in
17 donors over a two-month period of time, is considered
18 a cumbersome process by industry, and it's an outdated
19 and ineffective procedure to reduce the risk of HIV in
20 plasma products. Next slide.

21 Tracking donors for ten pound weight
22 losses over a two month period of time, commenced
23 following CBER's revised memorandum dated December
24 14th, 1984.

25 As stated in the memorandum, the existing

1 cumulative records of each source plasma donor's
2 weight should be examined to assure that any weight
3 loss of ten pounds or more, in less than two months,
4 is detected.

5 The December 14, 1984 guidance, was
6 superceded by a memorandum dated February 5th, 1990,
7 which also includes the statement requiring the
8 tracking of the weight loss for ten pounds or more
9 over a two-month period of time.

10 A subsequent memorandum, dated April 23rd,
11 1992, addresses the additional possibility of HIV2
12 exposure, but no longer made mention of the ten pound
13 weight loss, tracking obligation of the source plasma
14 donors.

15 This memorandum does not specifically
16 state whether the February 5th, 1990 memorandum was to
17 be superceded. However, the current guide to
18 inspections of source plasma establishments, revised
19 April, 2001, still requires that the source plasma
20 donor's weight be examined to ensure that any weight
21 loss of ten pounds or more, in less than two months,
22 is detected. Next slide.

23 Since the early 1980s, improved testing
24 technology has reduced or eliminated the predicted
25 value of weight loss tracking with respect to

1 HIV/AIDS. Although, unexplained weight loss remains
2 a general indicator of possible ill health.

3 Source plasma donors are currently weighed
4 at each donation, in order to determine how much
5 plasma to obtain. These weights are recorded in the
6 plasma donor's records and they are available for
7 review as deemed appropriate by the center's medical
8 staff. Next slide.

9 Current requirements pertinent to source
10 plasma donor eligibility includes the following, 21
11 CFR 6040.63(a), states the suitability of a donor for
12 source plasma shall be determined by a qualified,
13 license physician or by persons under this supervision
14 and trained in determining donor suitability.

15 Such determination shall be made on the
16 day of collection from the donor by means of a medical
17 history, tests and such physical examination as
18 appears necessary to the qualified, licensed
19 physician.

20 And as stated in 21 CFR 640.63(b)1, each
21 donor shall be examined by a qualified, licensed
22 physician, on the day of the first donation or no more
23 than one week before the first donation, and at
24 subsequent intervals of no longer than one week.

25 Therefore, FDA's current thinking is that

1 it's appropriate for the active tracking of ten pound
2 weight loss among source plasma donors, to be
3 performed at the time of the annual physical, and that
4 other donor informational materials should be
5 harmonizes with those in places for the whole blood
6 donor eligibility. Thank you.

7 DR. ALLEN: Thank you. Comments or
8 questions on the, this presentation?

9 (No response.)

10 DR. ALLEN: All right, thank you very much.
11 I understand that we do have a request for an open
12 hearing statement from the Plasma Protein Therapeutics
13 Association, is that correct? Okay.

14 Please come to the microphone, I need to
15 read the public hearing announcement, so if you'll
16 bear with me for just a second, and then if you would
17 introduce yourself and make your statement.

18 Both the Food and Drug Administration and
19 the public believe in a transparent process for
20 information gathering and decision making, to ensure
21 such transparency at the open public hearing session
22 of the Advisory Committee meeting.

23 FDA believes that it is important to
24 understand the context of an individual presentation.
25 For this reason, FDA encourages you, the open public

1 hearing speaker, at the beginning of your written or
2 oral statement to advise the committee of any
3 financial relationship that you may have with any
4 company or any group that is likely to be impacted by
5 the topic of this meeting.

6 For example, the financial information may
7 include the companies or groups payment of your
8 travel, lodging or other expenses in connection with
9 your attendance at meeting.

10 Likewise, FDA encourages you at the
11 beginning of your statement to advise the committee if
12 you do not have any such financial relationship.

13 If you choose not to address this issue of
14 financial relationships, at the beginning of this
15 statement, they will not preclude you from speaking.

16 MR. PENROD: Thank you. Good morning, my
17 name is Josh Penrod, I'm a salaried employee of PPTA,
18 so that I hope that suffices as my disclosure.

19 The Plasma Protein Therapeutics
20 Association is the international trade association of
21 standard setting organizations for the world's major
22 producers of plasma derived and recombinant analog
23 therapies.

24 Our members provide 60 percent of the
25 world's needs for source plasma and protein therapies.

S A G CORP.
Washington, D.C.

202/797-2525

Fax: 202/797-2525

1 These include clotting therapies for individuals with
2 bleeding disorders. Immunoglobulin is to treat a
3 complex, a complex of diseases in persons with immune
4 deficiencies.

5 Therapy is for individuals who have alpha
6 one anti-trypsin deficiency, which typically manifests
7 as an adult onset emphysema and substantially limits
8 life expectancy. And albumin, which is used in
9 emergency room settings to treat individuals with
10 shock, trauma, burns and other conditions.

11 PPTA members are committed to ensuring the
12 safety and the availability of these medically-needed
13 life-sustaining therapies.

14 PPTA welcomes the efforts made by the Food
15 and Drug Administration in reviewing the necessity to
16 monitor, at each plasma donation, records for the
17 donors weight measurements over a two-month period of
18 time for the purposes of detecting an unexplained ten
19 pound weight loss.

20 The recommendation to monitor donor
21 weight, using measurements obtained to determine the
22 amount of plasma that can be donated by the donor, was
23 instituted prior to the development of tests able to
24 detect HIV infection.

25 We agree with FDA that such monitoring

1 today does not add a margin of safety with respect to
2 HIV/AIDS. For source plasma collection centers, the
3 repeated review of these weight loss records, over a
4 two month period, rather than adding to the protection
5 of public health, has instead become an onerous and
6 difficult task that frequently results in auditing
7 pitfalls rather than protecting the plasma donor or
8 the plasma supply.

9 PPTA agrees with the FDA assessment of the
10 utility of new and improved testing technology such as
11 NAT. We also agree with the FDA that unexplained
12 weight loss could be an indication of poor health,
13 that we would add that it could indicate a change in
14 physical activity, dietary habits, employment or
15 season.

16 FDA has focused on the usage of the word
17 unexplained as being the operative turn in this
18 analysis. But this predisposes that any weight loss
19 has one cause, and it is either explained or not.

20 This binary approach may be suitable for
21 determinations of objective testing criteria and
22 standards, but it distal, surrogate marker, such as
23 the weight loss tracking, which never was truly
24 determinate of a disease state, is not subject to such
25 an interpretation, due to its inherent subjectivity.

1 We also agree, in large part, with FDA's
2 historical review of the blood memoranda issued over
3 the past 20 years, given today by Ms. Alms and its
4 briefing materials to the committee.

5 And the recommendation is contained
6 therein. The weight loss tracking criterion is
7 contained only in the current guide to inspections,
8 which is categorized as a level-two guidance, and is
9 not subject to comment before implementation.

10 Our reading of these past memoranda, is
11 that while the April 23rd, 1992, memorandum, quote,
12 did specifically state whether, did not specifically
13 state whether the February 5th, 1990, memorandum was
14 to be superceded, close quote.

15 We would like to point out that the April
16 23, '92 memorandum, states that it replaces the
17 February 5th, 1990 memorandum.

18 Since the February 5th, 1990 memorandum is
19 replaced by the later memorandum, the earlier
20 memorandum should be considered to be superceded. We
21 also note that the 1984 and 1990 memorandum are not
22 generally available to the public on the FDA web site,
23 which indicates that they are, in fact, concerned by
24 the Agency to be obsolete.

25 PPTA appreciates the efforts of the Agency

1 in this regard. We also encourage the FDA to continue
2 review of the regulatory requirements and
3 recommendations that do not add to the safety profile
4 of product manufacture, plasma donation or public
5 health.

6 While PPTA supports requirements and
7 recommendations that can add measurable improvements
8 to donor health and final product safety, outdated,
9 valueless requirements add burdens without benefit.

10 PPTA supports the FDA's review of
11 requirements that had become obsolete and FDA's
12 efforts to examine the regulations and the guidance
13 criteria to limit efficiency and do not generate
14 enhanced safety.

15 On behalf of PPTA and our member
16 companies, I thank the committee for hearing us this
17 morning, thank you.

18 DR. ALLEN: Thank you, any questions or
19 comments on the statement, Dr. Epstein.

20 DR. EPSTEIN: Well, Josh, you may be right
21 on a technicality, but the compliance program document
22 made it perfectly clear that it was still an FDA
23 policy to monitor the donor weight.

24 And I think FDA is concerned that if
25 source plasma establishments are in fact weighing the

1 donor then never to examine the weight records is not
2 appropriate. And we feel that we're providing
3 significant flexibility and reducing burdens by
4 recommending or proposing to recommend that this be
5 done only at the time of the annual physical, and as
6 a general, medical matter.

7 In other words, that's then within the
8 domain of medical discretion, how to deal with weight
9 trends. So, you know, I would just caution you that
10 because the '92 memo did not make specific mention,
11 didn't mean it was dropped.

12 Our intent in that memo was to supercede
13 the previous geographic referrals for HIV2,
14 recognizing that we now have testing for HIV2 and well
15 as HIV1. And perhaps there is an omission in not
16 capturing, you know, all previous recommendations.

17 But the compliance program makes clear
18 that we have not desisted from that recommendation.

19 MR. PENROD: We do appreciate the
20 flexibility we've been given, thank you. Although I
21 think we'd have to debate for another day, the role of
22 the compliance as policy making documentation.

23 DR. ALLEN: Dr. Goldsmith.

24 DR. GOLDSMITH: I was just concerned about
25 your third paragraph statement in which you refer to

1 weight loss as a subjective measure. Is there any
2 kind of a system for, and showing the accuracy of the
3 scales at the donor center. Is that why you refer
4 this as subjective?

5 MR. PENROD: Well, we think weight loss is
6 a measurement of weight loss, rather than of
7 necessarily being symptomatic of HIV. I'm not sure I
8 understand you.

9 DR. GOLDSMITH: Well, you say that weight
10 loss is a subjective measure. Weight loss is an
11 objective measure if the balances have been checked
12 for validity.

13 MR. PENROD: Well, weight loss certainly is
14 objective.

15 DR. GOLDSMITH: Right.

16 MR. PENROD: However, the extent to which
17 you are using it as a surrogate for another disease
18 state and its interpretation of the meaning of the
19 weight loss within that context is open to
20 subjectivity.

21 DR. GOLDSMITH: But it is a general part of
22 medical practice to assess the health of individuals
23 by monitoring their weight over time. So I guess it
24 would seem to be appropriate to use it in this
25 context, even though it's not good for HIV, it might

1 be good for something else.

2 MR. PENROD: Well, we're not abandoning
3 weight loss or weight measurement. Thank you.

4 DR. ALLEN: All right, thank you. At this
5 point the public comment section is closed, this
6 session is closed. We will move on to our open
7 committee discussion, the third topic for BPAC for
8 this meeting, FDA's current thinking on donor deferral
9 for potential or documented infection with West Nile
10 Virus.

11 As we will hear, you know, we are in our
12 second or coming to be close to the conclusion, I
13 hope, of our second season of screening with nucleic
14 acid testing for West Nile Virus.

15 We've learned an awful lot and we'll hear
16 the updates and recommendations for changes in
17 practice. Our first introduction and background will
18 be by Dr. Nakhasi from FDA.

19 DR. NAKHASI: Thank you, Dr. Allen. Good
20 morning. I sort of sound like a broken record. Every
21 BPAC I'm up here and presenting you the update of the
22 West Nile, but I think I hope next time we'll have
23 that, you know, we will see how it turns out to be.

24 Well, I that the topic of discussion is
25 today's, is the, we would like to see if we can have

1 our *(9:31:23) on the donor differential for potential
2 and documented infection of West Nile Virus. The next
3 slide, please.

4 The issue today is on the table is under
5 concentration, updating our current guidance on West
6 Nile, based on the recent reports that extended
7 *(9:31:41), which came out from our, that schedules
8 them under INDS to revise the current deferral period
9 which is in the current guidance physician and the
10 revised one on May of 2003, from 28 days to 56 days
11 for blood donors.

12 We want the positive screen by NAT or
13 reported symptoms of headache and fever. Also we
14 would like to, the question on the table is to revise
15 the guidance to have donors which are deferred with
16 either the positive test, screening test for West
17 Nile, or suggestive symptoms to be entered after
18 testing negative by ID-NAT on a follow-up blood sample
19 prior to re-entry after 56 days.

20 Now, next slide, please. Just to, a quick
21 and brief background, but because Dr. Alan Williams
22 will give a detailed background about what the current
23 guidance talks about and how the questions have been
24 changed and that, you know, what we would like to
25 change and we'd like to make the changes and also the

1 question is on the table, which, you know, he will be
2 asking at the end. Just to re-orient you about the
3 current recommended donor deferral criteria, they are
4 based on the donor deferral based on the reactive NAT
5 results.

6 Currently, if a donor sample is tested
7 positive on individual donation, FDA recommends a
8 deferral of 28 days, which is based on the known
9 longest period at that time, which was known at that
10 time, which was the in 1950s, and so, you know, cancer
11 patients, and that was based on that, on 28 days at
12 that time.

13 This was before the testing was initiated.
14 And what is happening under this, currently under
15 clinical trial and IND donors are asked to enroll in
16 a follow-up sample, those who have tested positive.

17 And then they are re-entered based on
18 documented IgM conversion, seroconversion and
19 additionally a negative NAT result after 28 days is
20 required for donor re-entry.

21 In some cases, you know, if you want to
22 re-enter the donor earlier, before 28 days, it is
23 retested, the individual sample and donation, and if
24 it is negative it is re-entered after 28 days.

25 Or, if it is positive, then the donor is

1 deferred again for 28 more days. Next slide, please.
2 The next criteria is based on donor deferral based on
3 the West Nile symptoms. This is basically on the
4 potential, again, based on the known knowledge at that
5 time having the extended period, you know, donor
6 period of 28 days.

7 The potential donors with medical
8 diagnosis of West Nile infection, including diagnoses
9 based on symptoms or laboratory results are deferred
10 for 28 days from the onset of illness or 14 days after
11 the conditions are resolved.

12 The other question is also asked regarding
13 the previous symptoms are included as part of the
14 current donor selection criteria. This was based on
15 the hypothesis -- not hypothesis. This was based on
16 the thing that during the -- some of the
17 transfusion-transmitted cases which were negative on
18 NAT later on to show that they had symptoms reported
19 to be symptoms before or after the donations.

20 So in that question, what is happening is
21 donors are asked about the fever and headache in the
22 past one week and if yes, they are deferred for 28
23 days from the day of interview.

24 Next slide, please. So that's the current
25 guidance. Now, during the last year's study and

1 testing and this year some of the testing done, ARC
2 and BSL studied West Nile RNA dynamics in a number of
3 reactive blood donors from 2003 epidemic.

4 They followed. The follow-up was to
5 determine the rate of disappearance of RNA as well as
6 the seroconversion of IgM and IgG. What they found
7 out, surprisingly, is that in rare cases, some of
8 these West Nile viremia may last up to 49 days and
9 that in those cases, RNA it coexist with both IgM
10 and/or IgG.

11 So that sort of raised our flags that the
12 virus can be found as long as 49 days, even though it
13 is very rare. But you will hear more about the mean
14 days of duration of viremia from both ARC presentation
15 and BSL presentation by Sue Stramer and Mike Busch.

16 Next slide, please. So the questions to
17 the committee are, do the available scientific data
18 support extending the currently recommended default
19 period of 28 days to 56 days: one, for blood donors,
20 the positive West Nile NAT screening test; and, two,
21 for blood donors who report symptoms of headache with
22 fever in the week before donation?

23 Next slide, please. The next question
24 would be, do the scientific data support a
25 recommendation to obtain a negative result by ID-NAT

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 prior to reentry of blood donors who are different
2 either on the basis of reacting to NAT and/or on the
3 basis of symptoms?

4 Third is to the committee. Are there
5 other alternatives that should FDA consider regarding
6 criteria to reenter donors who are deferred for West
7 Nile based on that or symptoms? So those are the
8 questions which Dr. Alan Williams will present at the
9 end of the discussion.

10 Next slide, please. So quickly to update
11 you, but you will hear the more expanded, extended
12 update from CDC. Just to reorient you while you are
13 listening to those presentations, as of October 19,
14 2004, we have this year so far 2,151 cases and 68
15 deaths.

16 Forty-seven states are endemic for West
17 Nile virus, and there was one case reported, one case
18 of transfusion-transmitted case, in Arizona. This
19 happened before the ID-NAT was instituted in that
20 region because, as you remember, this year, as soon as
21 the native area became hot, that means that you found
22 more cases, you know, a lot more than four cases in
23 certain regions, the blood establishment changed from
24 Mini-Pool NAT to ID-NAT. So this case happened before
25 the ID-NAT was instituted in that, just 12 days before

1 the ID-NAT was instituted in that.

2 And, as we confirm with NAT, the IgM
3 reactivity donor recipient follow-up, you will hear
4 more about this case from Dr. Theresa Smith's and Dr.
5 Jennifer Brown's presentations later on.

6 Next slide, please. So now how do we
7 stack up in the interdiction of the asymptomatic
8 donors since we started testing in the ID West Nile
9 NAT by Mini-Pool NAT as well as ID-NAT now this year
10 in certain areas?

11 Last year, 2003, in last year, 2003, 880
12 West Nile presumptory donors were reported to CDC
13 ArboNet. Underlining the CDC's ArboNet, there are
14 more than those cases, approximately 1,000 cases,
15 which found the blood establishments.

16 As of October 19, 2004, this year, we have
17 191 presumptive donors. And, you know, look at the
18 comparison between the two numbers, even though the
19 year is not over yet, again officially reported for
20 CDC ArboNet using both Mini-Pool as well as ID-NAT.
21 Then this testing, ID-NAT testing, started in May '04.

22 Next slide, please. So what are we doing?
23 We are still continuing working closely with the test
24 kit manufacturers to see how we expedite the test
25 licensure. And we are still continuing to participate

1 in biweekly, this year biweekly at least, meetings of
2 the task force established by the blood community and
3 blood bank community, which includes CDC, NIH, and
4 coordinating and monitoring the infection throughout
5 the year.

6 Next slide, please. So today's agenda
7 will be as follow. First, the summary of the 2004
8 epidemic will be presented by Theresa Smith and
9 Jennifer Brown. And the duration of viremia and
10 experiences with the NAT testing, both Mini-Pool and
11 ID-NAT, which is going under IND, will be presented by
12 Mike Busch and Susan Stramer. And the current
13 thinking on the deferral extended and donor deferral
14 guidance will be talked about by Dr. Alan Williams.
15 And the questions will be again presented to you by
16 Alan Williams.

17 Thank you very much.

18 ACTING CHAIRMAN ALLEN: I am extremely
19 impressed. You wrapped up right at the zero second.
20 Excellent.

21 I have just one quick question. And I
22 suspect that this is information that will come out
23 later. But if you know it, you reported the number
24 for both 2003 and 2004, the number of presumptive
25 viremic blood donors. Do you have a rough estimate of

1 the proportion of presumptive positives that are
2 confirmed?

3 DR. NAKHASI: I think that Theresa and
4 Jennifer will talk about that.

5 ACTING CHAIRMAN ALLEN: Very good. Any
6 other questions or comment on this introduction before
7 we move to the full presentations?

8 (No response.)

9 ACTING CHAIRMAN ALLEN: Thank you.

10 As introduced, our next speaker
11 summarizing the 2004 epidemic is Dr. Theresa Smith
12 from CDC. Welcome.

13 DR. SMITH: Thank you. And I appreciate
14 the opportunity to talk to you about what we know so
15 far about the 2004 epidemic.

16 B. SUMMARY OF 2004 EPIDEMIC

17 DR. SMITH: Go ahead and go to the next
18 slide, please. I will quickly go over the virology of
19 West Nile virus, the epidemiology from 1999 to 2004,
20 some of which you have seen last year during this
21 update. We'll go on to the 2004 update and blood
22 donation surveillance events.

23 During these two portions of the talk, I
24 am going to be underlining the fact that the data that
25 you're getting is not the last word. We are still in

1 the midst of transmission. We are still in the midst
2 of gaining surveillance information.

3 Next slide, please. West Nile virus is a
4 flavivirus in the Japanese encephalitis sera group.
5 West Nile virus and St. Louis encephalitis are the two
6 members of this serogroup that are found in the United
7 States.

8 These organisms are primarily bird
9 pathogens. And they amplify in avian host. That
10 means that an infected mosquito that causes an
11 infection in a bird has a great deal of change between
12 how infected material goes into the bird versus how
13 much infected material is available in that bird once
14 it has a full-blown infection.

15 The common method of transmission amongst
16 nature is from birds to mosquitos to birds. Mammals
17 are a dead end host for this virus with only low-level
18 viremia occurring within mammals before an illness
19 onset.

20 Next slide, please. I think that you are
21 fairly familiar with some of what has happened over
22 the last few years.

23 Next slide, please. But you might not be
24 familiar with where some of the data is coming from.
25 ArboNet is a national arbovirus surveillance system

1 that is a Web-based passive system begun in 2000. It
2 includes 57 area health departments that report to the
3 Division of Vector-Borne Infectious Diseases in Fort
4 Collins. They report mosquito, bird, horse, and other
5 animal surveillance data, including the year, state,
6 county, and date of collection of the specimens.

7 For human cases, state and county of
8 residence, clinical illness, and onset date, age, sex,
9 race, ethnicity, and risk factors for developing West
10 Nile virus infection are collected, including the
11 questions of blood donations and receipt.

12 The next few slides I think you're
13 familiar with and I will go through quickly. They
14 will show you the spread of West Nile from 1999
15 through 2004. One of the aspects I would like to
16 concentrate on is the difference between the map that
17 you saw at this time last year and the map that we
18 then created once we had all of the data in for this
19 year.

20 If you would show the next two slides?
21 Next, please. Next. Next. Next. Here is what you
22 received last year about this time. Next slide,
23 please. And you can see that by the time we had
24 received all of the data for 2003, we had added two
25 new states. Idaho and Nevada now have activity in

1 this slide. It has become a fuller, more dense slide.
2 And areas that originally had only non-human West Nile
3 virus activity now were showing human cases, which are
4 in red. Thank you.

5 Next slide. Here is our most recent as of
6 the time of the printing of these slides set of data
7 for 2004. As you can see, this is as of September
8 27th. And I would like to point out again that not
9 only is transmission still occurring, so, too, is
10 reporting quite a bit behind that as well.

11 Next slide. The 2004 surveillance update
12 I'm going to again take use of the numbers of last
13 year and compare them so you can have a basis to
14 understand this year's numbers.

15 Next slide, please. In 1999, there were
16 62 human cases of West Nile virus disease in the
17 United States; 2000, there were 21; 2001, 66; 2002,
18 4,156; 2003, 9,862.

19 I want you to note that in each of these
20 cases, these are the reports that we received with an
21 onset before December 31st of that year. That
22 contrasts with what data you will be receiving today.

23 Next slide, please. If we look at what we
24 had at the time of the printing of these slides, there
25 were 4,137 cases of human West Nile virus illness that

1 had been reported to CDC. And, again, thinking of the
2 previous slide, this is only 42 percent of what we
3 ended up understanding had occurred during that year.

4 At the time of your report last year, you
5 were told that there were 36 states and the District
6 of Columbia that were affected. West Nile meningitis
7 and encephalitis had had 1,153 cases reports. West
8 Nile fever had had 2,414 cases reported. There had
9 been 80 deaths, with a median age of 79 years.

10 Eight states last year had over 100
11 reported cases. Almost 90 percent of the reported
12 cases occurred in these states. That included
13 Colorado, South Dakota, Nebraska, Wyoming, Texas,
14 Montana, North Dakota, and New Mexico.

15 Now, if we contrast this to roughly the
16 same period this year, we had at that same period
17 1,784 cases. If we assume that this is, again, not
18 quite half of the cases for this year, it would appear
19 that we are not going to have quite as many cases this
20 season as last season.

21 However, we do already have 39 states and
22 the District of Columbia affected: meningitis and
23 encephalitis cases number 632, West Nile fever cases
24 number 721. There have been 56 deaths at the time of
25 this report, with a median age of 75.

1 At the time of this report, 3 states had
2 had over 100 reported cases, accounting only for
3 two-thirds of all of the cases: California; Arizona;
4 and, once again, Colorado.

5 Next slide, please. Here you see the West
6 Nile virus human cases by week of onset, 2003 in pale
7 blue versus 2004 in burgundy, I guess. And you can
8 see that in 2004, we had an earlier rise in the number
9 of cases and that through the beginning of July.
10 There were actually more cases per week of onset than
11 there were in the previous year.

12 Next slide, please. For the blood
13 donation surveillance events, I am again going to go
14 ahead and show you some maps comparing what you
15 learned at this time last year to what the ultimate
16 reality of the 2003 season was.

17 Next slide, please. Here is what you were
18 shown last year with 495 donors reported as of
19 September 17th in 2003. You can see that they are
20 predominantly central. There is some crowding in
21 Nebraska-South Dakota.

22 Next slide, please. By the end of the
23 year, it has become much more dense throughout the
24 Midwest. And you now have coast to coast events.

25 Next slide, please. Here, as the

1 information we had on presumptive viremic donors as of
2 October 4th, 2004, you can see that we are already
3 coast to coast but not particularly dense in the
4 number of cases that have occurred in any one area.

5 Next slide, please. Last year at this
6 time, there were 495 presumptive viremic donors
7 reported in 20 states. This turned out to be
8 approximately 60 percent of the ultimate total that
9 were reported to the CDC, which was 818. The top four
10 states for reporting presumptive viremic donors were
11 Colorado, Nebraska, South Dakota, and Kansas.

12 This year, at roughly the same period of
13 time, we had 157 presumptive viremic donors that had
14 occurred in 20 states again. The most common four
15 states for reporting were California, Arizona, Texas,
16 and New Mexico, in this case an entirely new set, as
17 opposed to the West Nile virus illness in general.

18 Next slide, please. How have we done in
19 terms of our ability to prevent transfusion-associated
20 transmission? Well, we have decreased both our
21 numbers as well as the viremic load of the donations
22 that have been affected. In 2002, plasma from 16
23 implicated donations had virus titers ranging from 0.8
24 to 75.1 plaque-forming units per milliliter, with a
25 median of 10.5 plaque-forming units.

1 In 2003, plasma from four implicated
2 donations had virus titers ranging from 0.06 to 0.5
3 plaque-forming units per milliliter, with a median of
4 0.11 plaque-forming units per milliliter.

5 This year, at the time of this report, we
6 had one implicated donation with a viral titer of
7 approximately a .12 plaque-forming units per
8 milliliter.

9 Next slide, please. I'm going to give you
10 a summary. And immediately afterward, I'm going to
11 give you more information through Dr. Jennifer Brown.
12 Overall what we have seen is that widespread West Nile
13 virus activity has covered almost all of the
14 continental United States, with New York, the original
15 site, still reporting human cases. There has been
16 continued westward expansion with human cases reported
17 from all states except Alaska, Hawaii, Maine, and
18 Washington.

19 The concentration of presumptive viremic
20 donors has occurred in those areas that have the
21 highest concentration of infection rates in general.
22 We do continue to investigate possible
23 transfusion-associated transmissions. And we have not
24 seen this year that our West Nile virus
25 transfusion-associated transmission rate is at zero.

1 Next I would like Dr. Jennifer Brown to
2 give you the update as of earlier this week. Thank
3 you.

4 DR. BROWN: Thank you.

5 So as Dr. Smith pointed out, we are
6 continually receiving new surveillance information.
7 And I put a few slides together just to update you on
8 what has been happening over the past couple of weeks.

9 These data are current as of October 19th,
10 which was Tuesday of this week. And as of that day,
11 there were only three states left that had not
12 reported any West Nile virus activity in 2004:
13 Alaska, Hawaii, and Washington State.

14 In the Northeast, we have seven states
15 that have reported West Nile virus activity in birds,
16 mosquitos, or in horses but have not reported any
17 human cases in 2004.

18 Next slide, please. So the current human
19 case count is 2,151. And those cases have been
20 reported from 40 states and the District of Columbia.
21 About 35 percent of these cases have been cases of
22 West Nile neuroinvasive disease and about 41 percent
23 have been cases of West Nile fever, but there's a
24 substantial number of cases that have not yet been
25 classified. So we will be looking for those case

1 classifications to be updated as we receive more
2 information from the health departments that are doing
3 those investigations.

4 Sixty-eight of those cases have been fatal
5 so far. The median age of the decedents has been 74
6 years. And no one under the age of 43 has died as a
7 result of West Nile virus infection.

8 Next slide, please. So here is a map, to
9 give you a visual. You can see that we have had a
10 quiet year in the Northeast in terms of human cases,
11 but that does not mean that West Nile virus has been
12 absent from those areas. We have evidence of
13 transmission in birds and mosquitos in all of those
14 states that are colored in green.

15 The states that are colored in blue are
16 states that have reported human cases. And, as you
17 can see, Washington has reported neither ecologic
18 activity nor human cases, but with newly reported
19 ecologic activity and human infections in the State of
20 Oregon, it seems likely that either late this season
21 or next year, we will start seeing some West Nile
22 virus activity in Washington State.

23 Next slide, please. So this is the top
24 ten in terms of reporting of human cases in 2004.
25 And, as you know, California, Arizona, and Colorado

1 have reported the highest numbers of human cases.
2 They currently account for about 62 percent of that
3 2,151 cases that have been reported so far.

4 One of the things that I wanted to point
5 out to you as you look at this slide is that several
6 of the states shown here are states that have
7 experienced epidemic activity in past years but are
8 still continuing to report substantial numbers of
9 cases.

10 In particular, Louisiana and Illinois are
11 states that were foci of the epidemic in 2002. Each
12 of these states reported hundreds of cases in 2002 but
13 then continued to report substantial numbers of cases
14 in 2003 and 2004.

15 So, for me, this illustrates the need for
16 continued vigilance, even in areas that are not
17 currently experiencing epidemic levels of West Nile
18 virus activity.

19 Next slide, please. As of Tuesday, we had
20 191 presumptively viremic donors reported to CDC from
21 23 states. And, as Dr. Smith reported to you, the
22 highest numbers of donors had been reported from
23 California, Arizona, Texas, and New Mexico. Three of
24 those presumptively viremic donors had gone on to
25 develop West Nile neuroinvasive disease or meningitis,

1 encephalitis, myelitis, or other CNS pathology.
2 Forty-five have gone on to develop symptoms of West
3 Nile fever.

4 Next slide, please. This is the
5 presumptively viremic donor map updated as of Tuesday.
6 It's not much different from the one Dr. Smith showed
7 to you. The one thing that has been added is that a
8 green triangle marks the county of residence of the
9 transfusion-associated transmission case that was
10 reported in the September 17th MMWR.

11 Next slide, please. I do have a little
12 bit more information to report to you. We have
13 learned of a second probable case of
14 transfusion-associated transmission. That is still
15 under investigation by the State of Michigan.

16 The donor was an Illinois resident who
17 donated blood in Iowa and subsequently became ill.
18 The donation was nonreactive by Mini-Pool, reactive by
19 individual donation testing. The donor has
20 seroconverted.

21 The platelet recipient is a Michigan
22 resident and does reside in an area where there is
23 West Nile virus transmission. And the recipient has
24 not developed symptoms of West Nile virus infection
25 but has seroconverted.

1 Next slide, please. The question that
2 everyone is asking us at CDC is, what is going to
3 happen in 2005? There are only a few things that we
4 can say with any degree of certainty.

5 Next slide. First, human cases will
6 continue to occur in areas where West Nile virus has
7 already been identified.

8 Next slide. Second, the geographic range
9 of West Nile virus will continue to expand through the
10 movement of infected birds.

11 Third, epidemics will occur in areas where
12 conditions are favorable. But, unfortunately, we
13 can't tell you right now in the Fall of 2004 where
14 areas of epidemic activity will be in 2005. And
15 that's why on the next slide we see that surveillance
16 is critical for early identification of epidemics.
17 That's why it's so important for us to look for West
18 Nile virus activity in birds, mosquitos, horses, and
19 blood donors, and to look for human cases as well
20 because that's the way that we learn where epidemics
21 are developing. And hopefully we can learn about them
22 in time to implement public health interventions.

23 Next. And, finally, I'd like to conclude
24 by showing you the faces of some of the people that
25 are responsible for the collection and analysis of

1 ArboNet data. Some of them are shown here, and some
2 are shown on the next slide with the ArboNet team.

3 Dr. Smith and myself are both available to
4 field your questions if there are any.

5 ACTING CHAIRMAN ALLEN: Thank you both.

6 Yes, Dr. Lew?

7 MEMBER LEW: Since we know reporting is
8 what I consider the tip of the iceberg, what do
9 serologic studies show in terms of how many people
10 will actually be infected every year? And when do you
11 think you will reach a point where the majority will
12 be --

13 DR. BROWN: Well, we know from past years'
14 serosurveys that have been conducted in areas of
15 epidemic transmission in the Northeast, in New York
16 City, and Connecticut; in Louisiana, where an epidemic
17 took place in 2002; in Rumania, where a West Nile
18 virus epidemic occurred in 1996.

19 Population-based serosurveys conducted
20 after West Nile epidemics in those areas showed that
21 overall at a population level, the seroprevalence of
22 infection was no more than two to three percent. And
23 so it's unlikely that at this point, even in areas
24 that have previously experienced West Nile virus
25 epidemics, that we have reached a level where

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 background immunity in the population would be
2 adequate to protect against future epidemics or future
3 infections.

4 ACTING CHAIRMAN ALLEN: It does seem that
5 we've got a slightly different pattern in the United
6 States than we have ever been aware of in any other
7 country. New York now is in its sixth year of
8 reported cases, even though it was a fairly small
9 number of human cases this year.

10 So we may find that if you consider the
11 United States as a whole, we may become an endemic
12 country for continued West Nile virus activity.

13 DR. BROWN: Oh, certainly. One of the
14 things that we can say with certainty is we will
15 continue to see cases of West Nile virus. What
16 remains to be seen, since the virus is so new, we are
17 still learning about its ecologic behavior.

18 And so what we don't know yet is whether
19 it will fall back to a level of endemicity where we
20 will only see sporadic cases, as we do with St. Louis
21 encephalitis, punctuated by irregular and
22 unpredictable outbreaks, or whether we will continue
23 to see what we have seen so far, which is sporadic
24 cases in some states, modest levels of activities in
25 others, and epidemic levels of activity in still

1 others. We will just have to keep watching to see
2 what happens.

3 ACTING CHAIRMAN ALLEN: One other question
4 just for clarification. Of the total reported human
5 cases, that includes the asymptomatic virus-positive
6 people if you become aware of them as well as those
7 with West Nile fever and West Nile
8 meningoencephalitis?

9 DR. BROWN: No. That's a very good
10 question. ArboNet -- when we discuss reported cases,
11 we are referring to the case definition for West Nile
12 virus disease that has been developed by the Council
13 of State and Territorial Epidemiologists. And that
14 case definition refers only to symptomatic cases.

15 We track presumptively viremic donors
16 separately. So the mechanism for tracking donors
17 allows us to track people who are asymptomatic, but
18 when I reported those 1,251 cases, those are only
19 cases that meet the national case definition for West
20 Nile virus illness. So an asymptomatic donor would
21 not be included in that count.

22 The donors that did, those 48 donors that
23 did, go on to develop neuroinvasive disease or West
24 Nile fever, they are included in that overall case
25 count. So that's why we present the case count

1 separately from the donor count.

2 ACTING CHAIRMAN ALLEN: Okay. There was
3 still a, however, category. If you add up the
4 meningoencephalitis and the West Nile fever, that
5 still doesn't total 100 percent, however. Are those
6 just not classified yet?

7 DR. BROWN: Right. Those are not all
8 asymptomatic donors. Those are cases that have not --
9 their clinical syndrome has not yet been classified.
10 And they're still under investigation by the state
11 health departments that are tracking them.

12 ACTING CHAIRMAN ALLEN: Thank you.

13 Dr. Doppelt?

14 MEMBER DOPPELT: I just had a question to
15 follow up to that. On one of those slides, I think
16 you said it was 35 percent had neuroinvasive disease.
17 So depending upon how you're counting, what's the n,
18 the number infected? So I assume that that means that
19 the total percentage of neuroinfected is not really
20 different this year than last year or not?

21 DR. BROWN: That is hard to say.
22 Thirty-five percent of the cases that have been
23 reported to us have been classified as neuroinvasive
24 illness. Because so many of them have not yet been
25 classified, it's difficult to say. That's kind of a

1 moving target.

2 It's difficult to say what the final --
3 what proportion of neuroinvasive disease cases, how
4 much they will contribute towards the total number of
5 cases reported. And, as you have pointed out, the
6 proportion of neuroinvasive disease cases as a
7 proportion of the total number of cases reported is
8 not the same as the proportion of neuroinvasive
9 disease cases as a whole of the entirety of people who
10 are infected.

11 We think that about one in 150 West Nile
12 virus infections will result in neuroinvasive disease.
13 So it's not that 35 percent of everyone who is
14 infected with West Nile virus gets neuroinvasive
15 disease. The actual number is quite smaller.

16 ACTING CHAIRMAN ALLEN: Dr. Lew?

17 MEMBER LEW: Have you had a chance to see
18 of the people who fit in the definition -- in other
19 words, how good is your definition for West Nile for
20 reporting when you have ability to test that they
21 actually are positive? I mean, has it been validated
22 some, the definition that you have?

23 Just like initially with the HIV epidemic,
24 there was criteria to make the diagnosis. But then
25 later we have testing.

1 DR. BROWN: Yes. The case definition that
2 we use has two components. One is the clinical
3 component, and one is the laboratory component. And
4 so in order to meet the case definition, a case must
5 first meet the clinical criteria for diagnosis.

6 But then they must also have one of the
7 laboratory criteria for diagnosis. And these
8 laboratory criteria we are very comfortable have a
9 very high positive predictive value for being cases of
10 West Nile virus illness.

11 ACTING CHAIRMAN ALLEN: Dr. Williams?

12 DR. WILLIAMS: Alan Williams, FDA.
13 Pertinent to the questions being posed to the
14 Committee today, of the two presumptive transfusion
15 cases under investigation, the first my understanding
16 is the donor did not report having any symptoms prior
17 to the donation. Do you know what the situation is
18 with respect to the second donor under investigation?

19 DR. BROWN: I only have very limited
20 information about that case, but it is my
21 understanding -- and I'll ask Dr. Smith to jump in if
22 she knows more, but it's my understanding that this
23 was a case where the donor became ill following
24 donation and the investigation resulted as a result of
25 the donor notifying authorities.

1 ACTING CHAIRMAN ALLEN: Dr. Nakhasi?

2 DR. NAKHASI: Hira Nakhasi, FDA. Dr.
3 Allen, I just wanted to have clarification of what
4 Jennifer Brown said. You know, you were asking, do
5 you think in Europe or other countries, why the U.S.
6 now is sort of developed and Peter has it.

7 There was a paper last year in *Science*
8 where they described the differences between the
9 mosquito population here in the United States and
10 Europe is different. So that's why the difference
11 possibly could be, that they have much more endemic,
12 they have become better or worse of that. And you
13 have the better -- you know, you have epidemic
14 currently going on.

15 And because other of the differences are
16 hardly because the European population and the U.S.
17 population more or less are the same basically.

18 DR. BROWN: That is a very good point in
19 that the differences in the mosquito populations could
20 be one factor that influences the behavior of West
21 Nile virus in the United States. That may be one
22 thing that makes West Nile virus different in the U.S.
23 than in Europe.

24 DR. NAKHASI: Yes.

25 ACTING CHAIRMAN ALLEN: Okay. Dr.

1 Kleinman?

2 DR. KLEINMAN: Yes. Steve Kleinman. I
3 have one comment and one question. The comment is
4 more for the Committee, just to be clear that the
5 number of positive donors reported to CDC through
6 ArboNet or whatever, AlterNet, whatever it's actually
7 called, are actually fewer than the number of West
8 Nile virus donors that will come up in the next
9 several presentations because not every state gets the
10 report and reports it on to CDC.

11 So that's just a comment, although I think
12 it is interesting that the relative proportion of
13 cases dropped significantly in 2004, both in CDC's
14 data and in the blood center data.

15 My question is a more general one. Have
16 you seen or have you been able to assess the effect of
17 mosquito-spraying programs on the progress of West
18 Nile? I know it's a county by county or state by
19 state decision, but what is sort of the general
20 climate of whether effective places spray for
21 mosquitos or not?

22 DR. BROWN: At CDC, we do feel that
23 mosquito control is an important component to West
24 Nile virus case prevention, but it is very difficult
25 to do a scientific assessment or to quantify the

1 degree to which cases can be prevented by spraying.
2 That is because mosquito abatement districts tend to
3 vary by community.

4 And in order to answer that question, you
5 would have to find two mosquito abatement districts
6 with different vector control programs, but those two
7 communities would have to be similar in every other
8 way. It is extremely difficult to find that set of
9 circumstances where you could answer the question of
10 whether it was only the mosquito control that was
11 making the difference in cases.

12 So we are looking, our entomology group is
13 looking, at ways to answer that question, but it is
14 very difficult. That being said, we do feel that
15 vector control is a very, very important part of case
16 prevention, especially in epidemic areas.

17 DR. KLEINMAN: Yes. And do you have a
18 sense on at the community level how frequently
19 communities are actually doing this versus not
20 spraying or is that just so individual that it is hard
21 to answer?

22 DR. BROWN: That is another thing that
23 tends to vary a lot by community. In Maricopa County,
24 for example, in some residential areas, there was a
25 high degree of resistance and some political

1 resistance as well to doing aerial application of
2 insecticide, where in some more rural areas, it's no
3 problem at all.

4 So that's another thing that varies from
5 community to community. And that's another reason why
6 it makes it so difficult to do scientific studies to
7 try to quantify the degree to which this is effective.

8 ACTING CHAIRMAN ALLEN: We are getting a
9 little afield here in terms of spraying. And I
10 realize the relationship. I personally would love to
11 continue the discussion.

12 We have got a schedule to adhere to. We
13 will take questions from two other people at the
14 microphone and any others from the Committee directly.

15 DR. BUSCH: Yes. Mike Busch from Blood
16 Systems.

17 Of the two cases breakthroughs, probably
18 breakthroughs, issues, one of them, as you indicated,
19 is reported to MMWR. It was a Blood Systems case
20 where we had our system to turn on individual donation
21 NAT, but it basically was not completely ready to
22 operate in early June. The epidemic started earlier.
23 Had that system been in place, we're confident that
24 that donation would have been screened by ID-NAT and
25 interdicted.

1 The second case you mentioned, you
2 indicated that it was ID-NAT-reactive. Was that
3 ID-NAT performed by the test of record at the blood
4 center? And also I think, to my knowledge, all of the
5 transmissions from prior years and this year have been
6 IgM-negative. Was that additional case tested for
7 serology?

8 DR. BROWN: I do not have the personal
9 familiarity with that case to be able to comment, but
10 perhaps Dr. Smith.

11 DR. SMITH: Hi there. We are in the midst
12 of getting this one settled. So I'm afraid that we
13 haven't shared all of our information. We tried to
14 give you enough to let you know that this has
15 occurred. So I apologize that I haven't given Jen all
16 of the information she could share with you.

17 This case came through during a time when
18 the blood bank was doing Mini-Pool testing. There had
19 actually been no positive Mini-Pools. So there was no
20 trigger that could have been sent off to switch to
21 ID-NET. And in retrospective testing of the plasma,
22 it was IgG-negative.

23 DR. FITZPATRICK: Mike Fitzpatrick from
24 America's Blood Centers. Just one question.

25 You stressed the importance of

1 surveillance on prediction and looking at what has
2 happened with the epidemic. A number of states and
3 counties have stopped surveillance of birds, and I
4 just wondered what the impact of that is on your data
5 and what the future holds for those areas that are no
6 longer doing that surveillance.

7 DR. SMITH: Many places have chosen to
8 stop surveillance for birds this season and will
9 reinstitute that in the spring. Once you have a
10 positive bird, it doesn't gain you more information to
11 have more positive birds in any one particular county.

12 I don't know of anybody that has said that
13 they will not be accepting for a new season reports of
14 dead birds that they would want to check.

15 Thank you.

16 ACTING CHAIRMAN ALLEN: Dr. Lew?

17 MEMBER LEW: Just as a follow-up to what
18 Dr. Williams had mentioned. And I can stand for
19 clarification, but my understanding is about one in
20 150, as you mentioned, or one percent or less has
21 encephalitis, 20 percent with West Nile fever-like,
22 but the vast majority of people with West Nile
23 infection are asymptomatic. So that is going to be a
24 problem.

25 DR. SMITH: Also, for the clarification of

1 the numbers, currently this is not a disease that is
2 required to be reported. So we're not going to get
3 100 percent of the neuron base of numbers or 100
4 percent of the West Nile virus fever numbers, which is
5 also going to make the percentages then different. In
6 the coming year, meningitis and encephalitis will be
7 reportable.

8 Thank you.

9 ACTING CHAIRMAN ALLEN: Thank you, Dr.
10 Smith and Dr. Brown, for a very nice update. I hope
11 both of you will be available later in the day if
12 people want to engage you in discussions or we could
13 go on for hours.

14 Our next presentation we're going to get
15 back more directly to blood collection center
16 experiences, duration of viremia, and experience with
17 individual NAT testing, Dr. Michael Busch from Blood
18 Systems.

19 DR. BUSCH: Thank you.

20 C. DURATION OF VIREMIA/EXPERIENCE WITH ID-NAT

21 DR. BUSCH: This is a project that
22 obviously involved lots of collaborators to
23 characterize both the index donation and the serial
24 follow-up samples as well as some other studies
25 correlating viremia with the total infection rates in

1 the population. So, again, the collaboration by
2 several companies as well as Blood Systems. And this
3 was supported by NHLBI and CDC and Blood System
4 Foundation.

5 Next slide. Actually, the insights into
6 the natural history of West Nile virus I think are
7 able to be significantly enhanced and expanded with
8 the implementation of donor screening because, really,
9 for the first time with donor screening, we're
10 detecting humans within the acute viremic phase of
11 infection and are able to then follow them to
12 understand better the evolution of viral immune
13 markers and pathogenesis questions.

14 So, really, we're very interested in
15 further studying these issues, both with respect to
16 the donor screening and deferral policies we're
17 talking about today, but also I think we're generating
18 data that has insights into the diagnosis of the
19 infection in clinical populations and also the
20 pathogenesis issues.

21 So I am going to summarize for you four
22 studies that we have been doing relevant to the
23 question of viral dynamics. The first is just
24 analysis of the index donations, the yield donations
25 themselves, then a study where we have correlated the

1 yield of Mini-Pool NAT with the cumulative incidence
2 of West Nile virus in a particular state, an epidemic
3 region.

4 Of relevance to this discussion, this
5 analysis has allowed us to estimate the duration of
6 the window period that Mini-Pool NAT detects. That,
7 in turn, actually allows one to use that understanding
8 of that window period to estimate total infection
9 rates in the population.

10 The next analysis is a study that Blood
11 Systems did where we did a large amount of individual
12 donation NAT testing of samples that had been
13 Mini-Pool-negative from 2003. By analysis of that
14 data, we have been able to estimate the lengths of the
15 window period that is detectable by individual
16 donation that prior to Mini-Pool-detectable levels of
17 viremia as well as the subsequent windows that are
18 detectable by ID-NAT with antibody, either IgM or IgG.

19 And then, finally, an analysis of the sero
20 follow-up data from about 180 viremic donors in a
21 determination of the lengths of the window periods to
22 both seroconversion and to persistent detectable NAT
23 reactivity by replicate individual donation NAT.

24 Next slide. So in terms of the index
25 donations, all of the data I will be presenting is

1 based on Blood Systems laboratory screening using the
2 GenProbe platform in 16-unit Mini-Pools.

3 The viremia levels were determined with a
4 target capture real time PCR assay developed at
5 Chiron. And the serology is based on focus technology
6 assays.

7 Next slide. So at Blood Systems, we
8 screened -- this is all data from 2003 -- 680,000
9 donations, 230 confirmed viremics. Of those, you can
10 see about 80 percent of them were detected by
11 Mini-Pool NAT and 18 percent were detected either
12 through the retrospective or prospective ID-NAT
13 testing.

14 If you look at the index donations in
15 terms of their antibody status, overall 20 percent of
16 the viremic donations that we picked up had antibody
17 in them but a very different rate of antibody
18 depending on whether the units were detected by
19 Mini-Pool NAT.

20 The Mini-Pool NAT screened units, only
21 eight percent had IgM-detectable; whereas, the samples
22 that were ID-only that were missed by Mini-Pool but
23 detectable by individual donation NAT, the vast
24 majority, 75 percent, had IgM antibody, indicating
25 that most of those were in the post-acute viremic

1 phase as IgM was developing.

2 Next slide. This is just a conceptual
3 window phase evolution of the primary viremia. I
4 don't know if anybody has a pointer. No. So, in any
5 event, the overall viral load of the Mini-Pool yield
6 donations, which we're calling stage 3 here, the
7 samples that are detectable by Mini-Pool NAT, are
8 about 2,300 copies median, mean of 37,000 copies. And
9 you can see that there are some lines drawn that
10 represent the limit of detection of Mini-Pool NAT,
11 which is about 80 copies per mL; whereas, if you test
12 the samples individually, the viral load can be as low
13 as 5 copies per mL and be detectable.

14 Next slide. This shows the distribution
15 of the units that were detected by Mini-Pool NAT,
16 either with IgM, on the left, or without IgM, on the
17 right. So what you can see is that the samples again,
18 all detectable by Mini-Pool NAT, that had IgM had a
19 very low viral load. The median was 198 copies per
20 mL; whereas, the samples that lacked IgM had a much
21 higher viral load. So these are the tail end.

22 In fact, if we go back one slide, please,
23 you can sort of see that what we're picking up with
24 Mini-Pool NAT, the vast majority of them are prior to
25 IgM seroconversion, but we pick up a small portion of

1 units that are on the down slope of viremia, so have
2 low viral load in the presence of IgM.

3 Next slide. Next slide, please. So the
4 next analysis I'm going to summarize is the
5 correlation of Mini-Pool yield to infection rate in
6 the population. This is a study that was done through
7 the Reds Program, where all of the donations from
8 North Dakota that had available aliquots, which had
9 been screened by Mini-Pool NAT and actually also
10 screened by individual donation NAT, we went back and
11 we performed IgM testing on all of the available
12 samples. And then we analyzed the data, the weekly
13 data, of Mini-Pool NAT yield over time. We had 28
14 Mini-Pool NAT yield units.

15 Next slide. And then again we performed
16 IgM testing on about 4,000 samples to understand over
17 the course of the epidemic how was the IgM conversion
18 evolving. We also went back about nine months later
19 and sampled another 1,000 donations from this same
20 region. And we performed both IgM and IgG testing on
21 those collections about six months out from the
22 epidemic, from the exact same donor pool.

23 Next slide. This slide is a summary of
24 the results of that testing. Again, from North
25 Dakota, we are starting over here in July and running

1 through October. And then we come back in May of '04
2 and have additional data six months later.

3 You can see the goal here is the Mini-Pool
4 yield occurring. So we begin with some early yield of
5 viremic donations. About two or three weeks later, we
6 begin to see IgM conversions accruing in the
7 population.

8 And then after Mini-Pool yield has
9 completely disappeared, the IgM rates in the
10 population begin to plateau. And they end up peaking
11 at 5.2 percent. So this is the infection rate in the
12 donor population that corresponds to this yield of
13 Mini-Pool NAT that was observed in that same donor
14 pool.

15 When we went back six months later, the
16 IgG rates were 5.3 percent, so essentially identical
17 to the early IgM rates. But by that point, the IgM in
18 the donor pool had waned to 1.1 percent. So IgM, a
19 transient marker, had begun to disappear. These
20 numbers here give us the total infection rate in the
21 population.

22 Next slide. A couple of observations from
23 this. I think that, as you can see, IgM really comes
24 up a little bit later than that, so wouldn't be an
25 early marker, a good screening tool for the early

1 viremic phase. It peaks three to four weeks after
2 detection with peak IgM rates about four times the
3 Mini-Pool NAT yield peak rates.

4 If we are concerned about a tail end
5 low-level viremia, actually, IgM could be argued to
6 have some value because it is picking up all of the
7 convalescent infections. And, as you will see later,
8 ID-NAT itself even performed individual donations but
9 only once, is not able to detect all of the low-level
10 viremic subjects. So some people are coming in at the
11 tail end of the epidemic who would be detectable by
12 IgM but have viral loads that might be so low that
13 even individual donation NAT wouldn't pick them up.

14 Next slide. Late in the epidemic, if we
15 were to screen for IgM, we'd lose a lot of donors.
16 Over five percent of donors in a high-activity region
17 would be seroreactive. And, yet, the risk of these
18 units is extremely small, if any.

19 After six months, IgM rates have dropped
20 to 20 percent of their peak. However, what that tells
21 us is that IgM screening in a subsequent year would
22 still have some tail of prior year seroreactives
23 coming into the next year epidemic. So it tells us
24 that it's very difficult to use IgM or serology in
25 general to estimate risk once you have seen an

1 epidemic in a prior year.

2 And then, finally, even in a highly
3 endemic region, as discussed earlier, the vast
4 majority of donors were never infected. So there's
5 still clearly going to be a susceptible population
6 and, therefore, a potential need for continued
7 screening.

8 Next slide. From an analysis of that
9 relationship between the Mini-Pool yield data and the
10 total infection rate in the donor pool, David Wright
11 at Westat was able to derive the length of the
12 Mini-Pool window period. And that's 6.9 days with a
13 confidence bound shown here.

14 This is a very important parameter to
15 understand because it, in turn, allows us to benchmark
16 off the length of the Mini-Pool window period to
17 estimate the lengths of other window periods.

18 It also lets us use that window period and
19 national Mini-Pool yield data to estimate total
20 infection rates in the population. In fact, we have
21 estimated that during this year by compiling the
22 national data for Red Cross and CDC, that something in
23 the range of 750,000 people were infected with West
24 Nile virus in '03 based on the Mini-Pool yield data in
25 the country and the length of this window period.

1 Next slide. I'm just going to skip this.
2 This is the statistical modeling that was needed to
3 derive that window period estimate from that
4 relationship between the Mini-Pool yield over time and
5 the seroconversion rate.

6 Next slide. Okay. The next study I want
7 to summarize -- and this will all tie together at the
8 end -- is our large-scale retrospective testing study.
9 So this was work that was done with strong
10 encouragement from FDA in 2003.

11 When we realized the epidemic was so
12 massive and there were some breakthrough
13 transmissions, we began to save samples from
14 high-yield regions that had been negative by Mini-Pool
15 NAT but, again, from regions that had high yield. And
16 these samples were tested by individual donation NAT.

17 If they were reactive, we immediately
18 tried to retrieve any untransfused product, confirmed
19 that the donors were infected based on both analysis
20 of the index sample and follow-up of the donor, and
21 then collaborated with CDC in terms of investigation
22 of recipients, who were transfused with units that
23 came from donors who were ID-NAT-only reactive and
24 confirmed positive.

25 Next slide. So overall we tested 23,000

1 donations that had been Mini-Pool NAT-negative by
2 individual donation NAT. And in the three areas which
3 had high activity, we picked up 30 viremic units.
4 Toward the end of the year, we also turned on
5 prospective ID-NAT in the Dakotas.

6 Once we started to see the data showing
7 significant low-level viremia, an additional 4,000
8 donations were tested prospectively, yielding an
9 additional 17 viremic donations.

10 Fourteen of these 17 were ID only, meaning
11 that they were negative when retested at one to 16
12 dilution. So three of these would have been picked up
13 by Mini-Pool. So we have 14 plus the 30. So we had
14 an overall 44 additional infected donations that year
15 detected by ID-NAT.

16 Next slide. And this is data, then,
17 showing the evolution of the detection over the course
18 of the two-week intervals over the course of the
19 epidemic, specifically focused on North and South
20 Dakota, where every donation was tested, essentially
21 every donation was tested, by both Mini-Pool and
22 ID-NAT.

23 What you're seeing here are stages of the
24 infection. So the blue bar is units that were
25 detectable by Mini-Pool NAT. This light blue bar here

1 is the units that are detectable only by individual
2 donation NAT but have no antibody; and then the units
3 that have IgM only, low-level viremics; and then those
4 that had IgM and IgG.

5 You can see that at the beginning of the
6 epidemic, you have these low-level viremics without
7 antibody, the ones that we know can transmit that are
8 seen actually kind of throughout at some low rate.

9 But what is striking is you get this high
10 Mini-Pool yield, but then as the epidemic is moving
11 along, you begin to see large proportions of the
12 viremic donations are ID-only units in the presence of
13 antibodies. So these are these convalescence
14 infections that still have very low-level viremia in
15 the presence of antibodies.

16 Next slide. By analysis of the number of
17 cases in each of these stages -- so in this Dakota
18 region, we had 79 Mini-Pool yield units. These are
19 the number of front-end antibody-negative ID only with
20 IgM only and ID only with IgM and IgG.

21 And using the 6.9 days that we derived
22 earlier, we can estimate the lengths of these other
23 window periods based on the relative frequency in this
24 sort of serial cross-sectional analysis that we picked
25 up units in these stages. You can see that these are

1 fairly brief periods.

2 Overall in this fairly comprehensive
3 analysis, only 66 percent of viremic units were
4 detected by Mini-Pool NAT, but the majority of those
5 that weren't detected were antibody-reactive and we
6 believe probably had neutralized the virus.

7 Next slide. So this just takes that 6.9
8 days that we had derived earlier from the cumulative
9 NAT infection rate IgM data and uses that to estimate
10 the lengths of these earlier window periods, the .55,
11 .65, and 2.29 days.

12 Next slide. Okay. The next analysis is
13 the follow-up of the donors, the last analysis. And
14 what we're looking at here is enrolling the donors per
15 the IND into the follow-up study. It included a
16 symptom questionnaire, which you will hear about later
17 today, and approximately weekly sampling.

18 The follow-up was to continue until the
19 donors had converted their IgM and tested negative by
20 single ID-NAT. The follow-up included RNA by TMA
21 quantitation and IgM and IgG. And then a subset of
22 over 60 of the panels were further tested to better
23 understand the low-level persistent viremia by
24 performing five additional replicate TMA assays,
25 individual donation. And a number of these panels

1 were also studied for additional antibodies, including
2 plaque neutralization, by CDC, Rob Lanciotti.

3 Next slide. Overall 182 of our about 230
4 donors enrolled in the follow-up study. You can see
5 that the follow-up averaged about 15 days to the first
6 sample, but a number of the donors did come in fairly
7 early on to let us look at early events, an average of
8 2 and a half specimens per donor.

9 Just one factual point, which is that at
10 index donation, there were 140 of these 182 who were
11 negative for IgM on the index donation. On the first
12 follow-up lead, 81 percent of them had converted their
13 IgM. In a second follow-up lead, the remainder had
14 converted their IgM. So 100 percent of the people who
15 enrolled into follow-up converted their IgM on
16 follow-up.

17 Next slide. Just one example. What we're
18 looking at here is the viral load of the index
19 donation dropping to negativity on quantitation, the
20 antibodies kicking up the IgM, the IgG. And here is
21 plaque-neutralizing activity. In every case,
22 plaque-neutralizing activity was observed concurrent
23 with the development of IgM antibody. So the antibody
24 is effective at neutralizing virus in an ex vivo
25 mixing type analysis.

1 What you see down here are the percentage
2 of the six replicate TMAs that were performed on all
3 of the serial bleeds. And you can see that the
4 viremia is detectable out to here. And then as you
5 out in time, only a small proportion of the six reps
6 may be reactive.

7 We had examples in our data by the singlet
8 follow-up TMA of people who were negative and then
9 came back for another bleed and were positive. And so
10 we were seeing flip-flops that were of concern.

11 By doing the six replicate TMAs, we no
12 longer had any of them. We could basically show that
13 what was really going on was just a waning viremia and
14 that it was the probability of detecting that
15 low-level viremia that led to an occasional negative
16 followed by a positive. But by doing the multiple
17 reps, it was all a smooth transition down in viremia.

18 Next slide. Just another example.

19 Next slide. So the analysis of that data
20 was done by David Wright using what's called
21 interval-censored longitudinal analysis modeling. And
22 what we looked at was the time from the index donation
23 to IgM and IgG seroconversion as well as the time to
24 loss of RNA from the index donation by a singlet TMA
25 assay and also the times between these different

1 seroconversion and RNA loss events.

2 And then for the subset of 56 cases that
3 we did the 5 replicate TMA assays on 580 follow-up
4 samples, we were also able to look at time from index
5 to loss of RNA by 6 replicate TMAs, so a more
6 sensitive quantitation of detection of viremia.

7 Next slide. This just shows these window
8 periods. So this is these people are being detected
9 at some point in the Mini-Pool NAT yield window phase.
10 We're assuming on average they're being detected in
11 the middle of that period. And then this is the time,
12 3.4 days to IgM, 7.6 days to IgG, 11 days to loss of
13 RNA by singlet ID-NAT, but an additional 6 days if we
14 do the 6 replicate ID-NAT assays. So these are the
15 critical parameters.

16 Next slide just summarizes the statistics
17 around these estimates. I don't have time to go
18 through these, but you have them in your handout. And
19 you see confidence bounds. These confidence bounds
20 are confidence bounds around the mean. So this is how
21 accurate is this average time from Mini-Pool NAT
22 positivity to IgM?

23 For this discussion, the most important
24 parameter is down here, how long after Mini-Pool
25 positivity to negative ID-NAT, again 11.2 days, or to

1 negative 6 replicate ID-NATs, an additional 6 days?
2 And if you want a 99 percent inclusion bound, then you
3 would take the standard error times 2.3. And you end
4 up with about 31 days to negative RNA by singlet TMA
5 from the index donation date.

6 Actually, if you add any replicates
7 reactive, this gets out to about 38 days. So this is
8 the outer limit of detectable viremia, even doing six
9 replicate TMA assays.

10 Next slide. Then this is just rolling it
11 all together. One interesting observation actually
12 Steve Kleinman noted is our estimates for the length
13 -- this is the data I showed earlier based on the
14 retrospective testing at Blood Systems. And the
15 window periods are a little bit shorter here than we
16 derive by following the donors longitudinally.

17 This may relate to some symptom-based
18 self-deferral after the people have gone through
19 primary viremia. They may be less inclined to come in
20 and donate blood because of symptoms. And, therefore,
21 that's why we're not seeing as many donors and this
22 window period is not imputed to be as long based on
23 the rate at which donors give in this tail end viremic
24 phase compared to what is seen when we actually follow
25 viremic donors prospectively.

1 I don't think these differences are
2 probably statistically significant, but it suggests
3 that there may be some symptom-related deferral
4 occurring after the primary viremia, which is what is
5 understood. The symptoms are all believed to occur
6 after the primary viremia and reflect the immune
7 response.

8 Next slide I think is just conclusions.
9 Oh, just the important question of lookback, how many
10 of these units are infectious. In our large ID-NAT
11 study collaborating with CDC, we had 27 confirmed
12 viremic donations that components were issued and
13 potential recipients exposed. Twenty-one of those
14 were low viremic antibody-positive, 6
15 antibody-negative.

16 Unfortunately, despite extensive testing
17 and work by CDC, we were only able to ascertain
18 recipient outcome in four cases. Two of two
19 recipients that got ID-only IgM-negative units were
20 infected, and zero of two recipients of a donor who
21 was ID-only and IgM and IgG-positive were infected.

22 So, despite the extensive retesting to
23 trigger additional lookback, there were very few
24 outcomes defined. Really, the other idea, and it's in
25 progress, is to look at animal inoculation studies.

1 There's primate studies being planned by CDC and Darin
2 Maria with Harvey Alter.

3 We've done some recent Murine knockout
4 model -- next slide -- I think last slide -- just --
5 this is a model where these mice have been genetically
6 engineered to lack certain immune response functions,
7 interferon and alpha beta receptor knockout.

8 These mice are extremely susceptible.
9 Unlike wild-type mice with 100 plaque-forming units,
10 you only get a proportion dying. These knockout mice
11 are extremely susceptible down to .1 plaque-forming
12 units kills these mice and they have rapid outgrowth
13 of viremia.

14 So we did infuse -- Michael Diamond
15 infused 500 microliters of plasma from five of our
16 units times two into these knockout mice. And we were
17 able to show transmission in this model using one of
18 the breakthrough transmission cases, the Nebraska case
19 from last year.

20 So we're continuing to study this model to
21 put in the IgM reactive units or do mixing studies,
22 adding early seroconversion samples to these
23 infectious units to try to further determine the
24 infectivity of these convalescent donation samples.

25 Next slide. So in summary, you know, I

1 think what we've seen is that we're seeing a logical
2 progression of conversion of antibodies, both IgM,
3 IgG, and plaque neutralizing activity immediately
4 after the viremic donations.

5 The low level viremia, though, is
6 persistent in the setting of the antibody for about 11
7 days and it actually extends another six days if you
8 do multiple replicates. And this critical question
9 still remains, are any of these infectious? Again, to
10 our knowledge, there's never been a transmission
11 linked to any of these seroreactive low viremic units.

12 Thank you.

13 CHAIRMAN ALLEN: Thank you, Dr. Busch, for
14 a very elegant presentation. A lot of data collected
15 under sort of make the rules as you go kind of a
16 situation. Very nicely done and saved a number of
17 possible transfusion-transmitted cases in the process.

18 Comments, questions from the Committee?
19 Dr. Klein?

20 MEMBER KLEIN: Mike, you make the point
21 that there is really no scientific evidence that there
22 has been any transmission by any of the cases that
23 have endogenous IgM antibody. Do you think that the
24 passive antibody studies that are being done really
25 are going to help you in that regard given the fact

1 that with other diseases total prevention of infection
2 with passive antibody is contrasted with endogenous
3 antibody is questionable?

4 DR. BUSCH: You mean a high titer -- the
5 immunoglobulin prep that's being developed? I mean it
6 all depends on when you give it. In animal studies,
7 you know, if you give it before you expose, you can
8 neutralize.

9 If you give it literally, you know,
10 concurrently or within hours of the inoculation, you
11 may be able to either, you know, abort infection or
12 suppress the viremia that occurs with infection.

13 So, yes, so I doubt they'll be proven to
14 be effective. You know the majority of people during
15 the primary phase are completely asymptomatic. So the
16 only way you'd pick them up is with nucleic acid
17 screening. So it's to me by the time you would
18 identify a case clinically, they've already
19 seroconverted themselves.

20 MEMBER KLEIN: I'm also thinking about
21 whether that would help you in terms of saying that
22 well maybe some of these IgM-positive infections would
23 be infectious. And I'm not sure that demonstrating
24 the path --

25 DR. BUSCH: Right.

1 MEMBER KLEIN: -- of antibody does or
2 doesn't --

3 DR. BUSCH: Yes.

4 MEMBER KLEIN: -- is going to help you
5 there.

6 DR. BUSCH: Exactly. The other problem
7 we're realizing now after we've sort of worked with
8 Michael Diamond on this mouse model is these animals
9 are markedly immunosuppressed. So if we show -- you
10 know we may not see when we add antibody to a viremic
11 donation and then we put it into an animal that's
12 completely immunosuppressed, the ability to clear and
13 eradicate that complex virus may not exist. So --

14 CHAIRMAN ALLEN: Yes, Dr. Kuehnert?

15 MEMBER KUEHNERT: You mentioned that
16 through your data approximately, I think it was 33 to
17 38 days duration of viremia from the time of donation,
18 and -- but you also sort of passed through quickly one
19 slide that showed one particular donor that seemed to
20 be beyond that.

21 And I wonder if you'd comment if that is
22 an outlier or what were you --

23 DR. BUSCH: Right --

24 MEMBER KUEHNERT: -- showing in the data.

25 DR. BUSCH: -- that's -- right. We had

1 one donor who was initially -- it was one of these
2 flip-flop cases where we had a sample -- I forget
3 exactly but something like 30 days it was negative but
4 the donor happened to come back in like at 42 days,
5 you know, before we had the results on the prior
6 donation that would have said you don't need to come
7 back anymore, they came back and got another bleed.

8 It was reactive on one of two initial
9 reps. We went back and tested that six more times.
10 And one of six additional reps was reactive. So it
11 was overall, I think, five more times, so two of seven
12 reactivities overall.

13 And that is the outlier case. And this
14 distribution of the length of the tail of viremia,
15 again the modeling currently assumes a normal
16 distribution. Clearly there will be some people who
17 may have, you know, for whatever reason, a longer tail
18 of viremia.

19 MEMBER KUEHNERT: But it was just the one?

20 DR. BUSCH: But again, if you look at that
21 case, there were long bleed intervals between that
22 date -- so it was like 30 to 42 days. And then the
23 donor came back again like at 70 days and was
24 completely negative. So when in those intervals, you
25 know, viremia was resolved --

1 MEMBER KUEHNERT: Okay. And the other
2 question I had, and I'll ask Sue this also, about a
3 question that came up earlier about presumptive
4 viremic donors and how many of those are confirmed.
5 I wondered if you could comment on that.

6 DR. BUSCH: Well, it's an interesting
7 issue because if you screen through Mini-Pool NAT, and
8 then you resolve the individual donation, they had to
9 have had a fairly high level of viremia and virtually
10 100 percent of repeat reactives, which we do all the
11 time. We do an initial and then we repeat it, which
12 is the definition of presumptive viremic.

13 Virtually 100 percent of donations
14 screened through Mini-Pool NAT are confirmed of
15 presumptive viremics. If you are screening by ID-NAT,
16 again if it's repeat reactive, it has a virtually 100
17 percent probability of confirming.

18 But we also -- a lot of the donations that
19 are picked up by ID-NAT that are real are initial
20 reactive only. When we repeat it, it's negative.

21 And that's because what we're picking up
22 is this extremely low level of viremia that is
23 stochastically detectable by the initial -- it was
24 fortunate we got it once but, you know, that tells you
25 there's -- and the other factors, there's a lot of