

1 was an increase in West Nile.

2 ACTING CHAIRMAN ALLEN: Dr. Nelson.

3 DR. NELSON: Yeah, I mean, I'm sympathetic
4 to the AABB issue about well beyond the 56 days do
5 donors needs to be retested with individual NAP, and
6 I think that that's a good idea, but I think that
7 there obviously needs to be a time associated with
8 that interval where ID NAT is not required, given the
9 fact that everybody will be tested with mini pool NAT
10 and maybe ID.

11 Maybe very low levels of virus could
12 persist for some time, but not forever and probably
13 not or very unlikely beyond three months. So if we
14 were to make that recommendation, it should be linked
15 to some time period, you know. If the donor comes
16 back 57, 58 days, they should probably be tested. If
17 they come back 120 days, they probably don't need to
18 be, but I guess if the FDA is going to modify that
19 recommendation or requirement, it needs to be made
20 more specific.

21 ACTING CHAIRMAN ALLEN: Yes, and I would
22 think based on the data I have heard today, I would be
23 comfortable with 90 days. An alternative might be 120
24 days.

25 Dr. Kuehnert.

1 DR. KUEHNERT: Well, I was just going to
2 say, I mean, the thing is we really don't know. I
3 mean, those sound like reasonable suggestions, but you
4 know, before we really -- two years ago, before two
5 years ago, I mean, we would have said, you know, that
6 56 days would have been ridiculously long for viremia,
7 and now we're saying that it's reasonable.

8 So I guess we just have to get more data.
9 I'm not sure I'd be comfortable with saying any amount
10 at this point, but you know, I think the data has to
11 be collected.

12 DR. NELSON: But we've been shown data
13 that most of the very low level viremia that occurs in
14 the tail is accompanied by antibody that's
15 theoretically neutralizing and coupled with that, if
16 somebody, let's say, was unable to format, you know,
17 some immune deficiency, they should be positive on the
18 mini pool NAT still.

19 So to pick up the outlier case, the
20 current recommendations would still pick up a weird
21 case hopefully.

22 DR. KUEHNERT: Well, I think that's a good
23 point. I mean, I think the presence of IgM, you know,
24 makes me more comfortable, but we're not testing for
25 IgM to confirm that. If we did, then I guess I would,

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 you know, alter my comments.

2 ACTING CHAIRMAN ALLEN: Dr. Nakhasi.

3 DR. NAKHASI: I just wanted to echo what
4 Matt said because we are still collecting the data.
5 Last year we did not know anything that we had 28
6 days. We got information now. We have 56 days. Who
7 knows what will happen next year?

8 So I think putting a certain time line, we
9 have to be careful about that. So I think before you
10 put a time line, please make sure that we need to keep
11 that idea in mind.

12 ACTING CHAIRMAN ALLEN: Of course, that is
13 the FDA's decision anyhow, you know. I think I might
14 agree with you if, one, the numbers of cases were
15 considerably smaller than they are. I think, in fact,
16 we've got a reasonably large number of cases. We've
17 got extraordinarily sensitive testing that is
18 available with a variety of different test parameters
19 to look at. The data have been consistent from
20 multiple organizations.

21 You know, I think we are in a situation
22 today where we're far beyond where we would have been
23 had this kind of a problem occurred 20 years ago.

24 I also think we have to remember that the
25 primary obligation of the blood collection centers is

1 to collect blood that is safe. You know, I think
2 we're getting out into de minimis risk at this point,
3 and to the extent that every requirement throws up
4 additional difficulties in terms of collecting blood
5 effectively and efficiently, we're also not serving
6 the purpose that we need to.

7 So these are balancing considerations.

8 DR. EPSTEIN: Jim, given the discussion
9 that transpired in the last hour, I think it would be
10 helpful to the FDA if we could get a vote of the
11 committee on whether the available scientific data
12 support continuation of a question to defer donors
13 based on fever with headache in the week before
14 donation.

15 And I'm hopeful that we could have that
16 before we lose a quorum today.

17 ACTING CHAIRMAN ALLEN: Yes.

18 DR. KUEHNERT: Again, it's either the
19 fever with headache or nothing; is that where we're
20 at?

21 DR. EPSTEIN: I think that we didn't re-
22 present the data on fever with headache. It was
23 discussed at a previous BPAC meeting. Other symptoms,
24 the problem with them was that they were either too
25 nonspecific or they led to too much donor deferral,

1 and when the study was done by the CDC, the conclusion
2 was that the symptom complex of fever plus headache
3 had a very small compromise in sensitivity in return
4 for substantial gain in specificity, but that it
5 needed to be narrowed to one week and not three or two
6 because of donor loss.

7 So that's how we got to where we are. I
8 mean, it could all be put back on the table, but we
9 did examine it based on the data available in 2002.
10 We could re-examine it.

11 DR. KUEHNERT: You're talking about the
12 three out of 14.

13 DR. EPSTEIN: Well, the study of the 14
14 was used to look at the sensitivity and specificity of
15 questions related to different symptoms or symptom
16 complexes, and the most highly sensitive and specific
17 criterion was the combination of fever with headache.

18 So you know, again, as I say, we could
19 reexamine those data, but that's what we learned in
20 2002 from the cases that were associated with
21 transmission.

22 Now, part of trying to reexamine that
23 would be that we have many fewer cases associated with
24 transmission to examine in 2003 and 2004 because of
25 screening, but that's how we got to where we are, and

1 I'm not disputing the reexamination. I'm just saying
2 that it wasn't arbitrary.

3 DR. KUEHNERT: I don't want to be overly
4 critical about it. I mean, I think that's where we
5 were at. That's the data we had, but, you know, it
6 was pretty thin, but it's all we had to go on. It's
7 just that it seems like we have more data now. That's
8 the only point I was trying to make.

9 ACTING CHAIRMAN ALLEN: Dr. Oryton.

10 DR. ORYTON: Yes. I was just talking to
11 Susan Stramer about the database that we have now and
12 that perhaps looking at some of the BSL data to see if
13 we could actually merge the data set and get more
14 information on the symptomatology and run some of
15 these multivariates and see if there are perhaps
16 better combinations if we decide symptomatology is
17 still important to ask about.

18 ACTING CHAIRMAN ALLEN: Dr. Epstein, do
19 you want a vote still based on that or do you want a
20 sense of the committee that, yes, we believe that this
21 issue merits continued evaluation with a decision
22 subsequently in the future?

23 DR. EPSTEIN: Well, I think a vote would
24 be helpful at this stage, and you know, if people do
25 not think the data are adequate to recommend

1 discontinuing questioning, then they'll vote no.

2 ACTING CHAIRMAN ALLEN: Dr. Smallwood,
3 have you --

4 DR. EPSTEIN: We have a draft question.

5 ACTING CHAIRMAN ALLEN: It would be
6 helpful if you could read that.

7 DR. WILLIAMS: Yeah, I can present the
8 question. I apologize for the writing.

9 ACTING CHAIRMAN ALLEN: You had better
10 present it because I don't think anybody can read it.

11 DR. WILLIAMS: The question is Question 4:
12 do the available scientific data support continuation
13 of the deferral of donors reporting fever with
14 headache in the week prior to donation?

15 ACTING CHAIRMAN ALLEN: Discussion on that
16 draft question?

17 DR. NELSON: Yeah, of those three, there
18 were only one that occurred in the week prior to
19 donation, and of the data presented by Dr. Fitzpatrick
20 -- no, it was from the Blood Centers of the Pacific.
21 The systems that occurred also occurred -- of course,
22 it's all complicated. It's hard. I mean, there
23 aren't adequate data to really make a hard judgment on
24 this.

25 ACTING CHAIRMAN ALLEN: Dr. Goldsmith.

1 DR. GOLDSMITH: I think this was a
2 question that was put into place at a time when we did
3 not have adequate testing available. We now have
4 adequate testing available, and we should relieve the
5 blood centers of the burden of asking this question
6 and losing donors when it has no specificity in terms
7 of finding the disease that we're worried about.

8 ACTING CHAIRMAN ALLEN: Other comments or
9 questions?

10 Dr. Tomasulo, very briefly.

11 DR. TOMASULO: Very brief. Peter Tomasulo
12 from Blood Systems.

13 Each year we survey donors four times from
14 all of our centers, and they're allowed to write
15 comments in. The two comments that we most often get
16 are the donation process is too long, and please stop
17 asking us so many stupid questions.

18 And this is pertinent not just because we
19 want donors to be happy, but availability of blood is
20 related to how happy donors are, and that's a safety
21 concern. So making the donation process simpler and
22 shorter is a high priority when it comes to blood
23 safety. There aren't scientific data supporting that
24 question. We would love to get rid of it.

25 ACTING CHAIRMAN ALLEN: Are we ready for

1 the question?

2 Dr. Smallwood, would you read the question
3 and poll the committee, please?

4 DR. SMALLWOOD: The question reads as
5 follows: do the available scientific data support
6 continuation of the deferral of donors reporting fever
7 with headache in the week prior to donation?

8 Dr. Harvath?

9 DR. HARVATH: I believe there are
10 insufficient data for me to answer this question. So
11 I'm going to abstain.

12 DR. SMALLWOOD: Dr. Nelson?

13 DR. NELSON: I'm going to vote no based
14 on, you know, there are relatively few cases, but
15 there were a few that occurred despite screening, and
16 I don't -- you know, of course, I suppose if they had
17 answered this positively they would have been
18 deferred. So there's no data really that supports
19 this, but it's hard to answer at this point, but I
20 would say no.

21 DR. SMALLWOOD: Dr. Kuehnert?

22 DR. KUEHNERT: Abstain. You can see my
23 previous comments as an explanation to that. I think
24 if the question were that you had to ask this with
25 fever, with headache throughout the year, I think that

1 would be detrimental to public health concerning when
2 you take blood availability into consideration.

3 DR. SMALLWOOD: Dr. Quirolo?

4 DR. QUIROLO: No.

5 DR. SMALLWOOD: Dr. Goldsmith?

6 DR. GOLDSMITH: No.

7 DR. SMALLWOOD: Dr. Schreiber?

8 DR. SCHREIBER: No.

9 DR. SMALLWOOD: Dr. Lew has left. Dr.
10 Doppelt?

11 DR. DOPPELT: No.

12 DR. SMALLWOOD: Dr. Allen?

13 ACTING CHAIRMAN ALLEN: My vote is no. I
14 also agree that the way in which the data collection
15 has occurred has made it very difficult to get an
16 adequate analysis of it. I will make a comment at the
17 end, but my vote is no.

18 DR. SMALLWOOD: The results of voting on
19 this question, there is six no votes, two abstentions.

20 ACTING CHAIRMAN ALLEN: Thank you.

21 My comment is I think this is very
22 instructive. I know that this was put in place for
23 very good reasons, trying to rapidly address an
24 emerging problem that was not anticipated.

25 I think that there's an object lesson here

1 in terms of doing this to make sure that we do
2 evaluation studies as we implement interim measures,
3 and that obviously means that there needs to be
4 funding and cooperation.

5 I'm impressed that the two largest blood
6 collection centers in the United States, along with
7 America's Blood Centers, do have research
8 capabilities, and I think those research capabilities
9 include not only laboratory capabilities, but
10 epidemiological capabilities, and I think that's
11 wonderful.

12 We need to be cautious as we move forward
13 in the future in this, and I've got a vested interest
14 here. I am on the Board of Trustees for Blood
15 Systems, Incorporated, and Blood Systems Foundation,
16 but I want to say that I think we hear so much
17 negative government bashing in the media coming from
18 politicians and others today.

19 I want to say that I think the system that
20 we have in the United States today with our major
21 blood collection centers with research capabilities,
22 with a very collaborative working relationship with
23 the regulatory agency, the Food and Drug
24 Administration that is also supportive of research,
25 the collaboration from the CDC and from the NIH has

1 given us a really unique capability here, and I'm just
2 very impressed with the amount of data, as well as the
3 ability to move forward to provide the safest blood
4 possible for the people of the United States, and I
5 think it's an excellent system, and I commend the way
6 that we've all worked together.

7 Dr. Nelson.

8 DR. NELSON: Yeah, I'd like to recommend
9 that a case control study be done on this question.
10 Get some data. There's no data at the moment. You
11 know, there may be difficulties in doing a case
12 control study, but I don't think they're that
13 difficult. I think it could be done if you had to
14 paper. If you were interested in the answer you'd do
15 it.

16 ACTING CHAIRMAN ALLEN: Thank you.

17 Any other final comments or questions?
18 Dr. Epstein, Dr. Smallwood, anything else?

19 (No response.)

20 ACTING CHAIRMAN ALLEN: The meeting is
21 adjourned.

22 Thank you all very much.

23 (Whereupon, at 1:22 p.m., the meeting in
24 the above-entitled matter was concluded.)

25

CERTIFICATE


This is to certify that the foregoing transcript in the
matter of: Blood Products Advisory Committee Meeting

Before: FDA

Date: October 22, 2004

Place: Gaithersburg, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.



S. H. Anderson