

1 started in small animals, we have moved to large
2 animals, and we have moved to patients, and these
3 are the cells that we knew the most about, and we
4 have had to say you know what, we missed a lot, and
5 we have had to go back and take the safety and
6 functional effects that we have seen and reevaluate
7 them in all of these animal models again, and I
8 think that should be a lesson for going forward.

9 Is it time for randomized trials, and, if
10 so, who? The think the questions we have to ask is,
11 is it safe, who are the right patients, what are
12 the appropriate endpoints.

13 I show this every time. If we do this
14 wrong, we are really going to doom what I think is
15 an exciting field. I don't want to be standing up
16 here talking to you about the gene therapy lessons
17 that we could have learned and didn't.

18 I think what we can learn from gene
19 therapy is in 6 open-label trials, they were all
20 positive, and 4 out of 5 randomized, double-blind,
21 control trials, they weren't. Is that because
22 patients got better care, or because there was a
23 better placebo effect? Well, we won't really know
24 until we do the randomized trials, but we need to
25 under-promise and over-deliver, not conversely.

1 improvement than others, and by histologic
2 examination, were there more myotubules or were the
3 myotubules oriented in a different direction than
4 the animals that perhaps had less improvement in
5 systolic thickening?

6 DR. TAYLOR: Have we quantified that
7 unequivocally? No, because it is very difficult to
8 quantify the number of cells in an infarct and know
9 that they are actually myoblasts in the cells you
10 injected because we are giving autologous cells.

11 One of the rate limiting steps in this
12 field is having good markers for the cells, and
13 that is one of the reasons we went to iron, so that
14 we could actually stain our sections later for
15 Prussian blue and look for iron and say, okay,
16 these are the cells we injected. We shouldn't see
17 that in normal cells.

18 So, we are starting to now look and try to
19 answer that question. What we do know is that with
20 a number of studies that have been done by a number
21 of groups, the number of cells that you retain in
22 the scar after you inject them is about 15 to 25
23 percent of the cells you initially put in there
24 probably. On a good day, maybe 30, 40 percent of
25 the cells you put in there, but that is rare.

1 What we also know is that numbers of cells
2 can die over time, but we also know that these
3 cells can proliferate over time, and when we look
4 for proliferation, we in fact can find that in
5 these scarred regions.

6 I don't actually think that we are seeing
7 evidence of long myofibers necessarily. We see
8 cells that line up with each other and connect with
9 each other, and we believe that these cells are
10 being mechanically induced to contract.

11 We know when you stretch muscle, it
12 contracts. Do I think they are electrically
13 coupled with the rest of the heart? No. Do I
14 think they are mechanically coupled? Yes. What we
15 see is we measure left ventricular pressure going
16 up, we see pressure plateau, and right at the time
17 that pressure within a few milliseconds after
18 pressure plateaus, we see our crystals move in that
19 region of the heart, and then pressure decreases,
20 and then we see that again.

21 We can track that beat after beat after
22 beat in the scarred region. We can go from
23 negative work loops where the rest of the heart is
24 being pushed by the remainder of the heart to
25 positive work loops when we put the

1 cells--actually, I have got it backwards--negative
2 in this direction versus positive work loops, that
3 actually correspond to systole.

4 So, I believe that there is wall
5 thickening and actual contraction going on in that
6 region, and I think our sonomicrometry data are the
7 most convincing data.

8 Is it possible to get the image to show,
9 so I could try to show one of the functional wall
10 thickening images, so you can actually see that?
11 If you just give me the slide thing back, I will
12 try.

13 I am going to try this first.

14 So, this is, in theory, it is beating
15 here. No, apparently I can't, maybe you can, but
16 we are able to actually show thickening and I will
17 pull my computer out to show anybody later who
18 wants to see it.

19 You can actually see in the sham-treated
20 animal, here is the scarred region and only in the
21 very center of the scar is it not thickening
22 anymore. The scar was from about here to here, by
23 contrast.

24 The scar of the sham-injected animals over
25 here is not thickening at all. Here, we only don't

1 see thickening right in the very center of the
2 scar, and we used contrast enhancement, so we took
3 10 slices through the rabbit heart, in a long axis
4 view, we used contrast, and only where we saw
5 contrast gadolinium did we call that infarct, and
6 then measured thickening in that region, and we did
7 that in a blinded way.

8 We are pretty convinced that we see wall
9 thickening in that region.

10 DR. BLAZAR: You made a good point that
11 the larger animals allow you to assess function
12 much more directly and extrapolate to humans. With
13 the smaller animal models, you have a higher
14 throughput. So, the question is, what is the data
15 that says that the smaller animals extrapolate, the
16 large animals extrapolate to the human as you go
17 through all of these different examples, because
18 one would hear your presentation and think that it
19 really just should be restricted to large animals
20 minus a few, more esoteric.

21 DR. TAYLOR: What I can say is we have
22 made measurements in rabbit and pig for many, many
23 years, and I swore we would never use mice or rats,
24 and then we decided that we wanted to start making
25 comparisons with bone marrow derived cells, and we

1 didn't have the markers to isolate those cells in
2 rabbit or pig, so we went back and did the same
3 experiments in mice, and we got the same results.

4 So, now I have to bite my tongue and show
5 mice data even though I swore we never would. I am
6 convinced at least with MRI, that we can make
7 meaningful measurements that show us the same sort
8 of thing.

9 DR. BLAZAR: Although you also made a
10 point as to the dose, location, et cetera, it would
11 seem that that is going to be extremely difficult.

12 DR. TAYLOR: It is very difficult. That
13 being said, what we do is we inject the cells in
14 the center of the scar in a mouse. Do I believe we
15 get the same percentage that we get in larger
16 animals? No. We really don't know what number we
17 actually get in.

18 We have started doing some biodistribution
19 studies to try to answer that, and we are mostly
20 doing those in rabbit and pig, because I have no
21 confidence for the numbers we get in mice. In
22 terms of doing stem cell studies, though, I think
23 it is critical that you use something where you can
24 clearly define the cells.

25 DR. ALLAN: A little bit of a follow-up,

1 which is use of nonhuman primates. Somebody
2 mentioned that there were some primate studies.
3 This morning, I think somebody just referred to
4 them.

5 DR. TAYLOR: Right, with bone marrow
6 cells.

7 DR. ALLAN: Is it with bone marrow cells?
8 Because you can use those markers on many of the
9 nonhuman primates, you can look at stem cells.

10 DR. TAYLOR: Right.

11 DR. ALLAN: Maybe to use that model as a
12 step between "large" animals and humans, because
13 anything that you have derived that looks promising
14 could through that nonhuman primate.

15 DR. TAYLOR: I think that is good point,
16 and we have actually started collaborating with
17 some people in California who do nonhuman primate
18 studies. I can tell you that they are God-awful
19 expensive, they are hard to do, and I personally
20 find them hard to do.

21 Sometimes we just have to get over it, but
22 I think if the pig data are good enough, I would
23 rather stick with pigs, and the fact that we can
24 use some of the same stem cell markers has made me
25 focus more in that area.

1 DR. BORER: That was a very interesting
2 presentation. I have a question that sort of falls
3 into are we looking at the data the right way or
4 oversimplifying box. You can't argue with success,
5 and I believe that some contractility is probably
6 better than done and perfect is the enemy of good,
7 and all that stuff, but, you know, you have put in
8 skeletal muscle cells, and they contract, and yet
9 to get useful work from the heart, forced
10 generation is just part of the equation, you have
11 to have forced transmission, as well, and I haven't
12 heard anything yet about remodeling at the
13 extracellular matrix and regeneration of dystrophin
14 ECM hookups, and whatever, that might demonstrate
15 that we are actually developing a forced
16 generation/forced transmission system.

17 I don't know how important that is
18 ultimately, but it makes me wonder, the fact that
19 we haven't heard about that, and I am not sure that
20 I could expect it would happen, that we are
21 actually looking at the data the right way, so
22 could you talk a little bit about that?

23 DR. TAYLOR: Sure. The one piece of data
24 that we do have, that I think addresses that, is
25 the compliance data where we looked at changes in

1 strain with the different cell types, and that
2 begins to address matrix and what is going on in
3 that matrix - does it look at signaling, does it
4 look at MMPs, does it look at any of that? No.

5 I think the bottom line is when we started
6 this 15 years ago, our goal was to show it worked,
7 and then once we showed it worked, we thought we
8 would go back and figure out how it worked.

9 As soon as we showed it worked, God and
10 everybody wanted to do it clinically, and so we are
11 having this discussion rather than understanding
12 how it worked, which we have had to develop in
13 parallel. I think we are still catching up in
14 terms of trying to develop, trying to understand
15 the mechanisms by which it works.

16 Five years ago, at American Heart, Michael
17 organized a session, and there were probably five
18 or six people talking about this. If you look at
19 American Heart now, there are two days of people
20 talking about this. People didn't believe it five
21 years ago.

22 Now we have a critical mass in the field
23 and we can start asking those questions, and I
24 think the data will emerge over the next couple of
25 years.

1 DR. RAO: Dr. Ruskin.

2 DR. RUSKIN: Doris, you described a very
3 significant prolongation of action potential
4 duration in the myoblasts from about 20 to 120
5 milliseconds. That suggests a change in iron
6 channel expression. Do you have any information as
7 to how that came about?

8 DR. TAYLOR: Gus Grant told me it did?
9 No.

10 DR. RUSKIN: I will buy that.

11 DR. TAYLOR: Short answer is no except
12 that we know that we started seeing a plateau phase
13 which wasn't there before, and we didn't change the
14 rate of rise of the action potential. Do I know
15 any more than that? No.

16 Have we looked for channel markers? You
17 know, here I am saying, well, the only thing I will
18 believe that if you tell me it's a cardiocyte, is a
19 channel marker, have we looked for channel markers?
20 No, because we did all of that in rabbits, and the
21 darn markers don't exist.

22 Are we now trying to figure that out in
23 some of these other animal models? Yes, and I
24 think that is where some of the mice genetic models
25 of changes in electrical activity in the heart may

1 actually be really useful in terms of trying to
2 dissect what myoblasts can do.

3 DR. HARLAN: You had a great quote from
4 Einstein. I will have to give you a quote I have
5 from Osler, where he talks about stern iconoclastic
6 spirit that we need, and that you reflected.

7 My question is I think I misunderstood,
8 you implied that there was not the cellular
9 specificity that people assume, that you have seen
10 some contractility with myoblasts, but also with
11 bone marrow. I wonder how extensively you have
12 studied that with other cell types.

13 DR. TAYLOR: We have looked with
14 myoblasts, we have looked with bone marrow stroma,
15 and we have looked with bone marrow mononuclear
16 cells, and we see an improvement with all of those.
17 We haven't gone back and dissected the bone marrow
18 mononuclear cell populations, we are starting to do
19 that now.

20 Other people have seen the same thing with
21 MAPC cells. So, have we dissected that in detail?
22 No, but by the criteria that we have used, which is
23 sonomicrometry and MRI, we see the effect, and yet
24 we don't, when we look at histology, we don't see
25 the same degree of muscle formation with all of

1 those different cell types, which begins to argue
2 that mechanism is more complicated than we thought.

3 DR. HARLAN: Let me ask it this way. Are
4 there cells that you have looked at, that you
5 inject, where they don't work?

6 DR. TAYLOR: Fibroblasts.

7 DR. HARLAN: Fibroblasts don't work.

8 DR. TAYLOR: Fibroblasts actually improve
9 compliance, but make systolic function worse in our
10 hands.

11 DR. RAO: Dr. High.

12 DR. HIGH: I want to ask one question to
13 try to reconcile some of your data preclinical
14 studies with some earlier clinical work that we
15 heard about.

16 Was that in rabbits or pigs that you said
17 that you needed at least 10^8 cells to see an
18 effect, was that rabbits?

19 DR. TAYLOR: That was rabbits--no, 3×10^7
20 in rabbits, 10^8 was in pigs, I am sorry.

21 DR. HIGH: Okay. Then, the 10^9 cells that
22 are being injected in the clinical study would be
23 roughly appropriately correlating in terms of--

24 DR. TAYLOR: Right, we actually see a
25 better effect with 3×10^8 cells in pig. We

1 haven't gone up to 10^9 cells yet although we have
2 plans to do that. Philippe can tell you, you start
3 dealing with massive numbers of cells, and when you
4 are doing this in an autologous way, and you are
5 dealing with cells that you have to keep at low
6 confluence, it gets out of hand pretty quickly.

7 DR. HIGH: You said that in, is it the pig
8 studies, about 15 to 20 percent of the injected
9 cells are retained?

10 DR. TAYLOR: That is actually not data
11 from my lab, that is data from other groups, and
12 that has been in some pig studies, and I believe in
13 some--I know of pig, and I can't remember what
14 else.

15 In our hands, in rabbit, we see a little
16 bit higher than that, on the order of 20 to 25
17 percent, but that has only been in a few studies
18 with indium-labeled cells, so I don't trust those
19 numbers yet.

20 DR. HIGH: Is that known to be a function
21 of time after injury?

22 DR. TAYLOR: Actually, what we found is
23 that the longer we wait after injury, the easier it
24 is to get cells to hang around in the heart, that
25 if we inject cells at 2 weeks, we get fewer cells

1 retained than if we inject cells at a month.

2 What we also know is if we inject cells in
3 normal heart, they all go in the cardiac vein and
4 get carried elsewhere, that the junctions in the
5 myocardium are so tight that it is really hard to
6 get those cells into the normal heart.

7 Again, it gets back to injection. We
8 have come up with a way where we inject the cells,
9 we see a bleb, we wait for the bleb to go away, we
10 inject more cells, but that is just empiric,
11 because it works for us. Do we know how it is
12 being done by other groups? No clue.

13 DR. SCHNEIDER: Doris, you summarized
14 nicely both the cellular complexities and the
15 technical complexities that are involved here, and
16 I wanted to comment about one in each of those
17 categories.

18 You talked about cryoinjury versus
19 coronary artery ligation, and I wanted to agree
20 with your comment that relatively little of the
21 work in the field is being done with ischemia
22 reperfusion injury, which more closely resembles
23 the clinical situation particularly in an era of
24 stenting and reperfusion therapies.

25 A further complication there, though, is

1 that much as was learned over a period of years in
2 investigations of stunning, it may be necessary to
3 distinguish between open-chested ischemia
4 reperfusion injury and the chronically instrument
5 close-chested animal that undergoes ischemia
6 reperfusion injury, which is something that a few
7 labs have been able to develop as a means to
8 minimize potential artifactual effects of the
9 surgical procedures.

10 DR. TAYLOR: Right.

11 DR. SCHNEIDER: With respect to cellular
12 heterogeneity, you talked about the possibility
13 that SP cells in the skeletal muscle population
14 might be important. Michael Rednicke has identified
15 in skeletal muscle, and investigators at Indiana
16 have, as well, a scar-positive, LIN-negative,
17 CD34-negative, CD45-negative population that lacks
18 any ability to undergo hematopoietic
19 differentiation and very closely resembles the
20 scar-positive cells we found in adult heart.

21 DR. TAYLOR: I have seen data from the
22 University of Minnesota like that, as well, and I
23 also should say that Johnny Heward at Pittsburgh
24 has found that as you increase the passage number
25 of cells, and these are actually old data, from '98

1 I believe, that as you increase the passage number
2 of cells in vitro that you see differences, I think
3 passages 3 and passage 5, or something like that,
4 give you much better functional results in
5 engraftment than passages 1, 2, and 4, and the
6 desmin staining of those changes.

7 So, I think we probably are selecting for
8 different cells.

9 DR. SCHNEIDER: Along those lines, do you
10 know if the scar-1-positive fraction goes up or
11 goes down in your skeletal muscle cells over time?

12 DR. TAYLOR: Scar-1, we haven't look at
13 scar-1 in our population of cells. We have looked
14 primarily for SP cells. What I will say is that we
15 grow our cells a little differently than most
16 people. As you know, we make an explant and
17 actually allow our cells to grow out from the
18 explant, and we are getting a much higher
19 percentage of more immature cells than other people
20 as a result, and I think that is because we are not
21 throwing them all away when we do the filtration
22 and enzyme digestion, and those kind of things, and
23 that may impact some of our functional data.

24 DR. RAO: We will take on last question.
25 Dr. Simons.

1 DR. SIMONS: Doris, you mentioned that up
2 to 90 percent of cells that they injected in the
3 heart die soon thereafter. So, the cells that are
4 still there, do they need to be concentrated at a
5 certain per sort of square area of the cell, or can
6 you spread them as much as you like, so is it
7 really a mechanical effect or is it something that
8 the cells make, because you are making a point that
9 it matters where you actually inject them?

10 DR. TAYLOR: What we have found is that we
11 can do three parallel injections, and we get the
12 same effect as if we do one injection, as if we do
13 a star-shaped injection, so we spread them out in
14 different--I mean we have given them under
15 different geometries, and we see the same effect,
16 the same dose of cells.

17 What we don't know is how many die under
18 each of those conditions.

19 DR. SIMONS: So, if you can spread them
20 around, that would imply that that this is probably
21 not a purely mechanical effect?

22 DR. TAYLOR: I think there is absolutely
23 some truth to that. I don't think it's purely
24 mechanical. I also don't think--that is what I
25 said when we use these muscle cells, there are

1 multiple populations of cells in there, and I think
2 different ones have different effects.

3 Absolutely, I think mechanism is an open
4 question, we really don't know.

5 DR. RAO: In the interests of time, I
6 guess we move on. Thank you, Doris.

7 I apologize for not recognizing members of
8 the public, but this is the part of the meeting
9 where we have to give priority to the committee
10 members in terms of questions. People in the
11 audience can address the committee in the open
12 session.

13 Dr. Itescu.

14 **Preclinical Models - Hematopoietic and**
15 **Mesenchymal Cell Therapies for Cardiac Diseases**

16 DR. ITESCU: Thank you very much for
17 inviting me here today. As if the talks haven't
18 been complex enough, I have got the difficult task
19 of speaking for 30 minutes and covering a variety
20 of animal models, as well as a variety of cell
21 types, so I hope it will be cohesive enough.

22 The issues to consider in this field, I
23 have tried to address some of them here in this
24 slide in terms of small animal models or large
25 animal models, and it is the precise

1 characterization of cell type and population to be
2 used to define the cell source and process for
3 isolation to determine if there is a need for ex
4 vivo culture and expansion to identify the
5 mechanisms of action for inducing cardiac repair,
6 to identify appropriate animal models, in other
7 words, small versus large, species-specific versus
8 those that use human products and those that
9 involve acute versus chronic ischemia. These are
10 all very, very important questions.

11 Finally, experiments that address
12 dose-ranging studies for functional correlation and
13 toxicity, and the last question which has not been
14 touched on yet today, which I will at the end of my
15 talk, is that between autologous versus allogeneic
16 products.

17 The adult mammalian bone marrow contains
18 two stem cell populations at least. The one that
19 we are most familiar with are hematopoietic stem
20 cells defined as being CD34-positive, and more
21 importantly, CD45-positive.

22 These cells form blood-forming elements,
23 such as monocyte and macrophage lineage cells,
24 these account for about 10 to 20 percent of the
25 CD45-positive fraction, and more recently, cells

1 that are endothelial progenitor cells or
2 angioblasts that express these markers plus several
3 others such as AC133 and c-kit.

4 The second population, the
5 non-hematopoietic stem cell fraction is typically
6 CD45-negative and CD34-negative, and at least three
7 cell types have been defined within this fraction -
8 the mesenchymal stem cells, which really is poorly
9 termed as stem cells since these cells are defined
10 based on their in-vitro culture characteristics.
11 The way they are isolated is very crudely, very
12 grossly based on density properties and plastic
13 adherence. In fact, the population cells that is
14 pulled out initially is very heterogeneous.

15 A second stem cell type is the MAPC that
16 you have heard about. These cells are potentially
17 more homogeneous in nature, however, the fact that
18 they are dependent on negative immunoselection
19 means that again we don't really know what the cell
20 type that is ultimately derived is, and the cell
21 culture conditions are very laborious and require
22 low density for outgrowth.

23 Finally, there is a third population of
24 mesenchymal precursor cell or progenitor cell which
25 can be defined on the basis of several surface

1 markers and can be selected by immunoselection,
2 positive immunoselection freshly from bone marrow,
3 and we are using these cells currently in my
4 laboratory, and I will touch on them a little bit
5 towards the end of the talk.

6 In respect to the hematopoietic stem cell
7 fraction, the CD34/CD45 fraction, there is high
8 frequency within the bone marrow compartment, as I
9 have mentioned, 10 to 20 percent of macrophages, 1
10 to 2 percent of the CD34 progenitor cells that can
11 be freshly isolated without any requirement for
12 in-vitro culture and expansion, and are well
13 characterized for many years with established
14 isolation protocols.

15 In this slide, I tried to summarize the
16 interaction and cooperation between the
17 CD45-positive and 45-negative subsets in terms of
18 vascular network formation, so that in the bone
19 marrow, the primordial circle stem cell may give
20 rise to the CD45-positive/34-positive endothelial
21 progenitor cells, which egress to the embryonic
22 organs where you get the initial vasculogenic
23 small, thin-walled capillary development.

24 At the same time, the CD45-negative
25 parasite fraction derived from a mesenchymal

1 progenitor cell produces a variety of factors
2 including VEGF, FGF, angiopoietin, and SDF-1, which
3 can interact with these vasculogenic capillaries to
4 give rise to the more mature vascular network
5 through a process of angiogenesis.

6 In addition, the parasites may also
7 migrate and give rise to the smooth muscle outer
8 wall, so that you really have this cooperation
9 between both cells and factors to give rise to the
10 permanent new vessel formation.

11 With this as a background, I will like to
12 address several studies that have looked at how
13 angiogenesis per se can improve cardiac function.
14 This study from Kobashi [ph] and Collins in 2000, I
15 think was the first to demonstrate or one of the
16 first to demonstrate that whole bone marrow in a
17 small rodent model could induce transient
18 angiogenesis and improve cardiac function.

19 You can see here the implantation of whole
20 bone marrow into the ischemic myocardium of a rat
21 gives an increase in local production of VEGF
22 protein within 24 hours, but by 7 days this protein
23 production is gone, and in parallel, the
24 angiogenesis that is occurring in the heart is
25 again transient, maximal at 1 week

1 post-implantation, gone by 4 weeks.

2 So, I think this particular model gives us
3 some pause and suggests that the whole bone marrow
4 approach may not be a way to give rise to permanent
5 vasculature. Others have used this sort of
6 approach now in larger models, in pigs. Work from
7 Kemiharder [ph] and colleagues demonstrated that in
8 a LAD-ligated porcine model, again whole bone
9 marrow implantation gives rise to angiogenesis.
10 This is within 6 weeks post-implantation, you can
11 see improvement in collateral flow associated with
12 some degree of improvement in function.

13 In parallel studies they published about
14 two years ago now, the same group demonstrated that
15 in a more chronic ischemia model in pigs using the
16 ameroid constrictors, they were able to again
17 induce angiogenesis using mononuclear cells from
18 bone marrow, as well as mononuclear cells from
19 peripheral blood to a lesser extent. You can see
20 improvement in regional blood flow and improvement
21 in global parameters of cardiac function including
22 ejection fraction DPDT, and a reduction in the
23 ischemic area.

24 But again, all of these studies were done
25 in the setting of an acute ischemic or perhaps more

1 subacute ischemic model in this scenario, and the
2 animals were followed up for no more than 6 weeks,
3 so if we take that into consideration that in the
4 smaller rodent, the neovascularization and the
5 cardiac function improvement was transient, but I
6 think the 6-week follow-up in these larger models
7 is really not adequate especially in a pig where
8 the physiology much more closely resembles that in
9 man.

10 The next approach is to look at perhaps
11 subsets of some of these cells, and I would like to
12 show you some work on endothelial progenitor cells
13 defined by surface markers.

14 Isner and colleagues originally described
15 these cells demonstrating that endothelial
16 progenitor cells were present in the bone marrow,
17 were released to the circulation under certain
18 signaling and present from growth factors under
19 ischemic conditions, and that these cells can
20 incorporate into regions of ischemia.

21 So, we asked the question if one could
22 identify these cells in the adult marrow of humans,
23 could they potentially improve function through a
24 process of neovascularization.

25 The model that we use in my lab is one of

1 using the incompetent nude rat, which is an athymic
2 rat model that lacks T cells and B cells, continues
3 to have some degree of natural killer cell
4 function, in other words, it is a linking model,
5 but it is able to tolerate certain types of human
6 cell injections, particularly human cells that have
7 not been in vitro cultured and expanded.

8 Our objective was to cause a permanent
9 occlusion of the anterior descending left coronary
10 artery, in contrast again to other models that
11 perhaps are using the reperfusion type of model.
12 Our objective was to induce an infarct and see
13 whether we could then protect the subsequent
14 territories of myocardium still at risk in the
15 periphery.

16 We mobilized in the progenitor cells from
17 healthy human donors using GCSF, think that, in
18 fact, in the future this would have been a way to
19 move towards clinical trials, in other words, being
20 able to harvest large numbers of progenitor cells.

21 In our studies, we then immunoselected
22 these on the basis of surface markers, CD34 and
23 CD117, injected these into the tail vein of acute
24 ischemic rats to see whether they homed to the
25 myocardium.

1 I would just like to pause for a minute to
2 say that given the recent paper in the Lancet, I
3 think a week or two weeks ago, I think that
4 certainly raises a question about how such cells
5 are going to be accessed in large numbers if they
6 are to be used at all at the time of an acute
7 myocardial infarct because I think under a local
8 anesthetic, a bone marrow aspirate gives rise to
9 extremely few numbers of these types of cells, and
10 you really do require either large harvesting or
11 large numbers to be mobilized, and I think at this
12 point in time, GCSF is not the agent to be used
13 post-infarct.

14 In any case, the point of our studies were
15 to see whether intravenous administration at the
16 time of acute infarct would lead to selective
17 homing to the myocardium, and we have met these
18 trafficking pathways based on the type of chemokine
19 expression that occurs post-infarct, and we found
20 that B cells selectively migrate to the
21 peri-infarct region, where they within two weeks
22 induce both incorporation into vessels, and this is
23 staining with antihuman CD31, and you can see cells
24 that are previously labeled with dye/Dil,
25 incorporate into vessels of the peri-infarct

1 region, but in addition, a very dense induction of
2 angiogenesis at the more distal areas as defined by
3 rat-specific anti-CD31 antibodies.

4 So, again, akin to the type of data shown
5 by Dr. Epstein, it appears that these cells are
6 able to not only incorporate and induce
7 vasculogenesis, but presumably secrete a variety of
8 antigenic factors.

9 From a pathophysiologic perspective, we
10 see that when we induce a vascular network at the
11 peri-infarct region, you can see these large
12 capillaries. There is nice viability of the
13 cardiomyocytes at the peri-infarct region as
14 opposed to these apoptotic cardiomyocytes. You see
15 reduction in scar formation and clearly a viability
16 and survival of the myocytes.

17 But in addition to that, we were quite
18 surprised to see that as early as five days
19 post-neovascularization, there seems to be
20 induction of cell cycling by endogenous
21 myocyte-like cells, and you can see these by
22 confocal microscopy small cells of the peri-infarct
23 region that express alpha-sarcomeric actin in blue,
24 but more interestingly, in yellow, the staining
25 with the rat-specific anti-KR67 antibody, which

1 recognizes cycling cells only of rat origin. You
2 can see lots of these type of small cells in the
3 peri-infarct region only in the situation where we
4 also have neovascularization being induced by the
5 human cells.

6 Within two weeks, in these same areas,
7 what you see is that the cells that express
8 sarcomeric actin now express troponin, and you can
9 see an example of that large mature differentiated
10 cardiomyocyte where the nucleus continues to be in
11 cell cycle, and we see about 8-fold increase in
12 numbers of these type of cells at the peri-infarct
13 region that has received the human progenitor
14 cells.

15 We think that the cells or the new
16 capillaries are secreting factors that are
17 presumably inducing cycling of these
18 cardiomyocytes, and we are looking at a variety of
19 anti-apoptotic genes that are increased in
20 expression in these cells including redox-related
21 anti-apoptotic genes.

22 The end result is a very significant
23 salvage of the anterior wall of myocardium. You
24 can see that here, and you can see in this scenario
25 obviously a very dramatic left ventricular scar

1 formation and aneurysmal formation here.

2 We can show a dose-dependent reduction in
3 scar formation at the left ventricle as we increase
4 the number of progenitor cells that we inject.

5 Now, others have published recently that
6 perhaps the CD34 cells may have the ability to
7 become multipotential, perhaps transdifferentiate,
8 however, the data are fairly scant, and I would say
9 that given that only 1 in 7 healthy animals
10 demonstrated HLA human troponin co-staining, I
11 think at this point, it is open to debate whether,
12 in fact, these cells do transdifferentiate or
13 whether these cells have the capability to simply
14 fuse with the donor cells.

15 Nevertheless, whatever the precise
16 mechanism, it appears that hematopoietic stem cell
17 injection, either intravenously or
18 intramyocardially, does result in significant
19 global improvement in cardiac function, as we
20 showed here, at least 30 percent improvement in
21 ejection fraction, which is permanent. This was 15
22 weeks of follow-up post-LAD ligation, and we can
23 look at fractional shortening or DPDT and
24 demonstrate similar sort of things.

25 In this scenario, you can see that there

1 are other cell types that we use as controls,
2 CD34-negative cells, saphenous vein endothelial
3 cells, and what this sort of study shows is that
4 you must always use different cell types when you
5 are trying to evaluate a particular therapy. It
6 cannot just be compared to saline or existing
7 control. You have got to demonstrate specificity
8 in the product that is being tested.

9 Moreover, the question that we asked was
10 what about if we combine this type of an approach
11 with existing therapies, because really that is the
12 question you want to address, and the example of
13 restenosis with stenting is just one type of
14 combination therapy between a new product and
15 existing therapies.

16 What you see here is if we combined CD34
17 cells with both ACE inhibitors and beta blockers in
18 the same rodent model, we had very significant
19 synergy in outcome, and histologically, the reason
20 for that was really not because the two products
21 worked in a similar way, but because they had very
22 separate mechanisms.

23 The ACE inhibitors and beta blockers had
24 no impact on neovascularization, but, in fact,
25 prevented fibrosis in the posterior wall as they

1 are known to do, so we have two very different
2 mechanisms of action working in synergy, but it
3 could have just as easily worked the other way
4 around, and that is why we did the experiment.

5 It could have been that by reducing wall
6 strain or reducing fibrous replacement, we may have
7 reduced the drive for endothelial cells to induce
8 neovascularization and improve cardiac function.

9 So, what can be concluded from preclinical
10 data using non-cultured cells? The objectives
11 that one should look for when you do these kind of
12 studies include identifying the predominant
13 mechanism by which a given cell type induces
14 cardiac recovery.

15 A comparison of efficacy of one given cell
16 type with others, identification of the tissue
17 distribution of that cell type following the
18 preferred mode of delivery, unique short- or
19 long-term risks associated with the preferred cell
20 type, unique risks associated with the method of
21 cell acquisition or isolation. I have given you
22 the example of GCSF administration, and perhaps
23 alterations in efficacy or safety following
24 coadministration with standard therapies.

25 Now, I would like to shift attention a

1 little bit to the second population I want to talk
2 about, the non-hematopoietic stem cells, the
3 CD34-negative, CD45-negative fraction.

4 These cells are rare. They account for
5 less than 0.01 percent of bone marrow cells, and
6 they do require, for this reason, in-vitro culture
7 and expansion.

8 I apologize about the complexity of this
9 slide. I will just take you through it a little
10 bit. I mentioned these three types of mesenchymal
11 lineage cells that people are working with. The
12 so-called mesenchymal stem cells are more likely
13 committed progenitors, but the point is that they
14 are telomerase-negative.

15 The multipotent adult progenitor cells are
16 MAPCs, telomerase-positive, and the stromal or
17 mesenchymal precursor cells are also
18 telomerase-positive. These cells may be related to
19 each other, but all three types of cells give rise
20 to mesoderm lineage cells. The MAPCs have also
21 been shown to give rise to endodermal and
22 ectodermal cells. It appears that the mesenchymal
23 precursor cells can also give rise to endoderm and
24 ectoderm.

25 With respect to mesoderm, which is really

1 what we are interested in primarily here, cardiac
2 muscle and smooth muscle lineage cells can both be
3 differentiated from the mesoderm, but also these
4 cells can give rise to other cell types with
5 mesodermal lineage.

6 The so-called mesenchymal stem cells are
7 cells that are derived from whole bone marrow and
8 then isolated by simple density centrifugation to a
9 particular layer. These cells are then taken from
10 the interface, put down on plastic, adhered for 24
11 to 48 hours, and then the cells that continue to
12 adhere are then cultured again fairly crudely with
13 generally fetal bovine serum for weeks at a time.

14 So, if you understand how this process is
15 initiated, you understand that really, the
16 isolation of these cells is so crude that you are
17 starting out with a very heterogeneous population
18 simply based on density characteristics, and that
19 the true multipotential cell within this fraction
20 probably is not more than 1 in 1,000 to 1 in 10,000
21 of the cells you are starting out with.

22 In any case, after, say, 10 to 14 days of
23 culture and passage, you see the sort of
24 monomorphous type of fibroblastoid phenotype, and
25 you see the cells that have survived this period of

1 culture are fairly homogeneous in terms of the type
2 of markers that are being used.

3 There are specific antibodies that can
4 define surface characteristics of these cells, SH2,
5 SH3, endoglin, and I am not sure what SH3 actually
6 defines. But in any case, these cells, after two
7 weeks in culture, become fairly homogeneous.

8 I borrowed this slide from Dr. Epstein,
9 his recent paper in Circulation Research, which
10 shows that these cells, after several passages in
11 culture, demonstrate production following induction
12 of ischemia of a variety of factors that are
13 associated with both angiogenesis and
14 arteriogenesis, and in a nice model of rat hind leg
15 ischemia, you can see--I think you showed this
16 slide already--demonstration of improvement in
17 perfusion following MSC infusion in these cells in
18 ligation of the hind leg artery.

19 But in addition to secretion of
20 pro-arteriogenic factors, the interest in these
21 cells lies in the fact that they seem to have
22 multipotential capability in that under appropriate
23 culture differentiation conditions, they can be
24 differentiated to adipocytes, chondrocytes, and
25 osteocytes.

1 Work now since at least 1999 has
2 demonstrated that if you use appropriate inductive
3 signals in these cells, in this case, 5-azacytidine
4 to demethylate the cells, but there have been
5 reports of other agents that can do similar sort of
6 things, you can push the cells toward a
7 cardiomyocyte-like lineage with appropriate marker
8 expression and electromicroscopic criteria
9 consistent with BT myotubes.

10 Probably the best study to date to
11 demonstrate that these cells can actually do
12 something in vivo is work from Victor Dzaus' lab
13 published last year in Nature Medicine. Here, we
14 are talking about again rat mesenchymal lineage
15 cells cultured in the way I have just defined with
16 density separation and long-term culture with
17 bovine serum, fetal calf serum, and what you see is
18 after injection of these cells into the
19 peri-infarct region of rats following LAD ligation,
20 these cells appear at least phenotypically to
21 acquire markers of cardiomyocytes, so that they
22 express myosin heavy chain, cardiac troponin,
23 sarcomeric actin, and connexin 43. This is within
24 two weeks of implantation.

25 However, the majority of these cells just

1 don't survive when you put them in. They die after
2 early transplantation. Causes appear to be
3 ischemia again, competition for oxygen nutrients,
4 inflammatory and oxidative stress in the
5 post-infarct myocardium, loss of survival signals
6 from cell to cell or cell matrix interactions, and
7 probably lack of telomerase activity and
8 self-renewal capability by these cells, and I said
9 to you earlier that these cells just don't express
10 telomerase, certainly when they are in culture.

11 The work by Mongi, et al., in fact, showed
12 that if you genetically modify these cells with an
13 AKT transgene, you could significantly prevent
14 these cells from dying following implantation,
15 significantly reduce the apoptotic index, increase
16 survivability, and overall improve function almost
17 to the level of the non-infarcted animals, quite
18 impressive, but it required essentially making them
19 resistant to death or apoptosis by AKT
20 overexpression.

21 I will just move along to the second
22 population of cells, the MAPCs. These cells, as I
23 mentioned, are defined based on negative expression
24 of all known markers, CD45 and many other known
25 markers of lineage-specific differentiation, and

1 following long-term culture, these cells have been
2 shown to be capable of differentiating to a variety
3 of tissue types requiring cocktails of various
4 cytokines and differentiation factors.

5 In particular, following activation with
6 VEGF and implantation into appropriate medium, in
7 this case, tumor model in the scid mouse, these
8 cells appear to be able to incorporate into first
9 neovascularization, and you can see they can induce
10 networks of capillaries, neocapillaries really, in
11 wound-healing tissues, so they may contribute to
12 vascularity.

13 With that as a backdrop, Gallegos and
14 colleagues at the University of Minnesota decided
15 to look at whether again fresh non-differentiated
16 MAPCs from dogs could be implanted into an acutely
17 LAD-ligated model and could perhaps improve
18 function in some form.

19 The model was to induce again a complete
20 LAD ligation in the dog, autologous cells were
21 taken from the marrow, they were expanded for about
22 four weeks, and then four weeks later, injections
23 were put directly into the myocardium.

24 What they found was that a month
25 post-injection of the cells, there appeared to be

1 increase in myocardial perfusion reserve defined as
2 perfusion under stress relative to perfusion at
3 rest compared to the controls, and this is in four
4 animals.

5 But significantly, although there was some
6 improvement in regional myocardial contractility
7 within the infarct area and the peri-infarct zone,
8 defined as circumferential shortening and radial
9 thickening, there was actually no improvement in
10 global function of cardiac measurements as defined
11 through systolic improvement by fractional
12 shortening or ejection fraction.

13 Now, just to the last area that I would
14 like to touch on, and that is the possible use of
15 cultured allogeneic stem cells for cardiac disease.
16 The issues to consider here are whether or not
17 cells constitutively or under inductive conditions
18 express surface molecules, surface HLA molecules,
19 and co-stimulatory molecules, secondly, whether
20 stimulation of recipient T cell responses occur in
21 vitro, and, thirdly, whether these cells might
22 induce inflammatory responses after in vivo
23 injection.

24 This work may or may not be familiar to
25 many people here, but many labs now have completely

1 demonstrated that mesenchymal lineage cells from
2 humans, from primates, and from smaller animals
3 routinely actually do not express Class II HLA
4 molecules until they are induced by
5 gamma-interferon. They certainly do all express
6 Class I, but in addition to that, do not express a
7 variety of co-stimulatory molecules, such as CD40,
8 CD80, and CD86.

9 All of these molecules are absolutely
10 critical in inducing T cell immune responses when
11 you transplant cell or an organ between
12 individuals.

13 What I want to show you here is that
14 mesenchymal stem cells clearly do not induce a T
15 cell response following standard mixed leukocyte
16 reactions in vitro. This, you can see in
17 comparison to allogeneic mononuclear cells, which
18 induce a vigorous T cell response.

19 In addition, even activation with
20 interferon gamma to upregulate Class II HLA has no
21 effect on mesenchymal stem cell induction of T cell
22 responses, where you can see the potency of
23 gamma-interferon at inducing allogeneic responses
24 if you do it to dendritic cells or to allogeneic
25 mononuclear cells.

1 So, there is something very special about
2 these cells, that they do not seem to express
3 co-stimulatory molecules, they do not seem to
4 induce an allogeneic T cell response at least in
5 vitro, and moreover, they seem to have the ability
6 to suppress ongoing immune responses.

7 What you can see here is that this is now
8 a third party mixed leukocyte reaction where you
9 have T cells from one donor proliferating. This is
10 to allogeneic mononuclear cells, to allogeneic
11 dendritic cells, or to PHA, and you can see that if
12 you add third party mesenchymal stem cells, they
13 will suppress this proliferative response, and this
14 suppressive effect is in a dose-dependent manner.

15 Additional studies have suggested that, in
16 fact, part of this suppressive effect requires
17 cell-cell contact inhibition, and part of it
18 requires secretion of a variety of
19 anti-inflammatory cytokines, such as TGF-beta, but
20 the precise mechanism at this point has not been
21 defined.

22 The only human trial that I am aware of
23 using allogeneic mesenchymal stem cells to date is
24 one where allogeneic stem cell transplants were
25 performed with third party allogeneic mesenchymal

1 stem cells, and I understand the results of those
2 were better engraftment and reduction in
3 graft-versus-host disease, suggesting again that
4 the third party mesenchymal stem cell, not only
5 does not induce an immune response, and is
6 presumably not itself rejected, but is also
7 beneficial in outcome.

8 But just to go back to the cardiac studies
9 now, using that again as the backdrop, Brett Martin
10 and colleagues from Ceros Therapeutics--and these
11 are two slices that he has given me--presented at
12 the American Heart Association last year, their
13 findings using allogeneic mesenchymal stem cells
14 from pigs in acute myocardial injury, and this is
15 now in a reperfusion model to persecute myocardial
16 infarction, what they was generate a panel of
17 allogeneic porcine mesenchymal stem cells that are
18 matched at blood group antigen, they used very
19 large numbers of these cells distributed over the
20 infarct zone. We are talking about a reperfusion
21 model without any immunosuppression.

22 What you see here is that within two weeks
23 of implantation, the cells were still present, so
24 they hadn't been rejected. You can see the
25 presence of cells two weeks later. However, these

1 cells did not differentiate to cardiomyocytes, so
2 they did not induce a rejection episode, but they
3 also did not appear to really do much in terms of
4 integration or differentiation.

5 With respect to function, what you see is
6 actually improvement again in diastolic parameters,
7 as we have heard earlier, with increasing diastolic
8 wall thickness at various time points including
9 well beyond six weeks of study, and a reduction in
10 N-diastolic pressures, however, again no
11 improvement in systolic function, which is again
12 consistent with perhaps alterations in matrix,
13 alterations in ground substance, but no real effect
14 on contractility or myocytes.

15 This table, what you see is really a
16 summary of the type of the cells that are being
17 used of mesenchymal lineage. The MAPCs, so far we
18 have seen only modest efficacy in cardiac models in
19 dogs in the absence of predifferentiation in vitro.

20 Mesenchymal stem cells have shown good
21 function in rats, but modest only in pigs, and
22 mesenchymal precursor cells are currently being
23 investigated. We think they may be far more potent
24 than either of these two because you are able to
25 isolate them by surface characteristics when they

1 are fresh.

2 The final slide here is that I think many
3 remaining hurdles is the message. The appropriate
4 clinical indication needs to be defined. The more
5 proof of principle animal studies, we have got to
6 determine optimal doses for efficacy, careful
7 registry of adverse events in our animals, let
8 alone humans.

9 We have got to optimize the ex vivo
10 culture process, which I haven't even talked about.
11 The autologous versus allogeneic question is
12 critical because it is going to impact both on the
13 process and on the cost of this whole therapy,
14 determine the best route of administration and
15 really how do you improve engraftments, we have
16 heard all about that before.

17 Thank you very much.

18 [Applause.]

19 DR. RAO: Thank you, Dr. Itescu, for a
20 really nice summary and trying to help keep us on
21 time, as well.

22 In the interests of trying to get us back
23 on track, I am going to ask people to limit their
24 questions to things which are directly relevant to
25 the talk and if there are specific burning

1 questions on this issue.

2 **Q&A**

3 DR. CUNNINGHAM: I just have one question.
4 I was curious, in all your rat studies you
5 published in Nature, did the rats die, and what did
6 they die of, you know, the ones that didn't get to
7 the endpoint of the experiment, were there any
8 adverse events that you noticed?

9 DR. ITESCU: You mean unrelated to the
10 initial surgery? We have something like 30, 40
11 percent death rate from the initial surgery, but
12 pre-cellular implantation, but after cellular
13 implantation is what you are asking me, we carried
14 the animals out. There was no real adverse events
15 that I am aware of in these studies.

16 DR. SCHNEIDER: Terrific presentation. A
17 quick question. What are the surface markers that
18 you use as most indicative of the MPC?

19 DR. ITESCU: They may very well be related
20 to the cells that you are looking at in mice, but
21 the markers that we look for are not
22 human-specific, so 3G5, Mak-18, so interestingly
23 enough, markers that define human parasite-like
24 populations in vivo. In fact, it appears that
25 these cells are present in perivascular locations

1 throughout the body, so we are using those markers
2 to pull out the cells from different locations to
3 purify them and expand them.

4 I would actually be very interested in
5 seeing whether there may be some correlations
6 between these human cells and the cells you look at
7 in the mouse.

8 DR. KURTZBERG: You made a passing comment
9 as you were speaking that you thought GCSF wouldn't
10 be safe after an MI. Can you expand on that?

11 DR. ITESCU: I think in the recently
12 published study, just to summarize my take on that
13 study, a randomized study where one group received
14 GCSF subcutaneously to mobilize the endogenous
15 population of marrow, another group received GCSF
16 subcutaneously to mobilize and then had the
17 mobilized cells harvested, not immunoselected, and
18 I think the cells were then delivered by I believe
19 intracoronary routes, whole unfractionated cells.

20 The conclusion of the study was that the
21 patients that received the cell therapy had a
22 significant improvement in cardiac function,
23 whereas, the group that received GCSF alone did
24 not.

25 However, in both populations, the study

1 was cut short because of this complication, but I
2 think if you pooled both populations, 7 out of 10
3 patients developed significant restenosis at the
4 site of the stent implantation.

5 Now, these are bare stents. This is the
6 pre-repamycin days. I think the anticipated
7 restenosis rate would have been maybe 25 percent at
8 most in that population. I think even with
9 repamycin, you would expect to reduce the rate--if
10 70 percent is right--diabetic patients actually
11 have a restenosis rate with repamycin stents still
12 of about 20 to 25 percent, 50 percent without
13 repamycin, so these patients are more severe than
14 diabetics are.

15 I wouldn't anticipate that you would be
16 able to lower that to below 35, 40 percent, and
17 that is obviously totally unacceptable.

18 Now, the question is why is it that GCSF
19 was associated with this high restenosis rate, and
20 I think we can all make speculations, but I think
21 at least one possibility is that the cells that are
22 mobilized, CXER-4 positive cells from the marrow,
23 many cell types express CXER-4 including smooth
24 muscle progenitor cells, and I think that is
25 probably the simplest explanation, that there are

1 smooth muscle progenitors that are mobilized, that
2 migrate to the site of the stent, and I am not sure
3 how one can get around that actually, but that is
4 just a guess really.

5 DR. TAYLOR: That was great. I have one
6 quick question. You didn't mention this except
7 that in vitro, a lot of these cells can become a
8 number of mesodermal cell types, and I think there
9 are some data in rats that showed early on that if
10 you inject these cells in the center of an infarct,
11 they, in fact, at times become adipocytes or
12 chondrocytes or osteoblasts, or something like
13 that.

14 I wondered if you would comment on what
15 cells you think are likely to do that and whether
16 all of them are.

17 DR. ITESCU: Obviously, that is the worst
18 case theoretical question in this whole field.
19 What we don't want is to develop bone in the middle
20 of their hearts.

21 I am not aware really of a lot of studies
22 that have demonstrated that sort of abnormal
23 differentiation. Certainly, adipocytic
24 differentiation has occurred, I am not sure that I
25 have seen bone differentiation.

1 It really depends on how well defined the
2 cell population is, I think, and to what extent the
3 cells have been predifferentiated or to what extent
4 they may be still very multipotential. It is not
5 clear to me whether you need to start with a cell
6 that is very multipotential or that is fully
7 differentiated, and perhaps the culturing process
8 where you are pushing the cells, and again using
9 fetal calf serum I think is an unfortunate--it is
10 the only thing that as been done to date--but it is
11 I think probably the worst way to be culturing
12 cells, because you don't know what is in your
13 culture medium, you are pushing these cells to many
14 different lineages.

15 When we look at these cells
16 following--very few studies have been published
17 that have looked at this--but we have looked
18 ourselves in this way, and you can see that after
19 three or four weeks of culture, you can see cells
20 that express markers of mature smooth muscle cells,
21 of mature, some bone differentiation, some
22 differentiation to cartilage.

23 Whether or not that is relevant when you
24 put the cells back in, whether there is going to be
25 differential potential for outgrowth of one cell

1 type over another, I think is really a totally open
2 question, but I would push towards putting cells
3 that are less differentiated in rather than more
4 differentiated, because I think the less
5 differentiated cells have got the ability to still
6 proliferate, to be pushed towards the appropriate
7 lineage under appropriate inductive signals that
8 may still be present in the heart.

9 There may be more differentiation towards
10 maybe a cardiomyocyte lineage, but at least towards
11 a smooth muscle lineage, so you might get some
12 degree of arteriogenesis, as well as I think it is
13 the more undifferentiated cells that are the ones
14 that produce the very rich supply of arteriogenic
15 factors.

16 Just getting back to what I was saying,
17 the very undifferentiated cells express markers
18 really of parasites, so if you are thinking of
19 parasite implantation, it is one way of maintaining
20 viability of endothelial cells and integrity of the
21 endothelium, and maybe it is a way of building the
22 vascular network.

23 DR. RAO: This is the last question.

24 DR. BLAZAR: The issue of
25 transdifferentiation in vivo is striking that you

1 can get cells there and they just sit there, and I
2 think this has been seen with a number of
3 non-hematopoietic cell sources, MSCs being one.

4 I guess the question is whether there are
5 inhibitory signals that are present that prevent
6 differentiation in vivo under certain conditions,
7 and has anyone ever taken these cells back out of
8 the heart and show that they can, in fact, be
9 induced to differentiate in vitro? Do we know
10 anything about the inhibitory factors present at
11 the site that are precluding differentiation?

12 DR. ITESCU: Those are obviously great
13 questions, very important questions, and again I
14 think it speaks to having a good understanding of
15 the surface markers of these cells because if you
16 know what they express, you can do the sort of
17 experiments that you are suggesting. You know what
18 you are putting in, and then you can actually take
19 them out again based on maybe immunoselection.

20 We are actually trying to do those type of
21 experiments to ask exactly those questions, but I
22 think in many ways you are limited then to human
23 cells, because many of the well-defined surface
24 markers, there just aren't enough reagents.
25 Perhaps mouse cells is the only other. The mouse

1 system is the one that is well enough developed and
2 the human system, and other than that, we are
3 really missing reagents where you can do those type
4 of experiments. DR. RAO: Thank you,
5 Doctor.

6 DR. HARLAN: Can I still ask a quick
7 question? You were careful to say so in your talk
8 when you were talking about allogeneic cells, and
9 you said at least in vitro, these allogeneic cells
10 don't activate T cells, but I just wish to
11 emphasize the point that in vivo, it is so much
12 more complicated than that, and the presence or
13 absence of B7, I thought Bruce maybe was going to
14 speak to this, you didn't make the point, but I
15 think it is important to emphasize that whether or
16 not a cell in vitro stimulates a T cell response is
17 really a very poor predictor of whether that will
18 be rejected in vivo.

19 DR. ITESCU: Well, I am not sure I agree
20 with that at all actually.

21 DR. HARLAN: Then, we will have more
22 discussion. I saw the pig data, that is
23 interesting.

24 DR. ITESCU: I am actually a transplant
25 immunologist. This is what I do, I take care of

1 cardiac transplant patients, and I am going to tell
2 you that 12 months ago, when I first heard about
3 these sort of data, I was extremely skeptical,
4 along similar lines to what you are saying, you
5 know, is it an in vitro artifact.

6 However, you go back and you see how many
7 labs are reproducing these data, which is really
8 what I am surprised about, and I can tell you that
9 the mixed leukocyte reaction is about the best
10 single assay that we have in transplant medicine to
11 predict allogeneic rejection and allogeneic
12 sensitization.

13 In the old days, it used to be used
14 routinely for kidney transplant selection,
15 donor-recipient selection, and it is still the best
16 assay. I am not sure whether it reflects whether
17 these cells are going to be accepted long term in
18 vivo, but it is certainly a very good marker for
19 biology. I don't know what it means, but it is
20 routinely being reproduced using these type of
21 cells.

22 DR. HARLAN: To say it is the best, and I
23 won't disagree with it, is fine, but it is still a
24 very poor predictor of in vivo function, and it
25 goes back 30 years to the two-signal model,

1 thinking if you could get rid of the antigen
2 presenting cells within a graft, that you would
3 take, and it seems to work in rodents, but it just
4 doesn't work in higher animal models.

5 Bruce, do you want to step in?

6 DR. BLAZAR: I think there is the point of
7 somewhere in between because clearly, there are
8 cell-to-cell contact phenomenon that happen in
9 vitro with regulatory T cells. You can show a
10 TT-dependent inhibition of responses throughout
11 TGF-beta, and depending on the models in vivo,
12 those either are true or they fall apart.

13 We know in matched sibling donor
14 transplants, mixed leukocyte reaction culture does
15 not predict graft versus host disease. So, I think
16 that if you do see suppression, it is encouraging
17 to try to go forward for in vivo, and that is
18 probably as good as you are going to do, but it
19 doesn't necessarily mean that those mechanisms take
20 place in vivo particularly as those cells
21 themselves change in their own ability to elaborate
22 cytokines or express other molecules.

23 DR. ITESCU: I agree entirely and I am
24 saying the exact same thing, that I think it is a
25 phenomenon that is reproducible in vitro, it's an

1 unusual and unique scenario. Whether or not it has
2 implications in vivo remains, but I think at least
3 it ought to be tested, and I think it may have
4 implications on dendritic tolerance-inducing
5 mechanism, which truly is a different way of
6 thinking about this all together.

7 It may have nothing to do with the ability
8 of these cells themselves to escape surveillance in
9 the periphery, but perhaps they end up in the
10 thymus, and they may actually be able to reeducate
11 the immune response, but I think all of that
12 remains open to test.

13 DR. RAO: Last comment.

14 DR. NEYLAN: A very quick
15 transplant-related question, again, maybe bring
16 some of these last discussions to a very practical
17 safety concern.

18 That is, given the ability of these
19 allogeneic cells to abrogate or reduce the immune
20 response of the host, is it possible that the
21 migration and homing of these cells may differ to
22 autologous cells in a way, maybe akin to the
23 micro-chimers and observations of solid organ
24 transplantation, that potentially pose a safety
25 risk to the use of allogeneic cells, homing to or

1 disseminating and finding a welcome home in other
2 tissues.

3 DR. ITESCU: I think that is a fair point,
4 in other words, if they are immunoregulatory and
5 you are injecting them and they find their way into
6 the thymus, for example, will they induce a state
7 of tolerance to an exogenous antigen that the
8 patient sees at exactly the same time, which is the
9 concern whenever we use an immunoregulatory new
10 drug.

11 Now, there are a couple of studies
12 actually that have just recently been published
13 that suggest that, in fact, while they induce
14 tolerance to themselves and maybe tolerance to an
15 alloimmune reaction, they don't seem to have
16 induced tolerance to an exogenous pathogen, for
17 example.

18 Now, again, that is two studies, more work
19 needs to be done, but I think the question is fair,
20 it's an absolutely valid point, and obviously, you
21 have to worry about that when you do your studies
22 and follow up the patients very closely.

23 DR. RAO: We will visit it tomorrow. We
24 should move on.

25 Dr. Taylor.

1 **From Mouse to Man: Is it a Logical Step**
2 **for Cardiac Repair?**

3 DR. TAYLOR: It is a logical step because
4 I think it raises actually the issues that we are
5 just talking about, whether or not data we get from
6 rodents actually can be translated to humans or to
7 larger animals.

8 I also want to say actually, in terms of
9 an apology, I realized when I sat down that one of
10 the reasons my last talk was so disjointed was that
11 the version that was up here was not the version
12 that I had on my computer, so it was a kind of
13 foreign talk to me, so I apologize and hope we will
14 do better this time.

15 From mouse to man, is it a logical step?
16 I am going to start with another comment from
17 Ghandi, which I have had on my office door for the
18 last 10 years about this field, which is, "First,
19 they ignore you, then, they laugh at you, then,
20 they fight you, then you win."

21 I think it raises the point that we are
22 somewhere in the continuum in this field, and it is
23 time for us to start asking the hard questions, so
24 that we can have the fight and then win.

25 As we are talking about moving from mouse

1 to man, I think we have to talk about cell type,
2 and I think I would be remiss if I didn't say that
3 I think cell type depends on what we are trying to
4 do here, and that if we are trying to look at
5 chronic ischemia or hibernation, we are probably
6 looking at cells that are more likely to induce
7 angiogenesis, such as the cells you just heard
8 about - bone marrow mononuclear cells, angioblasts,
9 some subpopulation of stromal cells, growth
10 factors, or maybe even myoblasts plus growth
11 factors, but if you want functional repair and
12 contractile cells, you are either want cells that
13 are contractile, such as skeletal myoblasts or
14 cardiocytes, maybe cardiac stem cells, or you want
15 bone marrow cells that can become contractile
16 cells.

17 But the most important issue probably in
18 this whole field, which is why I think we are going
19 to have to talk about moving from mouse to man is
20 probably this arrow, and the fact that we need both
21 angiogenesis and myogenesis if we are going to have
22 an appropriate outcome, and that, in fact, it may
23 be not just one cell type, but multiple cell types
24 that we end up needing for cardiac repair.

25 Unfortunately, as we are looking at these

1 cells, we don't have the opportunity to do those
2 anywhere except in rodent at the present or in
3 humans, so we are going to have to move from mouse
4 to man at least with many of these cells unless
5 industry provides us with the tools that we need to
6 do the studies in between, because right now we
7 really don't have the capability of moving to a
8 larger animal model.

9 I guess I want to start by asking the
10 question what the appropriate preclinical animal
11 models are and how quickly can we move forward by
12 saying, you know, I presented this slide a minute
13 ago, we had 15 years of preclinical data in rabbit
14 and dog and pig and rat, mouse, and sheep, and we
15 thought myoblast transplantation was safe,
16 effective, and feasible.

17 But we missed a lot of things, and we
18 missed--I apologize, I thought there was another
19 part down here, we are off to a great AV start, but
20 that's okay--so what did we miss? We missed the
21 fact that these cells might be electrically
22 incompatible with the remainder of the myocardium.

23 We missed questions about location of
24 injection, we missed questions about some of the
25 dosing phenomena, we missed a number of things in

1 our early preclinical models despite the fact that
2 we used both large and small animal models.

3 So, the question then really is what do
4 you really need to do and when do you move to
5 clinical studies, and I am going to give my jaded
6 perspective for a minute and say that I think
7 sometimes you move to clinical studies because your
8 institution wants you to and kind of forces you to
9 either because there is a financial incentive or
10 that there is no such things as bad PR, but I would
11 like to say that the appropriate time to move to
12 clinical trials is when the data warrant it and
13 that again we have to underpromise and overdeliver.

14 So, if we believe that every cell injected
15 seems to work, and that thus the heart is easier to
16 repair than we thought, let's take, for example,
17 the possibility that that is really the case, and
18 if that is the case, when do we move to the clinic.

19 I guess I could start by saying we have
20 already moved to the clinic, but that being said,
21 if we look at the clinical data, does it support
22 the fact that the myocardium is easier to repair
23 than we thought.

24 Well, yes, everything works, but none of
25 the clinical cell studies are placebo controlled,

1 and 8 patients or even 53 patients can show you
2 anything, especially when follow-up is short and we
3 aren't considering age, gender, or heart failure
4 status.

5 I want to get back to these, and I will in
6 this talk, that we haven't talked at all about
7 factors like age and gender and how they may be
8 really relevant, and there is a reason that drug
9 trials involve thousands of patients with at least
10 five-year follow-up.

11 Also, most of the clinical cell studies
12 out there were designed as Phase I safety studies
13 as they should have been, yet, many of these claim
14 efficacy despite the fact that they were either
15 revascularization studies or had other
16 co-treatments involved, and I think it really
17 raises questions about what we need to do.

18 So, if we believe that the myocardium is
19 easier to repair than we thought, what does that
20 tell us about moving to clinical studies? I am
21 sorry, those two slides are actually backwards. If
22 every clinical cell works, what does that mean?

23 I think it means that we have no clue how
24 they work, whether they create angiogenesis or
25 myogenesis, unloading of the heart, recruitment of

1 stem cells or whatever.

2 What questions does that raise? It raises
3 questions about patients, which raises the same
4 questions about injury models, preclinical injury
5 models. It raises questions about dose and timing
6 of cells, which then have to interact with the
7 injury models. It raises questions about route of
8 administration or location of the cells, and how we
9 measure the outcome, and those all affect which
10 animal model you can choose.

11 Well, the genie is out of the bottle, as
12 the people at Mayo have said. Clinical trials have
13 started, so what do we do? I think we educate
14 people about what the appropriate situation is.

15 There are a number of my clinical
16 colleagues that I have talked with, and it scares
17 me a little bit, who don't even realize that if you
18 are going to use bone marrow derived cells, that
19 the FDA needs to be involved if you are going to
20 put them in the heart, and I think that is an issue
21 that we really have to address.

22 I think we need to require enough
23 preclinical data, and then I think we need to quit
24 rewarding people for doing it wrong. What do I
25 mean by that? Well, what I mean by that is we have

1 to quit doing science by the Washington Post, as
2 you said earlier, and we have to quit focusing on
3 the fact that this is a multibillion dollar market
4 every year, and focus on the patients instead.

5 So, what do myoblasts tell us about moving
6 forward? Well, as I said, what we knew and what we
7 missed is that there are electrical events, the
8 route of administration wasn't clear, location and
9 timing wasn't clear, culture medium, we thought we
10 knew, but it didn't turn out to always be the case.

11 Autologous serum has been reported to be
12 safer than non-autologous serum. Different
13 vehicles have been used to deliver the cells and
14 been associated with different outcomes, and we
15 don't know anything about biodistribution, and we
16 really didn't look.

17 So, what issues are there? The issues are
18 safety and efficacy obviously, and as we move up
19 this continuum, we can address these issues
20 differently. Safety obviously involves cells,
21 delivery, dose, and we have to do that in relevant
22 models.

23 Efficacy involves the right model, acute
24 MI potentially, looking at various cell types in a
25 side-by-side way. We have to begin to

1 differentiate between diastolic heart failure. I
2 think the preclinical data that exists so far
3 suggests that everything works to begin to improve
4 remodeling and diastolic effects including cells
5 that don't work in systolic heart failure.

6 Systolic heart failure in our lab at
7 least, we know fibroblasts don't work, stromal
8 works less well than some other cell types, and I
9 think the issues are open questions that have to be
10 addressed.

11 If you then believe that the issues effect
12 are impact cells, delivery, and effect, how does
13 that translate to the animal models? Well, in
14 small animals, I think we can ask questions about
15 the cells. We can ask questions about deriving the
16 cells, we can ask questions about markers for the
17 cells, we can ask in vitro questions.

18 I think as we begin to move towards
19 delivery and effect, we have to move up the animal
20 continuum. Delivery, we really can't do in small
21 animal models, relevant delivery, we can't do.
22 Effect, again, I don't think we can do in small
23 animal models, we have to do it in larger animal
24 models.

25 In addition, when we start looking at the

1 mechanism of effect, to some degree, if we are
2 looking at angiogenesis, we can measure capillary
3 density in small animals, but it is very difficult
4 to get adequate measures of relevant vessels or
5 perfused vessels in small animals, and I think we
6 have to move up the continuum.

7 In terms of myogenesis, we can get some
8 data about ex vivo in isolated heart preparations
9 and whether or not there are gross improvements in
10 contractility. We can begin to measure wall
11 thickness, ejection fraction, and you can certainly
12 do exercise studies in small animals and move up
13 the continuum, but in terms of electrical
14 compatibility and mechanical compatibility, you are
15 never going to get it done in a rodent model, you
16 have to do it in large animals.

17 So, I think it is only relevant to work
18 with small animals when you have no choice about
19 the cells, but as you start to measure the
20 important parameters of physiology, you have to
21 move up the model.

22 So, what are the possible effects of these
23 cells? I think they can cause unloading of the
24 heart or reverse remodeling simply by altering the
25 mechanical properties of the scar. They could

1 possibly engraft and become muscle and contribute
2 to contraction.

3 They can obviously potentially form
4 vessels or secrete factors that recruit cells that
5 improve blood flow. You know, if you think about a
6 lot of the data we have seen so far, it is possible
7 that the cells we are putting in are doing nothing
8 but recruiting bone marrow derived cells and
9 actually ramping up endogenous repair.

10 It is possible that the cells have a
11 paracrine effect and either change the cytokine
12 supply to the scar or the remainder of the heart,
13 or recruit other stem or progenitor cells.

14 Another possibility that is not really
15 talked about, and again you can do these studies in
16 small animal models better than large animal
17 models, is fusion with existing cardiocytes. It is
18 possible that the cells we inject actually fuse
19 with cardiocytes that are hibernating and save
20 those cells, and thereby contribute to contraction.

21 If we are going to talk about the
22 different possibilities, cell delivery and effect,
23 and which animal models to use, I want to briefly
24 say that we have to define our populations of
25 cells, and that is easier in some animal models

1 than others.

2 Again, when you start looking at
3 myoblasts, fibroblasts, and other cells, being able
4 to do that in rodents is much easier than being
5 able to do that in larger animals. I think I have
6 beat that horse, so I won't keep saying it, but
7 cells, I think are studies we can do in rodents.

8 You have seen this slide before where we
9 begin to talk about how the cells might work and
10 whether or not we have an effect on reverse
11 remodeling or growing new cells, and whether or not
12 we think we can do those studies in large animals
13 or small animals. I would submit that we are going
14 to have to do those in large animals because we
15 can't really measure remodeling and reverse
16 remodeling in all of the new cells in the small
17 animal models.

18 Now, we took the approach that if we are
19 going to start moving back up this cascade of
20 events, that might, in fact, take a combination of
21 angiogenesis and myogenesis, and it might take
22 cells plus genes or multiple combinations of cells.

23 Initially, we looked at cells plus genes
24 by virally infecting myoblasts with VEGF
25 adenovirus, and we measured the effect of those

1 cells on capillary density in peripheral skeletal
2 muscle and a hind limb ischemia model, and these
3 are old data, so I am not going to spend much time
4 on them other than to say that we found that
5 myoblasts had a relatively significant effect in
6 terms of increasing capillary density greater than
7 VEGF virus alone or MT virus, but when we
8 overexpress VEGF in the myoblasts, we got back up
9 to about 75 percent of the control without the side
10 effects that we saw with VEGF virus alone,
11 angiomas.

12 So, we decided to move into the
13 myocardium, and these are data done with cells that
14 overexpress another angiogenic factor where we
15 looked at MRI, and I apologize, this is percent
16 change and ejection fraction, and our historical
17 controls, and these really are historical, they are
18 not done at the same time, the active study was
19 myoblasts versus angiogenic myoblasts versus
20 historical shams.

21 We found that we increased capillary
22 density significantly in these animals. These were
23 studies done in mice. We couldn't do these studies
24 actually in rabbit because rabbit cells don't have
25 the cell surface receptors to actually take up or

1 be transfected with some of the viruses that we
2 were trying to use, so that becomes a problem.

3 But we believe that angiogenesis was more
4 important than to promote cell survival or
5 proliferation, and we also looked at Victor Dzaus'
6 data, I wanted to show briefly. You saw it when
7 Silviu presented it, that if you overexpress a
8 survival factor AKT in bone marrow stromal cells
9 and transplanted them into the heart, survival
10 increased.

11 So, we know angiogenesis increases
12 function, increasing survival increases function,
13 so if angiogenesis helps and increasing survival
14 helps, why use a gene, why not use a mixture of
15 cells.

16 We based that on data that we have gotten
17 now in our hands where we were able to show that if
18 we gave cells from young apoE animals, gave bone
19 marrow cells from young apoE animals to apoE
20 animals that were fed on a high fat diet, normally
21 develop pretty bad atherosclerosis, that we could
22 actually prevent this atherosclerosis.

23 We took, we actually have now begun to
24 take these cells and deliver them in combination
25 with myoblasts to look at effects on function. I

1 don't have a slide, but I can tell you that we are
2 beginning to see better effects on function with a
3 combination of cells and with individual cells
4 alone. We couldn't do these studies except in
5 mice, so there are times when mouse cells are
6 relevant.

7 We did another study where we began to
8 look at bone marrow mononuclear cells. These are
9 data that were just presented at the ACC last week,
10 where we actually infused mononuclear cells into
11 the circulation of animals where we created a
12 vascular injury.

13 We are able to show in our sham-treated
14 animals or animals treated with other cells that
15 you had neointimal proliferation, that we were able
16 to prevent with bone marrow mononuclear cells.

17 So, again, we have now started the
18 approach of delivering these cells in combination
19 with myoblasts to see if we can have a more
20 dramatic effect on not only myocardial repair, but
21 on vascular repair, as well.

22 So, what are the other factors that are
23 likely involved that are going to affect the model
24 we use? The timing after injury, whether or not we
25 can really grow old animals that replicate the six

1 to seven years that are needed, the type of injury,
2 it is not probably going to be feasible to do
3 dilated cardiomyopathy studies in mice and inject
4 significant numbers of animals, plus when we start
5 trying to treat ischemic and chronic and acute
6 animals, mice, the issue we have to consider is we
7 have got a 1 mm infarct, we got a 1 mm infarct, and
8 the cells are microns in diameter.

9 Those cells are the same size essentially
10 in rat, rabbit, pig, human, they are not much
11 different in size, so the whole geometry of putting
12 those cells in and getting an improvement is going
13 to be much different than you are going to see in
14 larger animal models.

15 There are two issues that really don't
16 affect what animal you choose, but they are not
17 being discussed at all, and those are gender and
18 age.

19 Most of the preclinical data that we have
20 published are in female rabbits, so we went back
21 and started doing studies in male rabbits, and what
22 we found is that male myoblasts die under
23 conditions where female cells survive, and that is
24 true both in vitro and in vivo, and that really
25 surprised us, and we had to go back and begin to

1 reevaluate what we think is going on here, and we
2 are just beginning to follow up on that, but I
3 think it is an interesting point that we are going
4 to have to consider going forward.

5 In terms of age, all of the studies we
6 have done have been in old animals, but that is
7 rarely the case. It is rarely the case that old
8 mice are used in these studies, that old pigs are
9 used in these studies. In fact, typically, people
10 use young pigs because they want to keep them
11 small, and don't want them to grow significantly
12 over the duration of the studies.

13 I think the numbers and kinds of cells
14 that you can obtain are going to be very different.
15 Other than cells, we have to consider the culture
16 conditions, and we can't ignore the fact that
17 autologous serum has been touted as one reason that
18 there is a Spanish study where there aren't any
19 abnormal electrical events even though there have
20 been in all the other human studies.

21 So, the injury models currently that we
22 are using don't match the patients, and I think we
23 are going to have to really think about that going
24 forward. We don't have heart failure models, we
25 just don't. Nobody is using heart failure models,

1 but every patient is a heart failure patient.

2 As I said before, I apologize, in terms of
3 whether or not we can use small animals, I think
4 for an isolated heart prep, mouse and rat are fine,
5 but as you are going to start doing physiologically
6 relevant studies, you have to move up the animal
7 continuum, but there are limitations there, as
8 well, so we have actually chosen, and I think more
9 and more people are choosing, to use sonomicrometry
10 or cine MRI. Fortunately, you can use that for all
11 of the animal models that have been proposed so
12 far.

13 I am not going to show those data.

14 I actually want to end with two slides
15 that show something that I have tried to gather
16 from the clinical data that exists, but I think
17 they make a point.

18 We are talking about different animal
19 models, but we are also talking about different
20 cell types, and people are constantly saying how do
21 myoblasts and bone marrow derived stem or
22 progenitor cells compare.

23 The point I want to make is they don't.
24 If you look at the studies that exist so far--and
25 these are clinical studies, not preclinical

1 studies--if you look at myoblasts, the dose varies
2 widely.

3 If you look at bone marrow derived stem or
4 progenitor cells, the dose is significantly less
5 and the physiologically relevant cells are a very
6 small subset of those, as you just heard.

7 Moreover, the patients differ greatly.
8 With myoblasts, the patients are from greater than
9 one month to end-stage heart failure, but with the
10 progenitor cells, the patients are 3 to 9 days
11 post-MI or have refractory angina.

12 The delivery methods differ significantly.
13 They are intracardiac for myoblasts, surgically or
14 percutaneously. They are intracoronary for the
15 bone marrow derived cells. Yet, people are trying
16 to compare the outcomes from these, and I think
17 that is true, not just clinically, but
18 preclinically, as well, as people are trying to
19 make the argument for their cell type.

20 So, until we are doing side-by-side
21 studies with the same cell type and the same animal
22 model, I really think we can't draw conclusions
23 about what is going on.

24 So, the questions that I think are really
25 out there are: Is there a best cell? I don't

1 think that you can immunesuppressed mouse and use
2 human cells directly, or do some equivalent
3 otherwise, and that that is reasonable.

4 Now, is that true? That is important
5 because this is going to be really important in the
6 future. I just wanted to get your feel for that.

7 DR. TAYLOR: I think that is fairly
8 accurate, and I think the problem has been that we
9 haven't had the opportunity to really measure the
10 effects of these cells in mice very well until the
11 last couple of years.

12 Until the last probably year and a half to
13 two years, you couldn't do MRI in a mouse reliably.
14 You certainly couldn't get a good enough image to
15 measure regional versus global function.

16 Sonomicrometry was hard to do in a mouse.
17 The pressure volume catheters weren't quite up to
18 snuff, and so the ability to make those
19 measurements weren't true. Moreover, most of us
20 believed early on at least that autologous cells
21 were more likely to be clinically accepted, and
22 patients certainly liked the concept of getting
23 their own cells better than the concept of getting
24 somebody else's.

25 So, I think we chose those cells because

1 they made sense to us clinically, and we were
2 technically limited by our ability to make the
3 measurements with other cells.

4 In terms of using human cells in
5 immunocompromised rodents, I think we can do that
6 now, but whether or not--I think it is an open
7 question about whether or not we are going to get
8 the best functional outcomes.

9 DR. ITESCU: Can I just maybe add a little
10 bit to that? You know, we use the
11 immunocompromised rodents pretty well, but I think
12 what we are learning as we move forward is that
13 even the so-called immunocompromised rodents are
14 not fully immunocompromised, and you have really
15 got to start understanding which kind of lineages
16 in their immune system remain active, and what
17 impact does that have on the cells you are putting
18 in.

19 We are now at the point where we are
20 adding cocktails to try to remove even the residual
21 immune function in these kind of animals.

22 On the other hand, I think that if you are
23 trying to use a cocktail of immunosuppressive
24 agents in a normal animal, I think then you are
25 going to run into the problems of what effects all

1 these drugs have on the cells that we are putting
2 in, are they inhibiting differentiation, are they
3 inhibiting function. There is a whole range of
4 issues that I don't think we want to get into.

5 DR. RAO: Absolutely, that is important.

6 DR. HARLAN: I will make a comment on
7 that, and then I had a question. I agree, in the
8 islet transplant field that I know best, for
9 instance, in order to correct a mouse with human
10 islets, you need about 1,500 islets, whereas, you
11 need about 400 rodent islets, and presumably it is
12 because there are species differences in the
13 factors that support the growth of those cells.

14 Then, I agree and appreciate your talk,
15 but I would extend it in two ways. I think a theme
16 of your talk was that the large animal models in
17 general tend to be better than rodents in
18 predicting things, and I think that is true, but I
19 wish to point out that all models are models.

20 In our transplant studies, we did things
21 in primates, testing various immunotherapies in
22 different systems, and it worked beautifully and
23 failed miserably in the clinic, so even large
24 animal models, even using nonhuman primates, are
25 models, and they have variables that are hard to

1 predict.

2 DR. TAYLOR: I would add one comment to
3 that, which is all of us are looking at progenitor
4 cells and the effects of these progenitor cells on
5 cardiovascular function, and we know that the
6 animals we are treating are relatively acutely ill
7 even if we have given them heart failure, but
8 patients are very ill.

9 They have had things impacting their
10 progenitor cells for years, that we know nothing
11 about, and it is not just aging, it's drugs, it's
12 other things, so the cells we get out and the cells
13 we put back in are going to be very different than
14 cells we get out and put back in, in animals that
15 we have only made sick for a month or a year.

16 DR. HARLAN: And because we don't know all
17 the factors that made people sick, generating that
18 perfect animal model may be an impossible ideal,
19 that's the only point, I think we are agreeing.

20 The second thing is, though, that you
21 didn't mention is in any model, and when you go to
22 the clinic, I think it is important and we started
23 the day that way, identifying the patients for whom
24 existing therapies just have failed, so that is one
25 way where you can go. When you have got nothing

1 else to offer, and you have the patients in a bad
2 position, then, I think it passes the threshold.

3 DR. TAYLOR: But those aren't the models
4 that people are using.

5 DR. HARLAN: Well, we will talk about that
6 tomorrow, I agree.

7 Then, the third point, I say this in jest,
8 and I don't buy it, but I like your Ghandi quote,
9 and I will cite another philosopher, W.C. Fields,
10 who said, "If at first you don't succeed, try
11 again; if it fails again, you might as well give
12 up, there is no sense being a damn fool about it."

13 I don't agree with that, it's just the
14 other take.

15 DR. RAO: Dr. Epstein.

16 DR. EPSTEIN: I just wanted to make a
17 point, which I think is important because I sense
18 that one could very easily come to the conclusion
19 without thinking it through further that a large
20 animal model is the really only valid preclinical
21 model.

22 I think Doris sort of made this point, but
23 it might have been lost. It depends on what you
24 are looking at. For example, if are interested in
25 myogenesis, I would agree, ultimately, you have to

1 go to the large animal model because the small
2 rodents, mice, become difficult to draw conclusions
3 about, but if you are looking at angiogenesis, the
4 mouse model is much better, I think, than a large
5 animal model.

6 We have excellent ways of measuring
7 perfusion now, superb ways of measuring perfusion,
8 and what comes up in our laboratory a lot is if we
9 give an intervention that has an effect, and then
10 we want to see whether we could further enhance
11 that, like stromal cells and then genetic
12 engineering of stromal cells, in a pig model of
13 myocardial ischemia, it starts out with 85 percent
14 of normal.

15 If you get it up to 95 percent of normal
16 with your first intervention, you have no room to
17 look at the next step, whereas, with mice, that
18 could be modulated much more easily, and we are
19 able to demonstrate a primary effect and then an
20 additional effect on it.

21 So, I think, you know, I wouldn't like the
22 FDA to go away with the conclusion that you have to
23 do an efficacy model in a pig or a dog to go to the
24 clinic. Again, it depends what you are looking at.

25 I will make a point about the

1 immunosuppressed animals. Angiogenesis, an
2 intrinsic component of the angiogenic process is
3 inflammation, so if you put in cells in a model
4 that is immunocompromised, it is a laboratory model
5 where you have taken away one of the normal
6 modulating influences, so you have to be very
7 careful using an immunocompromised model at least
8 to look at a process in which inflammation is a
9 very critical component.

10 DR. RAO: A point well taken.

11 Bruce.

12 DR. BLAZAR: Doris, I wanted to ask you,
13 since you have reviewed all of the preclinical
14 data, and you had the tenet that the data should
15 drive the studies, what of the preclinical data is
16 sufficiently compelling that this would have driven
17 the studies to go forward, are the models done so
18 far incomplete to be able to decide on appropriate
19 studies?

20 I know we are going to talk about that
21 tomorrow, but since you have reviewed in two talks
22 this issue--

23 DR. TAYLOR: I think there is certainly a
24 lot more preclinical data from myoblasts than there
25 are for some of these bone marrow derived

1 progenitor cells. I think that is in part because
2 we have known about myoblasts since 1961 and we
3 have know about these bone marrow derived cells for
4 the last five or six years, so it is not
5 surprising.

6 I think myoblasts have been used in
7 peripheral models, they have been used in cardiac
8 models of injury, they have been used in large
9 animals, they have been used in small animals, and
10 there is a confluence of data, all of which say if
11 you give these cells, the animals get better.

12 I think most of the cardiac models were
13 relatively acutely after injury, within a month
14 after injury, but nonetheless, they said if you use
15 these cells, the animals get better.

16 I think what is starting to happen in the
17 bone marrow mononuclear cell field and in the bone
18 marrow stromal cell field, and in even the MAPC
19 field, is that we are seeing isolated studies with
20 cells that are called a given thing, but aren't
21 necessarily defined the same way.

22 The way one group defines an EPC, and the
23 way another group defines an EPC may be very
24 different, so there is not necessarily a confluence
25 of data yet, and you can't necessarily even compare

1 some of the preclinical studies because the cells
2 are very different, or we don't know what the
3 criteria are for those cells.

4 So, I think that what has to happen is we
5 have to know what the definition of the cells are,
6 and that is only now becoming true for endothelial
7 progenitor cells.

8 DR. BLAZAR: So, a corollary of this
9 question is, is it pretty well established by those
10 of you in the field, what the bar is that you have
11 to get over.

12 It seems the way you have described this,
13 it's a systolic function bar that is--no.

14 DR. TAYLOR: I think it depends on what
15 you believe the mechanism of action is for the
16 cells, and I think again we don't know that, but it
17 looks like what is coming about generally is that
18 for bone marrow derived cells, the goal may be
19 angiogenesis, and for muscle-derived cells, the
20 goal may be myogenesis, and those have different
21 criteria and different preclinical studies that I
22 think you ought to do.

23 DR. BLAZAR: But that presumes for
24 clinical applications that you know exactly the
25 pathophysiology of the lesion you are trying to

1 treat.

2 DR. TAYLOR: I think it begins to argue
3 for timing after injury.

4 DR. BLAZAR: Okay.

5 DR. RAO: If anybody has a really brief
6 comment, otherwise, we will take Dr. Mulé and then
7 Dr. Schneider.

8 Do you have a quick comment?

9 DR. MENASCHE: Go ahead. I just had a
10 comment, but I can wait.

11 DR. MULE: I would just like to follow up
12 on Bruce's questions. This has been an incredibly
13 frustrating afternoon for me from the standpoint
14 that I think the presentations have nicely pointed
15 out the strengths and weaknesses of small animal
16 models versus large animal models.

17 Overlaid on that is the fact that none of
18 these models really are good models for the actual
19 disease state in humans. What I was hoping to hear
20 this afternoon was taking the strengths and
21 weaknesses of each of the models and maybe laying
22 out, hopefully tomorrow, what an ideal, if we could
23 go that way, what the ideal recommendations would
24 be for the field to help the clinicians, such as
25 Philippe, in conducting the next generation trials.

1 That is the real concern to me, is that at
2 the end of the day, the horse is out of the gate,
3 and Philippe and others are going to be conducting
4 these trials rather rapidly, and I think we need to
5 help them to establish some guidelines as to
6 whether or not we should abandon animal models,
7 move on to the clinic, and design the clinical
8 trials in such a way that we get the best
9 scientific data available and the best clinical
10 situations that can be defined, and define the
11 endpoints of the clinical trial with the
12 appropriate placebo.

13 So, I just wanted to lay out that my
14 deepest concern is that years from now, we will be
15 using the same models that you have very nicely
16 summarized, small animals, large animals, fully
17 aware that these limitations continue to exist, and
18 whether or not those data that are generated over
19 the next several years will help Philippe and
20 others to characterize how we should go forward in
21 conducting these clinical trials. It is just some
22 comments I had.

23 DR. RAO: I think you are echoing what the
24 FDA is feeling, I guess, right now.

25 Dr. Schneider.

1 DR. SCHNEIDER: Doris, you know that I
2 share your general cautionary note and share many
3 of the specifics, but let me disagree with two
4 specific points that you made about the lack of
5 utility or impediments to the use of the mouse.

6 One of them that you singled out is the
7 impediment to using the mouse as a model for
8 studying the electrical connectivity of donor cells
9 to the host environment, which has become an issue
10 of prominence because of the issue of ventricular
11 tachycardia.

12 I think Loren Field has shown very
13 convincingly you can use 2-photon microscopy to
14 study the propagation of action potentials on the
15 epicardial surface, you can study the propagation
16 of calcium transients in the mouse heart with
17 grafted cells, so that is a non-issue as of this
18 year.

19 DR. TAYLOR: I completely disagree, but we
20 can have that discussion--

21 DR. SCHNEIDER: Perhaps so, but
22 Circulation doesn't, and there is adequate
23 peer-reviewed data out there that shows that it is
24 technically feasible with some esoteric
25 instrumentation.

1 The same thing I think is true in terms of
2 the issue of mechanics. As you say yourself, cine
3 MRI levels the playing field across all of these
4 species, and at a few centers, cine MRI is even
5 being combined with spam, so that people can do
6 finite element analysis in the small mammals.

7 So, to me, and I share Dr. Mulé's
8 frustration, I tend to focus myself on a different
9 issue, and that is not whether the small mammal is
10 adequately achievable for the endpoints that we
11 want to study, but is the small mammal adequately
12 predictive of the pathophysiology we want to study.

13 There, the issues include the fact that
14 neither the small nor the large mammal is done in
15 an aged animal against a background of diffuse
16 atherosclerotic disease.

17 DR. TAYLOR: I want to just comment on
18 that looking at electrical connectivity is not the
19 same thing as looking at ventricular tachycardia or
20 arrhythmias, and that it is going to be difficult
21 to do those studies when the heart is beating at
22 600 beats per minute, and that is I think one of
23 the main issues, as well as the fact that most
24 electrophysiologists tell you that the larger
25 geometry is much more conducive, of the human

1 heart, is much more conducive to electrical
2 abnormalities than the smaller geometry of a rodent
3 heart, so you are not going to see the same
4 properties even if you have an injury there.

5 DR. SCHNEIDER: Again, it becomes an issue
6 of whether it is a predictive biology, not an issue
7 of whether it is technically achievable.

8 DR. TAYLOR: Sure.

9 DR. MENASCHE: To some extent, Dr. Mulé
10 has anticipated my comment. What I wanted to
11 emphasize from a clinical standpoint is that
12 regardless of the animal model we are going to
13 use--and I have advocated large animal models, as
14 well--there is not a single animal preparation
15 which can realistically model the very complex
16 situation of the patients we are dealing with.

17 There is not a single model which can
18 reproduce the situation of a 70-year-old person
19 with Class III heart failure, two previous bypass,
20 seven angiopathies, collaterals, and so on, so at
21 what point we really have to be prepared to move
22 forward and to go across the gap.

23 Now, considering skeletal myoblasts, we
24 have clear evidence regardless of the limitations
25 of the models that the technique can be implemented

1 easily in patients.

2 Number two, we have reasonable evidence
3 that it is safe provided some precautions are
4 taken. We don't fully understand the mechanisms,
5 but many interventions are currently using patients
6 without an extensive understanding of the
7 mechanisms. I think it took years before people
8 understood how aspirin was working.

9 So, as we are continuing to try to
10 understand the mechanisms, I think that given the
11 huge population we have to deal with, and the
12 number of patients without any option, it is
13 critically important and timely appropriate now to
14 move to the efficacy studies, and we are certainly
15 looking for advice and help for designing the study
16 in such a way that they can draw meaningful
17 conclusions and answers to the two fundamental
18 questions, does it improve function in the areas
19 where cells are put, does this improvement have an
20 impact on the clinical outcomes.

21 DR. TAYLOR: Philippe, the one comment I
22 would make to that is I think you are absolutely
23 right, we don't have good animal models, I don't
24 anyone in the room will argue that.

25 I think the thing I would add to what you

1 said is you mentioned no-option patients, but those
2 aren't the patients that are involved in some of
3 the studies that are going forward, and I think
4 those are the patients who should be involved in
5 the studies going forward, and I hope that is one
6 of the things that will emerge from this, that it
7 may be responsible to go forward in those groups of
8 patients, it may not be responsible to go forward
9 in some other groups without more preclinical data.

10 DR. RAO: I would like to just remind
11 everyone that part of the discussion is not
12 specific to one particular cell type, but is in
13 general, and maybe the conclusion may be that it is
14 just simply we have to have differing criteria
15 depending on the cell type or the model, or
16 whatever, when we discuss it, at least its options,
17 and that may be as far as one can go.

18 So, keep that thought in mind, that
19 nothing that you hear necessarily means this is
20 absolute or anything in that fashion.

21 DR. ITESCU: Along those lines, I think
22 the point you are making is exactly right. Some
23 cell products are based on characterization of the
24 surface phenotype, based on many years of
25 immunoselection with markers only present in humans

1 and not present in any other species.

2 Some cell types have been characterized by
3 very different biologists, who have used functional
4 outcomes and functional criteria. So, you are
5 talking about apples and oranges really, so you
6 can't have the same clinical models or preclinical
7 models for those, so you have got to define
8 appropriate models for each of those cell types,
9 because they have been characterized from totally
10 different perspectives.

11 DR. RAO: I thought we might use that to
12 start the conversation tomorrow, yes.

13 Everybody looks like they need a break, so
14 we will take a short 10-minute break.

15 [Break.]

16 DR. RAO: Welcome back, everybody.

17 We are going to change topics a little bit
18 and talk about devices now. It is finally going to
19 be the turn of Dr. Jensen to talk on cardiac
20 catheters.

21 **Cardiac Catheters for Delivery of Cell Suspensions**

22 DR. JENSEN: I am going to go ahead and
23 get started because we are running a little bit
24 slow here, and I am also going to try and see if I
25 can catch up a little bit in terms of time.

1 My name is Nick Jensen. I work in the
2 Division of Cardiovascular Devices in FDA's Center
3 for Devices and Radiological Health, and I have
4 been asked to briefly introduce the cardiac
5 catheters that have been used for delivery of cell
6 therapies intended to treat cardiac disease.

7 My presentation today will be limited to
8 potential questions that relate to the interaction
9 between cell therapy suspensions and the cardiac
10 catheters used to deliver these therapies.

11 Further, the questions that I list today
12 are among the standard questions that we currently
13 suggest to all sponsors either of cell therapy INDs
14 or for these investigational catheters.

15 As examples, we will discuss two types of
16 cardiac catheters that have been used to deliver
17 cell therapies to the heart.

18 First, is infusion of cells into a
19 coronary artery during a balloon occlusion of the
20 artery. Second, is needle-tipped injection
21 catheters designed to permit percutaneous
22 transendocardial injection into the myocardium.

23 Again, these simply represent the methods
24 and catheter types that have been most commonly
25 used to date, and they are again meant to provide

1 useful examples for discussion. I think it is hard
2 to predict what types of devices, what delivery
3 methods may be used in the future.

4 The first method, infusion of cells into a
5 coronary artery offers potential advantages that
6 include simplicity and ease of use. As has been
7 mentioned earlier today, this method may not be
8 suitable for all types of cell suspensions.
9 Potential limitations include the potential
10 requirement that infused cells be able to migrate
11 from the vasculature into the myocardium, and a
12 potentially increased risk of embolization or
13 microembolization if some types of cells are
14 infused using this method.

15 Published case series using this method
16 have demonstrated preliminary clinical feasibility
17 both when used within hours to days following acute
18 myocardial infarction, and this is often following
19 emergency stent placement at the site of thrombotic
20 occlusion.

21 It has also been used in patients who
22 suffer from chronic myocardial infarction and
23 ischemia.

24 In the studies reported to date, balloon
25 catheters have typically been used to temporarily

1 occlude the coronary artery proximal to the
2 treatment region. The desired cell suspension is
3 then infused into the artery distal to the inflated
4 balloon either using a lumen within the balloon
5 catheter, typically, a guidewire lumen, or using a
6 separate infusion catheter placed lateral to the
7 balloon catheter, such that it lies between the
8 inflated balloon and the artery wall.

9 Use of balloon occlusion permits infusion
10 of cells at pressures that exceed coronary artery
11 pressure, and it has been hypothesized that
12 increased infusion pressures may provide benefits
13 that include increased dispersion of cells within
14 the vasculature, increased adhesion of cells to the
15 vascular endothelium, and increased migration of
16 cells across the vascular endothelium and into the
17 myocardium.

18 This familiar illustration from a
19 publication by Strauer, et al., illustrates the use
20 of a coronary artery balloon catheter to infuse
21 cells into a region of acute myocardial infarction.

22 In this illustration, the balloon catheter
23 has been inserted into a large artery, directed
24 retrograde through the aorta, then, into a coronary
25 artery, and then directed distal within that artery

1 to the site of acute thrombosis.

2 Following inflation of the balloon to
3 obstruct the artery, a syringe is used to infuse
4 cell suspension through the guidewire lumen of this
5 catheter and into the coronary artery distal to the
6 balloon.

7 Finally, although it is not obvious in
8 this illustration, in this clinical study, the
9 balloon was inflated at the site of acute
10 thrombosis, and more specifically, at a site where
11 a coronary artery stent was placed as an emergency
12 treatment for the myocardial infarction, and that
13 is potentially important because balloon deployment
14 within a coronary artery stent can largely protect
15 the artery from one potential concern that we will
16 discuss briefly today, and that is the potential
17 for damage to the artery caused by balloon
18 inflation and subsequent stretching of the artery.

19 Studies of this cell delivery method
20 reported to date have commonly used balloon
21 angioplasty catheters to occlude the artery. The
22 catheters are originally designed to stretch the
23 lumen of an occluded fibrotic atherosclerotic
24 coronary artery to a specific diameter that has
25 been selected in advance by the treating physician.

1 They are also used to expand coronary
2 artery stents and again to prespecify diameter.
3 Although these catheters were not originally
4 designed for occlusion of an artery, they can be
5 used for that purpose, followed by cell suspension
6 and, additionally, as noted, if these catheters
7 have a central guidewire lumen, that lumen can then
8 potentially be used to infuse the cell suspension.

9 Potential considerations when you are
10 using an angioplasty catheter for this purpose
11 include the following. First, contact between the
12 catheter lumen materials and the cell suspension
13 can potentially adversely affect either the
14 viability or the functionality of the infused
15 cells.

16 Additionally, those cells may contact
17 various lubricants that are commonly applied to the
18 guidewire lumens during manufacturing for the
19 purpose of facilitating guidewire passage.

20 As a note, we are not aware yet of
21 published reports that have examined whether
22 catheter lumen materials may adversely affect
23 viability or functionality of cells, however, this
24 published animal study cited in the slide evaluated
25 cardiac delivery of a transgene by an adenovirus

1 vector.

2 The investigators found that some lumen
3 materials tested for use in a prototype needle
4 injection catheter, the second type of catheter we
5 will discuss today, adversely affected both the
6 viral activity and viral transduction.

7 They also found that a change in lumen
8 materials was sufficient to completely eliminate
9 these adverse side effects.

10 Additional considerations. Number two. A
11 second consideration when balloon angioplasty
12 catheters are used for this purpose, infusion of
13 cells, is that the balloon was originally designed
14 to stretch a coronary artery, in other words, to
15 controllably damage the artery, and for this use,
16 it must instead be used to occlude that artery,
17 hopefully, without damaging it. This is
18 potentially important.

19 The degree of artery wall stretch that is
20 typically created during balloon angioplasty will
21 also subsequently induce arterial stenosis due to
22 multiple mechanisms. We think it is therefore
23 essential that safe methods for balloon inflation
24 be developed and demonstrated if you want to use an
25 angioplasty catheter for this purpose.

1 Importantly, development of safe methods
2 for this new use could be complicated because
3 balloon angioplasty catheters have widely varying
4 pressure diameter relationships, in other words,
5 compliance can vary greatly between different
6 catheter models, so therefore the methods that are
7 developed for one catheter may not be applicable to
8 another one.

9 A third potential concern related to this
10 is the potential that concentrated cell suspensions
11 may clog the catheter lumen.

12 A fourth concern is that the lumens and
13 connectors of angioplasty catheters are primarily
14 designed for passage of a guidewire. They may not
15 have been tested for the ability to sustain high
16 pressures that can occur during infusion of
17 concentrated cell suspensions.

18 The second type of catheter we will
19 discuss today are needle-tipped injection
20 catheters. This method of cell delivery also
21 offers potential advantages. Notably, first, the
22 ability to directly inject cells into desired
23 myocardial locations. Second, the potential for
24 use with all types of cells.

25 The investigational cell delivery systems

1 developed for this therapy consists either of a
2 catheter or of a system comprised of a catheter
3 plus delivery sheaths, that include a retractable
4 distal injection needle. None are approved
5 currently for sale in the U.S., however when we
6 consider these new devices, it may be useful to
7 note that some design requirements, and thus,
8 potentially catheter characteristics, may be
9 similar to requirements for other currently
10 marketed cardiac catheters, potentially including
11 both cardiac electrophysiology ablation catheters
12 and endocardial biopsy catheters.

13 More specifically, all three types of
14 catheters or catheters plus sheaths would generally
15 require a steerable or deflectable tip in order to
16 facilitate direction of the catheter tip to various
17 endocardial locations, and all three types of
18 catheters must be sufficiently stiff to permit the
19 user to maintain stable contact between the
20 catheter tip and the moving endocardial surface of
21 the ventricle.

22 These illustrations are from a publication
23 by Dr. Perin's group, and they illustrate one,
24 investigational needle-tipped injecting catheter.
25 The photo on the left illustrates a complete