

1 catheter including controls on the catheter handles
2 that deflect the tip and that extend and retract
3 the needle.

4 The needle would normally be retracted
5 into the catheter except when briefly extended for
6 each injection that is made during a therapy
7 session, and the syringe, potentially loaded with
8 therapy suspension, is attached to an infusion
9 port, also on the catheter handle.

10 The drawing on the right illustrates
11 delivery of the catheter retrograde through the
12 aortic arch, then, through the mitral valve, and
13 into the left ventricular cavity. In this drawing,
14 as you see, the catheter tip is deflected and the
15 catheter is being used to make multiple injections
16 from the endocardial surface of the ventricle.

17 There are also potential concerns that
18 attach to the use of needle injection catheters for
19 delivery of cell therapies for cardiac disease.

20 First, we believe this type of catheter
21 may potentially be particularly prone to clogging
22 by cell suspensions. Factors that might contribute
23 to this include the following:

24 The potential desirability of using very
25 small injection volumes plus highly concentrated

1 cell suspensions, the potential desirability of a
2 small diameter injection needle that will also,
3 thus, have a small diameter lumen, and the fact
4 that injections may be made at more than 20
5 locations during a treatment session, thus,
6 increasing the potential for clogging due to the
7 repeat injections.

8 A second concern. As noted previously, it
9 may be important to ask whether cell viability or
10 functionality could be adversely affected by
11 contact with catheter lumen materials. In this
12 type of catheter particularly, we think it may also
13 be important to ask whether shear forces produced
14 during infusion of cells through a long,
15 potentially a very small diameter injection lumen
16 might also adversely affect the cells.

17 Third, it may be important to consider the
18 safety, and this was brought up in one earlier
19 session, of whether the safety of the cell
20 suspension is accidentally delivered in the
21 systemic circulation.

22 Of note, with this type of catheter, it
23 may be very difficult to maintain continuous
24 contact between the catheter tip and the moving
25 endocardial surface of the beating heart, and when

1 contact is broken, therefore, you could have
2 injection into the ventricular cavity and into the
3 circulation.

4 There is a note, for folks in the
5 audience, I don't know if you can see the
6 reference, but if I understand correctly, we will
7 have cells on the web site, is that correct? Okay.
8 Otherwise, I was going to read the reference to
9 you.

10 One note, if people want to look into
11 this, this has been studied for cardiac ablation
12 catheterization electrophysiology. I have noted
13 one good study up here where they used intracardiac
14 ultrasound imaging catheters to evaluate the
15 difficulty of maintaining continuous contact when
16 they thought contact was perfect.

17 A fourth consideration. Should we assume
18 that it is important to control or limit the
19 maximum needle extension? Factors to consider
20 might include the following: Is it critical to
21 avoid injection or laceration of the organs that
22 surround the heart?

23 It may also be important to consider
24 whether there may be safety concerns if the cell
25 suspension is inadvertently injected into the

1 pericardial or thoracic spaces, or if it is drained
2 from these spaces by the lymphatics and then
3 delivered into the systemic circulation. Relating
4 to needle injection, curves or bends in many
5 catheter designs, including the 180-degree bend
6 around the aortic arch that will normally be
7 present, could affect the needle extension length
8 of the catheter.

9 Finally, particularly in hearts that have
10 minimal epicardial fat surrounding the left
11 ventricle, it may be difficult to avoid occasional
12 injection completely through the wall of the
13 ventricle and into the pericardial space.

14 Factors that might contribute to this
15 could include the following:

16 First, is locally thin regions in the
17 ventricle, possibly related to myocardial
18 infarction, possibly related to the normal
19 indentations that separate the muscular trabeculae
20 of the ventricle on the endocardial surface of the
21 heart.

22 Second, is compression or stretching of
23 the ventricular wall where the catheter tip is
24 again pressed into contact with the wall, and,
25 finally, the possibility that a forceful injection

1 could simply potentially separate both myocardial
2 cells and epicardial cells, allowing cell
3 suspension to flush completely through the
4 ventricular wall.

5 A fifth and final question regarding
6 needle injection catheters is the following: Are
7 injection depth and the spread of injection of the
8 injected cells potentially important therapy
9 parameters?

10 For example, will injection of cells near
11 the more ischemic endocardial surface of the heart
12 provide therapy that is identical or equivalent to
13 injection near the less ischemic epicardial
14 surface, or is a minimally dispersed bolus of cells
15 at each injection site equivalent to wider
16 dispersion of cells at each injection site?

17 We currently suspect that catheter design,
18 cell suspension characteristics, and injection
19 speed can all affect injection depth and spread.

20 If a clinical study is performed using a
21 specific injection catheter and a specific cell
22 suspension, will the same therapy then be delivered
23 if a different injection catheter is used to
24 deliver that same cell therapy?

25 Of note, you can use animal studies, and

1 this is where the large animals become important,
2 to characterize the depth and spread of the cell
3 suspensions produced using a specific catheter.

4 Finally, and this is a question I think
5 that unites all the questions listed above on this
6 slide, when an investigational therapy is studied,
7 how important is it that the therapy delivered be
8 characterized? When an investigational therapy is
9 poorly understood, is the characterization of
10 therapy more or less important?

11 Today's meeting is focused on scientific
12 discussion of cell therapies for cardiac disease,
13 and because of both the focus of this meeting and
14 the time constraints, this is not a good forum for
15 discussion of regulatory concerns related to
16 cardiac catheters, however, if you would like to
17 discuss cardiac catheters intended for delivery of
18 cell therapies, you may contact either myself or my
19 branch chief, Mr. Elias Mallis.

20 I have listed our contact information on
21 this slide. Again, it will be posted on the web
22 site.

23 Finally, because this is one of the final
24 presentations today, I have one final slide. My
25 manager has repeatedly asked the following

1 question: Whether there are earlier or predicate
2 devices that have been used to provide catheter
3 delivery of biologicals.

4 He has also asked what we can learn from
5 any earlier devices. Initially, I was unable to
6 define a useful predicate for catheter delivery of
7 biologicals. Then, I did find a useful example,
8 and it was among photos from the Minnesota State
9 Fair.

10 The obvious lesson from this photo, I
11 would say is that intense concentration may be
12 required during catheter manipulation.

13 [Laughter.]

14 DR. JENSEN: Thank you.

15 [Applause.]

16 DR. RAO: Thank you, Dr. Jensen.

17 I am going to suggest that we wait and
18 hold off questions until we hear from Dr. Lederman,
19 as well, since he may be perhaps answering some of
20 those questions, and then direct questions to both
21 people at the end of that talk.

22 **Transcatheter Myocardial Cell Delivery: Questions**
23 **and Considerations from the Trenches**

24 DR. LEDERMAN: I am going to be quick. I
25 am grateful for the opportunity to speak before

1 this audience and this committee. Thank you for
2 your service.

3 I am speaking to you as a clinical
4 cardiologist, so I like to think of myself as Joe
5 six pack of clinicians. I will be talking about the
6 considerations, the frustration that many
7 investigators feel when we would like to talk with
8 the agency to get some guidance about how to start
9 bringing these interesting therapeutics to clinic
10 assuming we have determined that the timing is
11 right to bring therapeutics to clinic.

12 I am sorry there will be a bit of
13 repetition. I will try to go quickly through
14 repetitive slides.

15 We are dealing with integrated therapies
16 and unfortunately, we are also dealing with a
17 morass of regulatory purviews that don't
18 necessarily intersect. You have seen already that
19 we have considerations of delivery devices, as well
20 as cellular agents, to combine in therapy, and we
21 haven't discussed much in this room combinations of
22 novel mobilization agents should we choose to use
23 that route to drive our cells, and it becomes
24 difficult when we have proof of concept in some
25 animal models to find an appropriate proof of

1 concept to support our clinical trial and to
2 support our safety considerations when some of our
3 colleagues outside the U.S. have kind of moved
4 ahead.

5 So, let's see if I can generate some
6 interesting questions for the committee, and that
7 is really what I hope to end on.

8 You have seen this slide from Strauer. I
9 will review again just the different approaches to
10 cell delivery, into coronary cell delivery is
11 attractive because it is very easy, there is a wide
12 dispersion into the target territory, and there are
13 a lot of available devices to be used although they
14 must be used off label.

15 The disadvantages have been mentioned that
16 there is a potential coronary artery injury. One
17 of the real clever innovations by Strauer's group
18 and by Sawyer's group and the Hanover group is that
19 they have chosen to deliver cells through an
20 occlusive balloon deployed at the site of a
21 recently deployed stent, so there is really almost
22 no possibility of coronary injury from the delivery
23 device, however, there is a possibility of a
24 coronary microembolism, and when you test this is
25 the setting of a recent acute myocardial

1 infarction, that coronary embolism may be difficult
2 to detect, so clinicians have gotten away with it,
3 or at least I should say their patients have gotten
4 away with it.

5 Certainly, there is a great potential for
6 direct washout of injected cells. Very few people
7 have actually measured this or reported this, but
8 there is some evidence that there is a low
9 fractional retention of delivered cells, and really
10 this intracoronary cell delivery is yet another way
11 to expose the entire patient to the therapeutic
12 agent.

13 This kind of approach is probably
14 unsuitable to certain patient populations,
15 especially those with chronic myocardial ischemia
16 when the inflow arteries are occluded. Most
17 investigators have taken advantage of transient
18 coronary flow interruption ostensibly to improve
19 local retention, but it is just not clear the value
20 or importance of this transient coronary flow
21 interruption. Certainly, a lot of patients can't
22 tolerate prolonged coronary flow interruption
23 without incremental myocardial injury.

24 There has been discussion about both
25 surgical and transcatheter cell injection.

1 Certainly, most of us will recognize that
2 catheter-based injection is less morbid than
3 surgical epicardial injection. Primary surgery has
4 been unattractive in investigational studies when
5 the surgery is offered only for the sake of cell
6 delivery.

7 The problem is in small studies, combining
8 cell delivery with an effective therapy, I think
9 has been mentioned by several people before,
10 doesn't really generate meaningful safety or
11 efficacy data, because the assessment of toxicity
12 events is confounded by the concomitant surgical
13 procedure, and the assessment of efficacy events is
14 very easy to ascribe to the concomitant effect of
15 therapy.

16 So, I think this kind of approach should
17 probably be discouraged in small, single-center
18 studies. I hope some investigators in the room
19 have already overcome this in moving to larger
20 studies.

21 Direct catheter injection of the
22 myocardium is attractive because we can achieve a
23 high local cell density and probably a high total
24 dose. It is certainly very easy also, with the
25 variety of catheters that I will describe, and the

1 entire myocardium is for the most part accessible
2 irrespective of the patient's individual coronary
3 anatomy.

4 But these devices are disadvantageous in
5 the U.S. because there are no approved devices,
6 although a few are available through
7 investigational device exemption.

8 I will show you some data that there also
9 is low retention of injected cells and that direct
10 myocardial injection is yet another means of
11 systemic exposure of the patient to the cellular
12 agent. We are left even in the best situation with
13 multifocal cell accumulation, meaning a
14 heterogeneous dispersion of the cellular agent.

15 Whether or not that is important isn't
16 clear, and there is the potential for damage to the
17 myocardium or to the chordal structures or the
18 valve structures, however, this potential has not
19 been supported by experience with comparably
20 aggressive or more aggressive intramyocardial
21 catheters especially in the fairly large laser
22 myocardial "revascularization" experience or
23 angiogenic gene transfer.

24 There are a bunch of variants of
25 myocardial injection catheters. There are

1 techniques to access the pericardium and bathe the
2 epicardial surfaces of the heart with the cell
3 preparation of interest.

4 Patients with chronic myocardial ischemia
5 who have undergone coronary bypass surgery are, for
6 the most part, not eligible, so this is a difficult
7 approach in early clinical trials.

8 There are investigators who have
9 demonstrated satisfactory delivery of genes and
10 cells by retrograde coronary venous approach. I
11 will show you a picture to show what that means. A
12 company has commercialized tangential transvenous
13 intramyocardial injection.

14 Then, there are a bunch of endocavitary
15 catheters that go across the aortic valve
16 retrograde and are pretty successful in delivering
17 cellular agents. There are an array of what I call
18 "dumb" catheters that we use just under x-ray
19 guidance that are very attractive because they are
20 quick and easy. Two examples are Boston Scientific
21 Stiletto and Biocardia Device.

22 There a couple of smarter devices, it is
23 not clear that they are better, but they employ a
24 Static Roadmap like the Cordis Biosense
25 electromagnetic guidance system with some

1 non-fluoroscopic guidance. There are also devices
2 that have an integrated ultrasound, and the
3 smartest devices, I will show you an example, in
4 research mode only, of instantaneous imaging both
5 of the tissue and the device.

6 We should all open our hearts to the
7 possibility that surgical videothoracoscopic, a
8 minimally invasive surgical procedure may
9 accomplish cell delivery with very little morbidity
10 even in a primary surgical procedure.

11 This is just a demonstration of one of the
12 so-called "dumb" catheters. This is a Boston
13 Scientific catheter going from a femoral artery of
14 a pig, across the aortic valve, and can
15 successfully guide whatever agent you want with
16 centimeter, not millimeter, precision to any aspect
17 of the endocardial surface.

18 Medtronic has recently bought
19 Transvascular, which is an interesting device that
20 has an integrated ultrasound to guide the
21 deployment of a needle through a coronary vein and
22 can access target myocardium through any of the
23 coronary veins in a tangential fashion. This has
24 been tested in clinic in a very small number of
25 patients, and so far there haven't been any safety

1 disasters.

2 A retrograde transvenous approach has been
3 demonstrated I believe only in animals. The
4 Stanford group, Keith Marsh's group, have been
5 interested in this for delivery of dyes or gene
6 agents or even some cellular agents. I am sorry
7 the pictures aren't very attractive. It is not
8 clear to me how this can possibly work, but the
9 proof of principle has been shown.

10 It is also attractive in that the inflow
11 coronary artery anatomy is not a problem since
12 coronary vein patency is maintained in virtually
13 all patients. Dr. Perin is an expert in the use of
14 the Cordis electromagnetic guidance system, the
15 Biosense system that has its advantages in that it
16 has been widely used and tested for a variety of
17 investigational approaches.

18 It is disadvantageous in that it is a
19 prior roadmap of the heart that may vary over time,
20 and so it is not clear to me that you accomplish
21 millimeter scale precision of your injections, but
22 it is also not clear that that is very important.

23 I think Dr. Epstein's group also has great
24 expertise in the use of the device for cell
25 therapy.

1 Just to brag about some work in my lab for
2 a moment, we have used real-time MRI to guide cell
3 injection to very small targets with great
4 precision and great ease. This is, as you see, a
5 multi-slice real-time movie of the heart. You can
6 see it in long axis and short axis, of a pig in
7 which we have caused a tiny, little
8 microinfarction, shown in white.

9 The catheter is shown in red and green,
10 and we can see with great 3-dimensional sense where
11 we are steering our catheter, and if we like, we
12 can label cells, say, mesenchymal stromal cells,
13 very easily.

14 Here, the mesenchymal stromal cells are
15 showing up in black, so you can actually see
16 interactively, as you deliver the cells, that at
17 least some are attained in the target myocardium,
18 and this is almost ready for clinical application.

19 I want to show a little bit of data. This
20 is from my youth when I was just out of fellowship,
21 a study that was funded by Boston Scientific while
22 I was at still at University of Michigan.

23 We injected neutron-activated microspheres
24 into the heart with an endocavitary catheter,
25 direct surgical approach or postmortem, and what

1 was interesting is that we inject and then kill the
2 animal within minutes, and most of what we inject,
3 both surgically or transcatheter approach, is lost
4 immediately.

5 There is some effect of volume. Smaller
6 volumes were associated with slightly greater
7 retention in tissue. This is 10 microliters. That
8 is a tiny, tiny injection compared with 20 or 100.

9 A better study was published by Smits from
10 the Thoraxcenter group in Rotterdam using
11 scintigraphy and radiolabeled albumin, either plain
12 radiolabeled albumin or a colloid, and they showed
13 also loss of the majority of injectate after just a
14 minute by scintigraphy.

15 Their colloidal preparation had great
16 retention, which is interesting, and that there
17 might, of course, be some interaction between
18 biological agents and the myocardial interstitium,
19 so conceivably, cells won't necessarily be lost.
20 These are studies that can be done in the lab in
21 healthy animals.

22 But I think it is easy to say that local
23 myocardial injection is at best an exaggeration,
24 that most injectate is lost rapidly and exits
25 either by backflow directly into the myocardial

1 cavity, which we can see directly, or with
2 intracardiac ultrasound or even with
3 high-resolution x-ray, that there is also a clear,
4 what I call "intravasation" or return to the
5 coronary circulation or coronary lymphatics.

6 When we inject too deeply and directly
7 into the pericardium, that is another mode of exit,
8 but clearly, the interstitial myocardial target
9 retains only a fraction of what we intend to
10 deliver there.

11 So, where does this material go and is
12 that really important? I think it is important at
13 least that we assume that what we think we are
14 injecting by any route goes everywhere, and I think
15 that means that conventional toxicology or
16 biodistribution experiments can be conducted in
17 uninfarcted animals without the needle of interest
18 just by modeling it as a left atrial or left
19 ventricular cavitory injection that is not device
20 specific.

21 I think also it is interesting to talk
22 about open-label autologous unfractionated bone
23 marrow data, what is the incremental value of
24 animal safety or tox experiments in light of the
25 fact that provisional safety has been shown in

1 open-label studies if we are convinced that the
2 safety reporting has been complete, and I am pretty
3 comfortable that it has been.

4 For autologous leukapheresis products, say
5 we apherese CD34 cells for a direct myocardial
6 injection, those cells are circulating already. It
7 is not clear to me what is the value of incremental
8 biodistribution experiments regarding systemic
9 exposure.

10 I will qualify that by saying that
11 allogeneic material perhaps should be treated
12 differently, but for autologous material, it is not
13 clear to me that these animal data are valuable,
14 and to require it of investigators before going to
15 clinic sounds dubious in my opinion.

16 Also, it is worth noting that the
17 importance of targeting is just not established.
18 While I am very interested in precise anatomic
19 targeting, it is not clear why we need it.

20 Delivery targets certainly vary by
21 application. We may want to target infarct
22 borders. Doris Taylor had some data today that
23 infarct borders may be unattractive for certain
24 therapies.

25 Do we want to target ischemic zones, or do

1 we want to avoid ischemic zones, do we want to
2 avoid thin myocardium? Is roadmap data worse than
3 blind data or worse than instantaneous real-time
4 MRI data? It is just not clear.

5 Certainly, good targeting is attractive in
6 that it may reduce overlapping injections and waste
7 of injections, and overlapping injections may
8 increase systemic loss. It is hard to imagine that
9 we might be exceeding some therapeutic index.

10 So, in other words, the value of targeting
11 is just not clear to me, and if we are able to show
12 some efficacy, it is not clear how much we must ask
13 of investigators to establish these catheter-based
14 information before going to clinic.

15 A point that has not been mentioned, but
16 that I have encountered in animal studies is that
17 operators need feedback regarding delivery of their
18 therapeutic agent, and I would like to encourage
19 the committee and the regulatory agency to consider
20 contrast labeling at the time of cell delivery. It
21 is certainly clearly more important than needle
22 stability measures.

23 There are lots of ways to label injection
24 mixtures. You can admix contrast into your
25 injection cocktail. Iodinated radiocontrast is

1 clearly tolerated in myocardium. We inject
2 high-dose, full-strength intracoronary
3 radiocontrast, replacing blood inflow for many
4 seconds in patients in all settings, acute MI,
5 acute and chronic ischemia, and healthy myocardium.

6 It is certainly very well tolerated. It
7 is hard to believe that iodinated radiocontrast
8 injected into the myocardial interstitium is not
9 tolerable. It is certainly well tolerated in
10 animal experiments, but this kind of feedback under
11 x-ray guidance, for example, is critical in knowing
12 that we are delivering the cells into the target
13 that we think we are.

14 If we are doing injections under MRI,
15 then, certainly we can admix gadolinium-based MRI
16 contrast agents in very dilute form just like the
17 agent that reaches the myocardium after systemic
18 exposure, and these are very easy to be tested
19 biocompatible in vitro.

20 Certainly, we can do test injections of
21 contrast to test the purchase of our needle in the
22 myocardium before injecting the cell of interest,
23 but the problem with these test injections of
24 radiocontrast is that the catheter and hub dead
25 space often exceeds the volume of the desired cell

1 injection, so that is a problem that is difficult
2 to overcome.

3 Alternatively, we can label our cells.
4 That is certainly easy if we want to deliver our
5 cells under MR or under echo, but it is not clear
6 what options we have under x-ray, so to the members
7 of this committee, please facilitate solutions to
8 this clinical need.

9 Some engineering concerns have been
10 mentioned in the very excellent guidance materials
11 supplied to members of the committee. I just want
12 to speak to some of them.

13 The issues of biocompatibility of lumens
14 and potential clogging of lumens, this is easy to
15 test on benchtop and doesn't require animal
16 experiments.

17 The issues of balloon injury of target
18 coronary arteries is an important one. You have
19 seen creative solutions by investigators in Europe
20 by protecting the target coronary artery, inflating
21 their occlusion balloon inside a recently deployed
22 stent.

23 Certainly, there are noninjurious
24 compliant occlusion balloons that are clinically
25 approved for a variety of peripheral artery

1 applications, that are used widely in the cerebral
2 circulation, that are also used for coronary
3 protection and substantial equivalence data are
4 already widely available.

5 We have also heard discussion of
6 considerations of the pressure capacity of balloon
7 wire lumens. The European investigators, for
8 example, are administering their cells via the wire
9 lumen of an inflated coronary balloon.

10 As a practicing interventional
11 cardiologist, I don't consider that an important
12 concern because every balloon, every over-the-wire
13 design balloon that I put into a patient, I expect
14 to use for intracoronary angiography, and I use for
15 intracoronary angiography with a fairly high
16 pressure system.

17 There are many times I need to know that
18 my balloon is in the right place, so I pull out the
19 wire and I inject contrast at a fairly high
20 pressure directly through the balloon lumen.

21 That is not, of course, an indicated use,
22 but it is a wide use by all operators of coronary
23 artery balloons, and I think the test of time has
24 already been past, but if you like simple benchtop
25 pressure data, they are easy to acquire.

1 Regarding endomyocardial injection
2 catheter engineering concerns, the same
3 biocompatibility and clogging concerns are easy to
4 answer on benchtop tests. This issue of variable
5 needle extension is probably an important one if
6 injection depth proves to be important, and it is
7 not clear that it is, but this can be addressed in
8 benchtop testing.

9 It has been mentioned that purchase
10 stability is important to assure injectate reaching
11 the target tissue. My assertion about marking or
12 labeling injection cocktails with contrast might
13 address that concern.

14 The report from UC/SF from Jonathan
15 Coleman using an old intracardiac echo device
16 reporting instability is actually a spurious
17 observation because of through-plane motion of the
18 target that the UC/SF group was inspecting with a
19 fixed vena caval or a right atrial intracardiac
20 echo device. In other words, I don't think it has
21 been shown that the contact of EP catheters or
22 myocardial injection catheters cannot be
23 maintained, in fact, just the opposite, especially
24 from the Biosense device which has a local cardiac
25 electrogram capability to assure contact stability.

1 So, I think this is not really an
2 important problem for us to worry about.

3 The issue of potential myocardial
4 perforation is often raised when we discuss the
5 possibility of delivering cells directly into
6 patients after a recent large myocardial
7 infarction, and I think that is an important one.
8 It is interesting that you refer to myocardial
9 biopsy devices as predicate devices, because as I
10 view the biotomes as some of the most dangerous
11 devices we ever laid hands on, they are so
12 incredibly stiff and indeed perforations do
13 sometimes occur.

14 Fortunately, there is an animal experience
15 from my lab. I guess we should probably get it out
16 there, of a large number of injections directly
17 into freshly infarcted myocardium, and I think this
18 kind of data is easy to obtain.

19 But the bigger issue is not that freshly
20 infarcted myocardium can be safely injected, it is
21 that the device companies can't really control the
22 operators. I have seen this so many times. An
23 engineer walks into a lab and cringes as the
24 interventional cardiologist effectively abuses the
25 device. How do you model operator misbehavior? It

1 is kind of difficult.

2 In reality, proof of principle has been
3 established.

4 The issue of inadvertent pericardial
5 injection probably has little or no clinical
6 importance especially when compared with the loss
7 of injectate via other routes, and its only value
8 is that you are not delivering what you think
9 directly into target tissue again, the value of
10 instantaneous visualization of injections.

11 The issue of distribution of injected
12 material within the target myocardium, I think it
13 may be reasonable to assume that this distribution
14 is different in normal myocardium versus fresh
15 infarct versus chronic scar, but the value of these
16 data are just not clear compared with the efficacy
17 data in support of preclinical or early clinical
18 experiments, so having this information of how many
19 cubic centimeters of myocardium are exposed to
20 target cell based on a given volume or dose of
21 cells, it is just not clear why we need that
22 information. This kind of information ultimately
23 can only be valuable in patients.

24 Are endomyocardial injection catheters
25 generic? In my opinion, assuming benchtop

1 biocompatibility has been determined, and assuming
2 that mechanical performances are satisfactory
3 compared with predicate devices, I think that a
4 myocardial injection catheter is pretty much the
5 same from one to another.

6 One needle device should be translatable
7 to another, and the scientific and regulatory value
8 of additional data from large mammals, healthy
9 ischemic infarcted, is really pretty small and hard
10 to justify, in my opinion. So, I keep giving this
11 message, nihilistic message about the large animal
12 models investigators have been asked to provide.

13 To summarize my opinions, I think
14 engineering and biocompatibility concerns can be
15 addressed with benchtop data. I think that animal
16 model safety experiments matching a given catheter
17 device with a given putative therapeutic agent
18 don't meaningfully contribute to patient safety and
19 are, in fact, potentially misleading.

20 I wish that there were a way to get a
21 screening IDE or IND capability to support testing
22 new cell preparations without repeating unnecessary
23 preclinical experiments as we switch from device to
24 device, and ultimately, careful human
25 experimentation is what is most important.

1 Let me just make a few more points before
2 I turn to some questions and step off the podium,
3 so people can go home.

4 I want to reiterate some points made by
5 other speakers today, and I want to reiterate it
6 especially to the regulatory officers here. I
7 think that blinded placebo groups are mandatory
8 even in first experiments.

9 Why would you conduct an experiment
10 without a suitable matched control in the name of
11 safety? That is just bad science. Interestingly,
12 there has not been a single open-label or "Phase I"
13 safety trial that fails to make an efficacy claim
14 without a suitable matched control.

15 Unfortunately, the agency is inadvertently
16 discouraging blinded controls, for example, when
17 they ask for a delay in between exposing a given
18 subject within a given group of patients, asking
19 for seven days or four-week delay between patients
20 to look for safety of individual patients. This
21 often frightens investigators away and makes them
22 drop placebo groups.

23 I think that in cardiology, we rarely
24 conduct classic Phase I studies in end-stage
25 subjects in spite of the conversation in Doris

1 Taylor's speech.

2 So, I would like to encourage people in
3 the committee and encourage regulatory agencies to
4 facilitate inclusion of blinded placebos in early,
5 first in man even, experiments.

6 I was also asked to talk a little bit
7 about the safety of direct myocardial injection,
8 and unfortunately, there are no large series of
9 direct myocardial injection of cells or any other
10 agents, however, a related catheter has been tested
11 in a few hundred patients.

12 Cordis had a myocardial--they called it a
13 DMR, direct myocardial revascularization procedure,
14 but it was a way to burn the myocardium from a
15 transcatheter approach. In this Cordis-sponsored
16 study presented by Martin Leon and Ron Kornowski a
17 few years ago, a Cordis Biosense derivative, a
18 device much like the myocardial injection catheter
19 shown today, was used to steer into the myocardium
20 of 300 patients with refractory ischemia, mild or
21 moderate left ventricular dysfunction, and preserve
22 wall thickness.

23 One hundred patients underwent sham
24 procedures, placebo burns of the myocardium, and
25 200 more received laser in two different doses.

1 This is just the clinical complications. I will
2 point you to left ventricular perforation. There
3 were none in the 100 placebo patients, there was 1
4 out of 100 in the highest laser dose, which is
5 comparable to some other laser trials.

6 I think this establishes a relative safety
7 base from a perforation perspective. These other
8 events unfortunately weren't very well described,
9 and this study unfortunately has not been
10 published, and I am not sure it ever will be
11 submitted for publication, but the acute safety of
12 this device, I think is relatively self-evident.

13 So, is placebo and the myocardial
14 injection safe in principle? I think yes, and it
15 is not a reason to discourage these placebo groups
16 in early first clinical studies.

17 So, again, we are trying to bring
18 therapies to clinical testing, and we are trapped
19 between delivery devices and cellular agents that
20 we would like to use together.

21 I want to just ask a few hypothetical case
22 questions to the committee before I step down. I
23 certainly don't have answers, but I hope you find
24 it provocative.

25 Let us say that an investigator identifies

1 a novel marker, an HL321, let's call it, that
2 identifies some kind of progenitor cell for which
3 there is no clear animal homolog.

4 There are some limited preclinical
5 efficacy that when an enriched human HL321
6 population is injected to intrinsically
7 immunocompromised rat infarct, it causes functional
8 recovery compared with a population of known cells
9 that are relatively depleted, so this is not the
10 most robust type of experiment, but based on
11 experiments like this, and based on patients who
12 are clamoring for therapy of their massive
13 myocardial infarction, investigators may want to
14 bring some test of this therapy to clinic even now.

15 How could we test local autologous HL321
16 cells assuming we had a feasible way to mobilize
17 and recover these cells? How could we test that
18 for safety and efficacy?

19 In the example I want to give you, there
20 already is a commercial cell system available,
21 marked with a CE in Europe, and in Europe, hundreds
22 of patients have successfully undergone bone marrow
23 transplantation with a population positive
24 selection for this marker, and also in Europe,
25 dozens of patient underwent local cardiac delivery

1 trials in a variety of applications, and Phase II
2 trials have been offered.

3 Is it unreasonable to permit U.S.
4 investigators to conduct similar experiments now
5 that provisional safety has been tested in Europe,
6 if incompletely reported in Europe?

7 For this kind of clinical experiment, are
8 additional animal data really necessary when human
9 studies have already been conducted, and when there
10 is no animal homolog of that positive selection
11 marker? What animal model is really adequate?

12 Can we use the experience of investigators
13 like Dr. Epstein, investigators like Dr. Perin,
14 using undifferentiated bone marrow to support the
15 local delivery of other autologous cells, and are
16 individual cell preps, autologous cell preps
17 substantially equivalent in this case when they
18 have just been derived directly from the patient
19 irrespective of sources? Is bone marrow that much
20 different from apheresis product, from a
21 mobilization product?

22 So, how can we apply non-U.S.A. human
23 safety data to support U.S. clinical trial
24 proposals, or should we continue the way we are now
25 and just sit and wait for others to do their

1 experiments without us?

2 Thank you very much for your attention,
3 and I hope you found these questions provocative.

4 [Applause.]

5 DR. RAO: We have time for a few
6 questions.

7 Q&A

8 DR. NOGUCHI: I think that was a terrific
9 representation of the tension between belief and
10 what is published or not published, and what we
11 might call the paradigm for FDA, which is absence
12 of evidence is not evidence of absence.

13 I will just challenge you a little bit.
14 When we see safety data whether it is from
15 another country or here, one of the critical
16 questions for an adverse event is, well, is that
17 really showing that if you give a product that you
18 have a lack of an adverse event, or could it be
19 that, in fact, you didn't give a product, which by
20 your own arguments you would say most of the time,
21 you lose most of the product all over the place.

22 So, some of it falls into the category of
23 our experience is that adverse events actually
24 other than from the actual injections of others for
25 biological products don't occur unless you actually

1 also have bioactivity, and sometimes if you don't
2 have any cells, you may not have any bioactivity.

3 So, I think that you have a number of very
4 genuine points very well worth arguing, but I would
5 just caution that it is very simple to say
6 something is safe. We rarely say look at all the
7 published data and it's safe, because we don't
8 really know if a product was actually being used
9 there, and perhaps that is one of the points you
10 might want to just think about.

11 DR. LEDERMAN: I, of course, can't even
12 answer your question, and I want to point out I
13 want to thank the regulatory officers and the FDA
14 for trying to protect our patients, and to try to
15 protect the American public. You are in a very
16 difficult position that is often a thankless
17 position, but I just hope you are open to this kind
18 of conversation.

19 DR. NOGUCHI: Absolutely. That is why we
20 would like to have you end it here, because I am
21 sure it will provide the focus of discussion for
22 tomorrow.

23 DR. RAO: Any other questions?

24 DR. EPSTEIN: Bob, I really enjoyed that
25 presentation, it was really great. I would just

1 like to raise one point. For example, to my
2 knowledge, no one has really tested in depth the
3 safety of the transvascular administration of an
4 angiogenic agent or its cells.

5 The reason I feel that might be different,
6 for example, than a transendocardial or a
7 transepocardial injection is because you are
8 injecting it right around a large artery. It is
9 conceivable that there can be pro-atherosclerotic
10 or pro-restenotic effects.

11 DR. LEDERMAN: I am sorry. Let me
12 interrupt your question. Do you mean intracoronary
13 approach or the Medtronic transvascular approach?

14 DR. EPSTEIN: The Medtronic approach, so
15 where you are injecting it, not downstream at the
16 small vessel level, but at the large vessel level,
17 so if you are injecting it, for example, through
18 the venous system, it is contiguous with the
19 arteries that have atherosclerosis in it.

20 So, I would think that for that special
21 case, the FDA would require that you have to show
22 that there is no deleterious effect in terms of a
23 pro-atherosclerotic effect. I would be interested
24 in your thoughts about that.

25 DR. LEDERMAN: I think that point is well

1 taken and every such safety request or demand is
2 interesting and valuable, but how do we answer that
3 kind of question satisfactorily.

4 Let's take the question you just asked, a
5 tangential myocardial needle or perhaps a
6 retrograde venous administration of agent X, and
7 the problem of an unrecognized atherogenic effect,
8 how on earth do we test that? Are apoE knockout
9 mouse experiments satisfactory?

10 DR. EPSTEIN: For this particular, I would
11 injure a vessel in a pig, and then inject whatever
12 agent you are interested in transvenously in the
13 area of that injured vessel and just see whether
14 there is an increase in the neointimal response.

15 I don't know how you carry--I mean your
16 question would be so what, whatever you see, and
17 that would be a good question.

18 DR. LEDERMAN: But that is exactly right,
19 that might reassure us, but that is also not
20 atherosclerosis.

21 DR. EPSTEIN: That's right, but the AMI
22 studies, you know, you are doing angioplasty, so if
23 you were to increase the incidence of restenosis in
24 the pig, you know, quite predictively, it would
25 certainly add a major cautionary note to approval of

1 such a protocol.

2 DR. TAYLOR: I would ask two questions.
3 One, very short, but one is you said that you would
4 argue that exogenous delivery of any given cell
5 population is equivalent essentially, but I would
6 think that the GCSF, or if you have given one bone
7 marrow cell population, is it right to go ahead
8 with all the others without necessarily more safety
9 data?

10 I would argue that the GCSF data that just
11 came out would actually argue the converse, that
12 the only difference there was mobilization of cells
13 that would otherwise be endogenous to that same
14 patient, and yet an increased number of those cells
15 clearly caused some negative effect.

16 DR. LEDERMAN: I wasn't actually making
17 that assertion. I was making the assertion that
18 needle injection catheters are ultimately very
19 similar.

20 DR. KURTZBERG: But the difference, there
21 have been, I don't know how many tens of thousands
22 of patients have had GCSF, we have gotten bone
23 marrow in their right atrium, so I mean the
24 dissemination of those cells is not the issue, it
25 is combining that with a local technical injection

1 and trauma to that site that is different.

2 I mean there is experience with these
3 cells disseminated through the human body for two
4 decades, so that is not the issue. The issue is
5 what do the cells do in the setting of a local
6 technical injection into an artery or other part of
7 the heart that is sick.

8 DR. TAYLOR: I think that is sort of the
9 point I am trying to make, that exogenous delivery
10 is not necessarily the same thing as mobilization
11 of cells, and that having more cells there, that we
12 don't understand, we can't just interpolate from
13 other data.

14 I want to ask a very short question. What
15 do you think about clinicians moving forward who
16 don't have experience with preclinical studies? I
17 mean one of the things that probably enabled
18 Philippe to do the studies he did is he had that
19 six years of preclinical experience making mistakes
20 or whatever.

21 What do you think about any clinician
22 moving forward in a trial without having previous
23 preclinical experience?

24 DR. LEDERMAN: My short answer is who
25 cares what I think, and we all operate as parts of

1 teams with expertise in our respective areas. In
2 cell therapy, for example, it would be outrageous
3 for me to do an early clinical study without the
4 close collaboration of cell therapy experts like
5 some that are fortunately in the room.

6 And would we need to have our local
7 on-site preclinical experiments? It is not clear
8 to me how important that is. It is more important
9 to me that our agents be well characterized, that
10 the studies be well conducted, and that they be
11 designed in a way that the data can be interpreted
12 rather than open-label, early clinical experiments
13 that are very difficult to interpret.

14 DR. RAO: I really agree with on the
15 emphasis you made about the fact that you should
16 have a placebo-controlled trial, but then on the
17 same token, you know, you also said that one
18 catheter is much like the other, but I don't think
19 that we can extrapolate that from saying one is
20 much like the other, you know.

21 I mean we worry about drugs when we say
22 whether it is a generic formulation or whether it
23 is a formulation which contains the same active
24 ingredient, and to me, when you are looking at the
25 device, you are making it with cells, you have to

1 worry about how clearly or how similar the device
2 is to any other device.

3 We can't simply say, well, you know, the
4 benchtop pressure was the same. You know, I can
5 take syringes and I can show you that the benchtop
6 pressure on that cell agent injection is exactly
7 the same because of how I do it, but, you know, I
8 can put cells through it, and I can guarantee you
9 that there would be a difference.

10 I mean Dr. Menasché showed in his data you
11 use a 27-gauge needle, and it is very different
12 from using a 29-gauge needle. It doesn't matter
13 whether you have got the same pressure or not.

14 So, I think that it would be hard put at
15 least for me to be convinced that most catheters,
16 even if they are giving delivery externally in much
17 the same way, that one can logically extend it and
18 say that it will probably be the same.

19 DR. LEDERMAN: So you are telling me--and
20 I don't mean to belabor the point--but if you have
21 two catheters by two different vendors, that have
22 satisfactory benchtop testing for biocompatibility,
23 have satisfactory hydraulic characteristics, that
24 what you inject at one end comes out the other end,
25 and one such catheter has satisfactory efficacy

1 data in some kind of preclinical model, that you
2 would require a repetition of that preclinical
3 model for another catheter that is virtually the
4 same?

5 DR. RAO: I am saying that right now we
6 can't make the assumption that it will be the same,
7 and the reason I say that is that we know that when
8 we make minor manipulations to cells which we are
9 delivering, for example, if we take CD34 cells,
10 which have been kept in culture for 48 hours as
11 opposed to 12 hours, we have a very different
12 endpoint result. We know that.

13 We don't know what the interaction will be
14 with the catheter, and we can't make the assumption
15 that because we know five parameters, that those
16 will be adequate in making a reasonable prediction,
17 so until I have a lot more data, I will be very
18 surprised that one could make that statement or
19 anybody would agree that that is okey.

20 DR. LEDERMAN: The end result is that we
21 have an unmanageable number of permutations, an
22 unmanageable number of permutations that makes it
23 hard to make progress.

24 DR. RAO: But again I think this is to
25 reemphasize what Phil said, it is not that we can't

1 do it, so that means it shouldn't be done. It is
2 to try and identify what is critical, so that you
3 make sure that the critical points are done, so
4 that is the critical issue to me.

5 DR. RIEVES: Dr. Lederman's presentation
6 was excellent, and I think it raised some excellent
7 points. I think the important part will be to
8 discuss them tomorrow, and can give one example,
9 because for every point that was raised in that
10 discussion, there is always the other hand. It is
11 like the two-armed economist. I will give you one
12 example right now.

13 It is true that one study with what is a
14 laser TMR system, there were very few perforations.
15 There was another blinded, randomized study,
16 completed in the U.S., published in JACC, 140
17 patients. Only the treated patients, 70 treated
18 patients actually were catheterized.

19 Now, in that study, 5 of them had
20 perforations, so it is often difficult to--just as
21 one example, there is always another side to this,
22 and the important thing is I think you have raised
23 some excellent points. We have left the tough
24 questions for our committee members to address.

25 DR. SIMONS: To come back to the point

1 that catheters are different, not only are they
2 different from each other, they are different from
3 the cells depending on the cell type used. I
4 absolutely do not think there could be universal
5 device.

6 DR. LEDERMAN: And these questions were
7 not answered in benchtop testing?

8 DR. SIMONS: No.

9 DR. RAO: I think your point is well made,
10 though, that I think the way devices need to be
11 regulated is somewhat different from cells, because
12 of the number of variables one might have to
13 consider are somewhat different, and I think that
14 is a very valid point.

15 If there is no more questions, we will go
16 to the open part of the question and answer
17 session.

18 **Open Public Hearing**

19 Before we can have the open public
20 hearing, by law, I am required to read a statement.
21 I will do that right now.

22 Both the Food and Drug Administration and
23 the public believe in a transparent process for
24 information gathering and decisionmaking. To
25 ensure such transparency at the open public hearing

1 session of the advisory committee meeting, FDA
2 believes that it is important to understand the
3 context of an individual's presentation.

4 For this reason, FDA encourages you, the
5 open public hearing speaker, at the beginning of
6 your written or oral statement, to advise the
7 committee of any financial relationship that you
8 may have with any company or any group that is
9 likely to be impacted by the topic of this meeting.

10 For example, the financial information may
11 include the company's or a group's payment of your
12 travel, lodging, or other expenses in connection
13 with your attendance at the meeting. Likewise, FDA
14 encourages you at the beginning of your statement
15 to advise the committee if you do not have any such
16 financial relationship.

17 If you choose not to address this issue of
18 financial relationships at the beginning of your
19 statement, it will not preclude you from speaking.

20 There were two people who had asked to be
21 recognized before the meeting started. The first
22 person is Dr. Vulliet.

23 DR. VULLIET: Thank you for the
24 opportunity to come and present some data to you.
25 Am I supposed to make a statement I have no

1 financial interest in this?

2 DR. RAO: Yes.

3 DR. VULLIET: Okay. I have no financial
4 interest in this.

5 This is an example of some studies that
6 were done very recently where we have been
7 investigating, using my research team, which is
8 myself, a cell biologist/pharmacologist, Dr.
9 Greeley is a pathologist, Mitch Halloran is a cell
10 biologist, Kristin McDonald and Mark Kittelson are
11 both board-certified cardiologists, so we have a
12 very interdisciplinary team.

13 We are at a vet school, which is probably
14 novel for this group, and we are very specifically
15 interested in animal models. I was very pleased to
16 hear quite the discussion of animal models. I
17 disagree with almost everything every one of the
18 speakers complained about, not being suitable
19 animal models.

20 I guarantee we see animal models that
21 definitely are real patients, that have real
22 disease. It is not induced, it is a real disease.
23 It is there, it needs to be treated. For that
24 reason, we have decided to investigate the
25 possibility of using cytotherapeutics to see if we

1 can produce a beneficial effect in animals.

2 A good example of our animal model--and
3 this slide is probably Peter's example of what they
4 think we do with animals--but this is Oscar, and I
5 guarantee you he will grow up to have somewhere
6 later in life, lumbar disease, lumbar disk disease,
7 either at the L2/3 or the L3/4, and he is a great
8 model if you are into disk disease, but that is a
9 different committee we talk to about that.

10 Steps for successful cytotherapeutics.
11 This is my perception, not the committee's
12 guideline, the first step is safety studies. This
13 is about where we are at. In fact, most of the
14 stuff we are squabbling about right now is whether
15 these things can be done safely or not, if you
16 think about it.

17 Very little good data on dose response,
18 nothing on time course that I am aware of. Nothing
19 or very little on clinical endpoint. What do I
20 mean by clinical endpoint? When I am giving an
21 antibiotic, I can tell you I need to hit serum
22 concentrations of 1 microgram per ml.

23 Okay. I can design a pharmacokinetic
24 regimen, I can hit 1 microgram per ml. If I am
25 treating dilated cardiomyopathy, what is my

1 endpoint? If I see something four to six weeks
2 later, I will be lucky.

3 So, when I am administering cells, I
4 really don't have a defined clinical endpoint at
5 this point other than the lack of adverse reaction.
6 Think about it. It is a very interesting point of
7 view.

8 Anyway, because we started off with safety
9 studies, that is what we did. We asked a very
10 simple hypothesis, and we started with can a half a
11 million cells--we are using mesenchymal stromal
12 cells, we call them stromal cells rather than stem
13 cells because we are not convinced that primordial
14 germ layers have been demonstrated coming from MSC,
15 so we refer to them as stromal cells, you can call
16 them stem cells if you like.

17 We also use a terminology I don't think
18 anybody else has used in this room yet, is we are
19 using MICs [ph]. That is a million cells per
20 kilogram. We are very interested in a
21 dose-response relationship. Doses are a key in any
22 therapeutics.

23 So, we are giving half a million MSCs per
24 kilogram of body weight, and can they be safely
25 injected into the coronary arteries of the

1 anesthetized dog?

2 Simple experimental design, they are
3 autologous. We collect bone marrow somewhere about
4 a month later, we inject 10 million cells. These
5 are 20 kilogram dogs, half-million cells per
6 kilogram.

7 Seven days later, the dogs were
8 anesthetized, physical exam, CV exam, necropsy and
9 histo and immunocytochemistry.

10 I should also point out everywhere in this
11 study, all of these dogs, after recovering from
12 anesthesia, passed the cold nose test. They were
13 perfectly normal, you would not be able to tell.
14 They jumped, their tails wagged, they licked your
15 hand. They were nice dogs.

16 So, in that regard, on physical exam, they
17 looked good.

18 Abbreviated methods. Autologous, four
19 sites, collect them. Freshly dispersed, and this
20 will be a key point at the end. These are
21 autologous cells, freshly dispersed MSCs into the
22 circumflex artery. Catheter placement verified
23 before injection, after injection, with
24 fluoroscopy, physical exam. All dogs basically
25 appeared to be normal once the effects of

1 anesthesia had worn away.

2 This is the first dog we did. This is the
3 highest dose we did, and we did 1 million cells per
4 kilogram, injected in the coronary. At 2 hours,
5 took a section, you can see the catheter placement
6 there, took a section of ventricular myocardium.
7 It's a lightly stained hematoxylin section. These
8 2 cells, basophilic cells here, translate to the
9 CMFDA-labeled cells or fluorescent cells there.

10 This is one of the things we are looking
11 for. Our research team, probably different than
12 many of the people in this room, feel that the only
13 way we will get effective therapeutics is to have
14 intimate contact between these cells and the dead
15 and dying cardiomyocytes that they are going to
16 replace. We don't believe in direct injection, and
17 we can talk about that later.

18 So, that was our first dog, 1 million
19 cells per kilogram. The reason he went--it was at
20 two hours--was he went into V fib and died. Two
21 hours, so it is fairly easy to do your necropsy in
22 that. So, we then bacted those off to a
23 half-million cells per kilogram and injected them,
24 and the injections are 5 injections of 2 ml each
25 and 1 million cells per ml, and this was the

1 control, the anesthetized dog, this is the ECG.

2 Dogs normally have inverted T waves, I
3 don't know how many people know that, and it has to
4 do with chest dimensions and chest geometry more
5 than anything else. This is a normal ECG for a
6 dog.

7 After the fifth injection, the T wave has
8 converted to a normal position, but more
9 importantly, what you see here is ST elevation,
10 very profound ST elevation. This increase with each
11 dose and at the fifth injection it was the most
12 severe.

13 Twenty-four later, post-injection, you can
14 see you have got back to an inverted T wave, but
15 you have got bizarre complexes here. One-week
16 post-injection you have got normal ECG, and this
17 was published recently in Lancet, so I am not going
18 to go through a lot of this published thing two
19 weeks ago.

20 ST elevation made us think of troponin.
21 We measured troponin at various times after
22 injection. You can see it goes up, increases to
23 about 45 nanograms per ml. If you ligate an LAD in
24 a dog, you normally see ranges in the order of 150
25 to 200, so we had subnormal, if you will, levels of

1 troponin, but the time course it would be
2 consistent with some sort of myocardial ischemia.

3 One of the things you also don't see in
4 many of these preparations that you are seeing,
5 which is my personal--I will give you guys my
6 personal things that sort of irk me a little bit
7 about science--is we are using H&E histology.

8 This is the gold standard of pathology. I
9 don't care how many immunocytochemistry studies you
10 see, H&E is what medical pathologists use to
11 evaluate an outcome of a case. It is essential, it
12 should be included, I don't know why people don't
13 use it, but it is a great technique, I like it
14 although I didn't do very well in pathology.

15 Here is a good example of a section of the
16 ventricle of one of the injected dogs. What you
17 see is three areas here of hypercellularity. This
18 is the normal myocardium here as you zoom in. This
19 is at 4X or 40X, this is 100X and 400X, and we are
20 zooming in on this area right in here.

21 You see it is more hypercellular. What
22 you see in here is you see mononuclear cells,
23 rounded nucleus. You see some elongated nucleus.
24 You see fibrosis. You see some lytic lesions. I
25 looked at that as a cell biologist, and I said,

1 great, that's where my stem cells are, right?
2 Wrong. My pathologist looked at it and said, "Rick,
3 you have got a problem. Those are macrophages.
4 You have just produced a heart attack in this dog."
5 And I said, "Oh."

6 So, to verify that, these were CM dye/I
7 labeled cells zooming in. You can see that the CM
8 dye/I label is in the vicinity of this
9 hypercellularity, so both the MSCs and these
10 macrophages are here, but how do we know they are
11 macrophages?

12 Again, using canine-specific antibodies
13 that we have available in the vet teaching
14 hospital, this is an H&E section, this is a CD18
15 monoclonal antibody specifically raised against
16 canine macrophages. You can see you have punctate
17 lesions, it is very characteristic, and, indeed, to
18 confirm this, 7 days later, what we also see
19 characteristic of myocardial infarctions is
20 increased fibrosis and collagen deposition.

21 As you can see here, this is normal
22 myocardium, it stains very red in Masson's, and
23 what you see here is you see the blue here is
24 collagen fiber deposition. This is very
25 characteristic of myocardial infarction.

1 Five cardinal signs of MI are ECG changes,
2 we showed that; proteins released from damaged
3 myocardium, we showed that; decreased wall motion,
4 we did not see that. We did ultrasound, but I
5 don't know that we could. We have the
6 sophistication to measure wall motion. Myocardial
7 infarction is just not a common veterinary disease
8 that we see. Characteristic cellular infiltrates,
9 we saw that. Collagen deposition.

10 Basically, our conclusion is that at 0.5
11 million cells per MSC, will produce myocardial
12 ischemia, microinfarctions in these dogs.

13 Our original interpretation of this was
14 that this was a dose/rate of delivery problem. So,
15 our feeling was, because there really is not much,
16 is you critically look at the clinical studies out
17 there in humans, they have got doses all over the
18 place. It is very hard to extrapolate what the
19 dose is. That is why use milligrams per kilogram.
20 I would encourage anybody doing these studies to
21 use some sort of a normalization like that.

22 I would encourage the committee to require
23 it, so that you can start comparing, but more
24 importantly, what we did, just to give you an in
25 idea, is possibly post-injection cell clumping. We

1 didn't consider this as a possibility. We have
2 taken the holding media the cells were in, and the
3 injection media, to inject them into the cells.

4 At the end of 2 hours of holding them in
5 the holding media, I personally inspected most of
6 the dogs. We inspected the cells. None of the
7 cells are clumped, so we assumed clumping was not a
8 problem.

9 Just to give you guys a little bit more
10 gray hair in terms of your job as far as making
11 decisions, what we didn't do is we didn't do the
12 right control, and the right control was to put
13 these cells in 100 percent serum, because that is
14 what you are doing, you are injecting them into the
15 artery. From the time they leave that artery, they
16 are going into 100 percent serum.

17 We did that and the cells started
18 clumping. I don't know why. They didn't clump
19 when we pulled them out of the bone marrow because
20 they would have been clumped when we put them in
21 tissue culture dish.

22 They didn't clump then, but during the
23 process to 2 weeks of preparing them, sticking to
24 plastic, their adhesive properties had changed.
25 One dog, and I have heard several people talk about

1 fetal bovine serum, I don't know if it is known to
2 this group or not, Darlen Procoff [ph] last year
3 had an abstract, I don't think he has published it
4 yet, had an abstract where he looked at fetal
5 bovine serum in cells. It carries over, I believe
6 it was about a milligram per million cells if you
7 grow cells in FBS, at least with the MSCs, will
8 pinocytose and hold about a milligram per million
9 cells of fetal bovine serum.

10 It is not released by washing. These
11 cells were rinsed three times in Hanks' balanced
12 salt before they were injected. If you want to
13 remove the fetal bovine serum, you have to grow
14 them for at least 48 hours to get rid of it.

15 This may explain some of the early dieoff
16 of the myoblasts that you are seeing, if you have
17 got FBS in there, because you will get a reaction
18 from it.

19 Basically, this research team does not
20 feel that we are in a position to perform these
21 studies on client-owned patients at this time,
22 although that is our long-term goal.

23 What do we think is happening? What we
24 think is happening is, we think the cells are
25 coming in, we think they are clogging, producing

1 microinfarctions by clogging either a second or
2 third order arterial, and causing areas of ischemia
3 and microinfarctions.

4 Are there clinical techniques to detect
5 this? That is one of those things. Would you see
6 that, or does all the old imaging, does the modern
7 world ultrasound pick up something like that? I
8 don't think so. So, that is why I am saying
9 histology is pretty important.

10 How do our cell preparations compare?

11 DR. RAO: Dr. Vulliet, we would like try
12 and make sure that we stay on time.

13 DR. VULLIET: Sure. Let me finish this
14 because that is actually what I think we are more
15 interested in.

16 This is bone marrow from canine bone
17 marrow. As you can see, you have got metas and
18 milas and bands, and that kind of stuff. This is
19 canine MSCs. Our cell size is about 19 microns, 20
20 microns. Mean cell size on this population,
21 because they are much smaller, is 10 to 12 microns.

22 More importantly, look at this. The range
23 on these, again, these are characteristic things.
24 These are done in dog, they don't publish them in
25 humans. Range is 7 to 50 microns, okay, and it

1 could go even go higher, go as high as 80.

2 Plasticity in this population of cells,
3 this is probably being generous, saying it is about
4 0.01 percent. If you take the canine population of
5 cells, and you go through a CFU selection process,
6 you can get plasticity on the order of 40 to 60
7 percent.

8 If you are starting to compare potency,
9 potential potency between these two preparations
10 of cells, this preparation of cells, after it went
11 through a CFU selection process, would be about
12 4,000 to 40,000 times more potent than just crude
13 bone marrow in terms of plasticity potential, if
14 you will.

15 Intrinsic properties of these cells, I
16 don't know. These cells have a tendency to lay down
17 collagen if you just leave them sitting in a tissue
18 culture dish.

19 Successes for safety studies,
20 therapeutics, efficacy studies need to be done in
21 well characterized model diseases and patient
22 diseases.

23 What I would like to do is leave you with
24 a couple of philosophies. We believe that bone
25 marrow stem cells have potential to treat many cell

1 loss diseases, especially the myocardium, and we
2 will continue using these even in spite of the
3 negative report. We believe this is a technical
4 problem. We believe we will solve it by adjusting
5 the dose and a few other things.

6 However, strict scientific disciplines are
7 necessary to avoid a train wreck. As Doris Taylor
8 said, we do not want to repeat the gene therapy
9 trial.

10 The other thing I can give you is those of
11 us who used to have gray in our hair, and now I
12 don't have hair, is the comment, when clinicians
13 read their own press clippings, patients are going
14 to suffer.

15 Thank you for your attention.

16 [Applause.]

17 DR. RAO: Thank you, Dr. Vulliet.

18 Our next speaker is from Genzyme. Let's
19 try and restrict the time to about 10 minutes.

20 MR. DU MOULIN: It is very tough being the
21 last speaker of the day, but it is absolutely worse
22 being the last speaker of the day and following a
23 vet who talks about puppies.

24 [Slide.]

25 Good afternoon. My name is Gary C. du

1 Moulin. I am vice president of Quality Systems for
2 the cell therapy operations at Genzyme Corporation
3 in Cambridge, Massachusetts.

4 Genzyme Corporation is collaborating with
5 Professor Philippe Menasché of Paris, France, in a
6 multicenter, Phase II/III clinical study autologous
7 skeletal myoblast implantation in Europe.

8 [Slide.]

9 Ensuring the therapeutic success of
10 cardiac cell therapy is predicated on a rigorous
11 scalable autologous cell culture program based upon
12 the principles and practices of good manufacturing.

13 Our long experience with the scale-up and
14 delivery of cartilage and keratinocyte-based cell
15 therapy products and services to thousands of
16 patients has confirmed that each element of good
17 manufacturing practices contributes an essential
18 part of an overall program that optimizes chances
19 of providing cell therapy products expressing the
20 attributes of safety and consistent quality for
21 patients.

22 Controls required for the manufacturing
23 process begin at the collection site of the muscle
24 biopsy and ends approximately three weeks later as
25 the suspension of cells exhibiting quality and

1 safety characteristics that once implanted can
2 consistent initiate a robust repair process.

3 Maintaining the sterility of the cell
4 culture system and ensuring lot segregation are
5 critical attributes of success.

6 [Slide.]

7 All the elements of the GMP-based
8 manufacturing program are essential in order to
9 control the inherent variability representative of
10 autologous cell culture. Briefly, these controls
11 must be established based upon the following
12 aspects of GMP.

13 These include, and they are listed here, a
14 process that is validated, personnel who are
15 trained and certified to manipulate cell safely, an
16 appropriate facility expressing stringent
17 environmental controls, records and documentation
18 of all processes conducted, equipment that is
19 calibrated and validated, raw materials that have
20 been tested for their quality, accepted formally,
21 and released into the manufacturing stream. Unique
22 to an autologous cell process is to maintain
23 stringent patient lot segregation.

24 [Slide.]

25 This is a photograph of our sole

1 manufacturing facility. It is approximately 10,000
2 square feet, contains about 70 biosafety cabinets
3 in which individual patient's tissues are
4 manipulated, but other organizational requirements
5 necessary beyond the GMPs include these elements
6 here, beyond the manufacturing, a purchasing
7 element, materials handling element, logistics for
8 the shipment of cells, customer care for
9 communicating with the surgeon and the patient,
10 engineering and facilities to maintain your
11 facility, manufacturing technical services
12 responsible for the training of personnel, process
13 development, and clinical manufacturing, a
14 formalized quality assurance, quality control, and
15 validation services program.

16 [Slide.]

17 In order to scale up manufacturing
18 activities for a clinical development paradigm, an
19 organization must be created to effectively manage
20 a myriad of direct and ancillary responsibilities,
21 but here, quality controls including environmental
22 monitoring for the manufacturing facility are
23 critical components of the operational elements of
24 cell therapy productions.

25 Robust testing programs ensures--and I

1 have listed them here--that cell therapy products
2 meet the highest standards of safety,
3 effectiveness, and reliability as a therapeutic
4 modality, that transmission of communicable
5 diseases is prevented, that one ensure that all
6 manufacturing and processing controls are in place
7 and consistently followed, that there is compliance
8 with existing and anticipated regulatory
9 requirements, that validated assays are performed
10 which support lot release and performance
11 monitoring of materials and components, and,
12 finally, encouraging the development of new assays
13 which enhance product safety, and, finally,
14 generating and analyzing that data, the
15 quantitative data to support continuous
16 improvements to your process.

17 [Slide.]

18 Putting these concepts together, a
19 manufacturing process whose key manufacturing
20 events from biopsy receipt through final product
21 fill finish are well understood, can optimize the
22 ex vivo cell culture process, and supported by
23 validated quality controls can ensure safety and
24 product consistency.

25 [Slide.]

1 We believe that cell product
2 characterization is possible based upon validatable
3 measures of viability, purity, identity, and yield,
4 with safety indicators of sterility, endotoxin in
5 the absence of mycoplasma.

6 Here are shown the percent viability and
7 percent CD56 expression of three cell therapy
8 products prepared during process validation studies
9 from cadaveric skeletal muscle tissues.

10 Included in these data is evidence of cell
11 product stability over a 72-hour time frame, one
12 reasonably to be expected if transportation of the
13 cells over a long distance to the patient is
14 required. Note that the lot release parameters
15 remain stable over 72 hours.

16 Viability and CD56 expression, both flow
17 cytometric and validatable measures of cell product
18 identity can be consistently maintained above the
19 90 percent range.

20 [Slide.]

21 Measures of sterility and potency are
22 shown in this slide. It is crucial to ensure that
23 sterility is maintained throughout the
24 manufacturing process. In the case of short shelf
25 life cell therapy products utilizing automated

1 microbial detection systems can provide benefits to
2 improve time to detection should microbial
3 contaminants be present.

4 Finally, in order to demonstrate the
5 potential for a therapeutic effect, the presence of
6 myotubule formation can be used as a measure of
7 identification and perhaps potency. Myoblasts are
8 undifferentiated muscle precursor cells which, when
9 fused, become the differentiated myotubules. The
10 presence of multinucleated muscle cells is a strong
11 visual indicator that muscle differentiation has
12 occurred and may be an important predictor of cell
13 function.

14 In these photographs, one can readily see
15 multinucleated myotubules indicated by the yellow
16 arrows from freshly prepared samples and in cells
17 after a simulated 72-hour shipment period.

18 [Slide.]

19 In conclusion, quality, safety, and
20 effectiveness must be designed into cell therapy
21 products. Quality cannot be inspected in or tested
22 into cell therapy products. Despite the fact that
23 we may, at this time, not know every aspect of cell
24 product characterization, institution and
25 maintenance of stringent manufacturing controls

1 through rigorous observance of GMPs can contribute
2 to the safety and consistency necessary for the
3 production of cell therapy products intended for
4 cardiac cell therapy.

5 Developers of cell therapy products must
6 consider, understand, and incorporate quality
7 requirements at the earliest possible stages of the
8 clinical development program, and in so doing, can
9 optimize the therapeutic potential of these
10 promising technologies.

11 Thank you.

12 [Applause.]

13 DR. RAO: Thank you.

14 If there are no questions, I would like to
15 ask if anybody else from the audience wishes to
16 make any comment at this time. I am going to ask
17 that they limit their comments and be brief.

18 [No response.]

19 DR. RAO: If there are no more comments,
20 then, I can declare the meeting adjourned.

21 [Whereupon, at 5:46 p.m., the proceedings
22 were recessed, to reconvene at 8:00 a.m., Friday,
23 March 19, 2004.]

24

- - -

C E R T I F I C A T E

I, **SONIA GONZALEZ**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



SONIA GONZALEZ