

1 of the study design and an agreement over how the
2 study should be conducted.

3 In the case of sinusitis, there is also a
4 document -- a points to consider document that was
5 issued in 1998 which describes the body of evidence
6 that is required for demonstration of efficacy of
7 an antibiotic in this setting, and that is the
8 existing guidelines today. There have been no
9 published changes.

10 There may be ICH guidelines that describe the
11 preference of superior studies, but within the
12 context of anti-infectives, there s a very clear
13 and established paradigm that we have undertaken to
14 follow.

15 The second point I d like to make is I would
16 respectfully disagree that the decision taken here
17 is not without consequence to other sponsors. We
18 believe there are other sponsors who ve conducted
19 sinusitis trials, those also of non-inferiority
20 design, and they, too, presumably would be impacted
21 by a decision taken here.

22 The third comment I would make is the FDA do

1 have an opportunity, if they wish to change the
2 goal posts, when a sponsor comes for an end of
3 Phase II meeting and is embarking upon a Phase III
4 trial program, to outline a different expectation
5 that indeed placebo controlled trials are required
6 and indeed help the sponsor in the design of those.

7 So there is an obvious inflection point to
8 make a decision to change the rules and to change
9 the guidelines.

10 The final point I'd like to make is I
11 understand entirely the difficulty of grappling
12 with what is the magnitude of the treatment effect
13 for all antibiotics in the setting of sinusitis.

14 But I would submit to you, though that's a
15 question we clearly need to answer, but that a
16 non-inferiority trial, where the delta that we show
17 in the confidence intervals and the response -- and
18 by the way, the per-protocol analysis is the
19 primary endpoint for our trials, not the ITT
20 population -- that we demonstrated that the delta
21 of the trials measuring the per-protocol, and in
22 most instances, the ITT, was actually under 10%, so

1 that we can reasonably expect that our drug was not
2 inferior to the comparators.

3 It s another question, how big the therapeutic
4 effect is of those comparators. But I respectfully
5 submit that s a different question to whether we
6 can demonstrate in non-inferiority studies our
7 equivalence to other agents in this indication, and
8 this is the current guideline. Thank you.

9 DR. EDWARDS: Okay. Thank you. All right.
10 The -- okay, I have no one on deck. What I believe
11 I d like to do now is unless there is an
12 overwhelming feeling one way or the other, we re
13 not going to take a vote at this moment on the
14 efficacy unrelated to the safety issue.

15 I m not sure how we can convey other
16 information to you all regarding the consensus of
17 the panel centered on efficacy evaluation, and I m
18 open to any suggestions that you might have.

19 What I think I d like to do -- let me
20 continue, and then I ll come to you -- is we want
21 to have plenty of time to preserve for discussion
22 of safety issues this afternoon.

1 I m contemplating taking a 15-minute break at
2 this moment and then moving on into the safety
3 issue with follow-up of any other discussion we
4 might have on efficacy preceding the safety issue,
5 but I need to have enough time for our safety
6 evaluation.

7 I m sorry? Well, we re going to -- we re
8 planning to end at 5:00, Carol, and we ve been and
9 hour and a half, and I think people are going to
10 need to take a break, a 15-minute break.

11 Dr. Townsend, did you have another -- I m
12 sorry.

13 DR. WIEDERMANN: Bud Wiedermann.

14 DR. EDWARDS: Did you have another comment?

15 DR. WIEDERMANN: Well, I was just going to -- I
16 had written down a possible question to see if it
17 would help the FDA in their question, or maybe you
18 in your question, to try to separate out just the
19 efficacy data. If safety were not a concern,
20 should gemifloxacin be approved for the five-day
21 treatment of patients with acute bacterial
22 sinusitis?

1 I guess that wording would allow each
2 committee member to decide what they think about
3 the non-inferiority controversy, and give some
4 sense of where the group lies in that.

5 DR. EDWARDS: I m sorry, I --

6 DR. WIEDERMANN: But if you don t want to do a
7 separate efficacy straw man pull (phonetic), then
8 that s fine, too.

9 DR. EDWARDS: Okay. Dr. Townsend, did you have
10 a comment?

11 DR. TOWNSEND: I ll try to make this short. A
12 couple people on the panel have made the comment --
13 and this goes -- alludes to something Dr. Temple
14 said a few minutes ago -- that -- so regardless of
15 what the clinical trials have shown, that in vitro
16 data are convincing enough that they feel
17 comfortable that this drug would be efficacious for
18 the treatment of acute bacterial sinusitis.

19 I just want to say I m pretty uncomfortable
20 with that approach. If all we need are in vitro
21 data, there s really not much point in doing
22 clinical trials, at least for efficacy. We can

1 just say it s good enough. That s my point.

2 DR. EDWARDS: Okay. I d like to resume at
3 2:10. Oh, I m sorry, we ll make it 2:15. Oh, I m
4 sorry, 3:15. I m on the wrong time zone.

5 (Off the record 2:55 p.m.)

6 (On the record 3:15 p.m.)

7 DR. EDWARDS: We re going to go and resume,
8 then. Again, I just wanted to mention that this
9 meeting is scheduled to go to 5:00, and I do not
10 have plans to end it before 5:00, but I have plans
11 to not end it after 5:00, and I really feel that
12 this is such an important meeting that we shouldn t
13 be trying to rush through it, truncate the
14 discussions, and terminate early for the
15 convenience of a minority of individuals.

16 So 5:00, we re going to be finished, but I m
17 not anticipating being finished before that, unless
18 things really start flowing in a different way than
19 they have so far.

20 All right. I am now going to do something
21 that is a hybrid of the vote, and I -- what I
22 wanted to do is go around to each of the voting

1 members and ask them to give a succinct answer to a
2 question, but the answer does not have to be yes or
3 no. The question is, I would like you to comment
4 on the evidence that efficacy has been demonstrated
5 for acute bacterial sinusitis in a five-day
6 treatment regimen, based on the data that has been
7 presented.

8 So I want a comment on -- let me word it this
9 way. Do you feel that efficacy has been
10 demonstrated based on the data that has been
11 presented for a five-day treatment of ASB (sic)
12 with gemifloxacin?

13 If I could just have the answers succinct. It
14 doesn't have to be a long answer, and again, it
15 doesn't have to be a yes or no answer. So, Jackie,
16 you get the privilege of going first.

17 DR. GARDNER: No.

18 DR. EDWARDS: No? Any further discussion?

19 DR. GARDNER: It's difficult for me to consider
20 it in the absence of a -- the safety data, but if
21 it's standing out as -- however it's being
22 evaluated and presented to us, I would have to say

1 that the answer is not to my satisfaction.

2 DR. EDWARDS: Okay. Again, this is not a
3 safety consideration, just the efficacy. Marian?

4 DR. GUTIERREZ: I believe that based on the
5 standard by which this study was done and by which
6 other studies of antimicrobials have been done,
7 that yes, it appears to be effective. Can there be
8 better ways of looking at this information? I
9 think that s also a yes, but my answer is yes, as
10 it stands.

11 DR. EDWARDS: Rich?

12 DR. FROTHINGHAM: Yes.

13 DR. EDWARDS: John?

14 DR. BRADLEY: By the new FDA criteria, which
15 look at the delta, with current data, knowing that
16 this -- that the current delta may actually make
17 this drug no better than placebo, the answer would
18 be no. Based on the old criteria, though, it would
19 be yes.

20 DR. EDWARDS: My answer would be virtually
21 identical to John s, based on the non-inferiority
22 trial consideration issues which have been so

1 extensively reviewed over the last several years.

2 Carol?

3 DR. KAUFFMAN: I actually don't think the data
4 are strong enough. In my heart of hearts, I
5 thought it was going to be good coming in, but the
6 more I thought about it and went through it, I d
7 have to say no.

8 DR. EDWARDS: Yes?

9 DR. TUNKEL: My answer is yes.

10 DR. TOWNSEND: No.

11 DR. HILTON: No.

12 DR. PORETZ: Yes.

13 DR. BIGBY: With the caveat that I don't treat
14 patients with bacterial sinusitis, but I do look at
15 a lot of evidence, I would say no.

16 DR. WONG-BERINGER: No, by the evolved
17 standard.

18 DR. EDWARDS: Peter?

19 DR. GROSS: I would give a similar yes and no.
20 Yes, based on the old standards; no, based on the
21 new. And I would want to know whether the company
22 definitely was not told, when they got into the

1 Phase III studies, that the rules had changed.

2 DR. EDWARDS: Yes?

3 DR. WIEDERMANN: No.

4 DR. EDWARDS: Sohail, could we have a --
5 hopefully, you recorded that. So we just --

6 DR. MOSADDEGH: Yours (inaudible) question
7 mark, you both went both ways, but we have one --
8 four yeses.

9 DR. EDWARDS: Okay, four yeses.

10 DR. MOSADDEGH: Was yours a no or a yes?

11 DR. EDWARDS: No.

12 DR. MOSADDEGH: No? Okay. Ten nos.

13 DR. EDWARDS: And 10 nos. Do we have any
14 further discussion about the comments we all just
15 made? If not, I d like to turn the discussion now
16 into the committee s feelings about the efficacy
17 data -- I m sorry, the safety data. Now, we have a
18 different topic.

19 Rich, would you like to start off?

20 DR. FROTHINGHAM: Yes, thank you. I have a few
21 comments that I ve sort of typed up here, sort of
22 summarizing my feelings about the safety. I came

1 in this very skeptical and was particularly noting
2 that 82% of the AERS database reports were related
3 to rash. What really impressed me, though, was the
4 straightforward acknowledgment by the sponsor that
5 the rates of rash with this drug are higher than
6 the comparators, and I never heard any equivocation
7 about that statement. That helped me a lot.

8 I also was very impressed with the 344 study,
9 particularly the FDA presentation of it, where the
10 same worst-case scenarios pictures were presented,
11 and they weren't very bad. So I was left with the
12 conclusion that, one, the skin adverse effect is
13 much more common for gemifloxacin than for the
14 comparators; however, this adverse effect appears
15 to be balanced by an overall good safety profile
16 in other areas.

17 For a quinolone to be addressed under modern
18 standards, it seems to be holding up under a lot of
19 other areas that are troublesome for quinolones in
20 general. I found the results of 344 to be
21 reassuring regarding the mild nature of the rashes
22 overall and the complete reversibility of those.

1 So my conclusion there was that I was happy
2 with the risk-benefit profile, something of a
3 surprise to myself. However, I did want to say
4 that whether or not this indication is approved by
5 the committee as a whole -- I mean, by the FDA,
6 after the advice of the committee as a whole --
7 that the package inserts should be modified
8 radically.

9 The sponsor indicated that the current patient
10 information section -- which, if you want to look
11 at it, is in sponsor booklet Appendix 2, Pages 30
12 to 32, the very end of your sponsor booklet. The
13 sponsor indicated that this patient information
14 section emphasizes rash as an adverse effect, and
15 this is certainly not the case.

16 Under Who should not take Factive, for
17 example, rash is listed third, and it is not
18 bolded. Secondly, under What are the possible
19 side effects of Factive, rash is discussed in the
20 third sentence and again, is not bolded. Under
21 Serious side effects, QT intervals, CNS problems,
22 tendon problems, and phototoxicity are listed,

1 which don t seem to be big issues for this drug,
2 but rash is not described at all under Serious
3 adverse side effects.

4 I really think this should be rewritten, and
5 although I find the safety profile to be
6 acceptable, there should be an extreme emphasis on
7 rash, a statement of rash is the big deal here,
8 stop the drug if you get a rash, educate your
9 patients about rash.

10 Similarly, the main text of the PI does not
11 provide a complete discussion of the rash adverse
12 event, or emphasize it. Rash does not appear in
13 warnings, whereas these other adverse effects that
14 don t appear to be a problem are, so it s a totally
15 flipped-around package insert. It should appear
16 under warnings, and that discussion should be
17 bolded.

18 The PI should, again, and the package inserts
19 could clearly indicate that rash is more common for
20 gemifloxacin than for comparators. That statement
21 which we heard so clearly today is not stated in
22 the package insert. It should emphasize patient

1 education on this topic, especially on the stop the
2 drug if you have a rash message, and all of this
3 should be bolded.

4 If that happens, I m pretty happy with the
5 safety profile overall. I just want to see the
6 emphasis on rash clearly demonstrated in the
7 package insert in the education process and in the
8 detailing to physicians.

9 So those would be my comments, and I guess I m
10 jumping the gun here in giving my yes answer to the
11 question that was up on our screen there.

12 DR. EDWARDS: Yes, Jackie?

13 DR. GARDNER: I don t agree that the data
14 seemed to show a good safety profile, regardless of
15 the severity of the rash.

16 The incidence of it or the prevalence of it is
17 sufficient and also, of the condition, by the
18 sponsor s estimate, five to 20 million cases of
19 acute bacterial sinusitis annually, this suggests
20 that at maximum, with widespread advertising of the
21 product, as there would be, I expect, for this
22 indication, that there would be tremendous exposure

1 in the -- particularly in the age -- and we might
2 expect particularly in the age groups in which rash
3 is most prevalent.

4 I think that the seriousness of the rashes is
5 one issue, but also, the consequences of having a
6 rash, we should not ignore. As Dr. Gutierrez
7 mentioned, the -- what are rash signals, in terms
8 of interventions, and the implications of that; the
9 fact that it s more prevalent in women, without an
10 explanation for that; and then just in general, a
11 promotional effort for what may be inappropriate
12 therapy in a number of cases, in light of a
13 resistance developing.

14 I don t feel the safety profile is a good one.

15 DR. EDWARDS: Carol? Yes, Carol Kauffman?

16 DR. KAUFFMAN: So I m concerned, actually, in
17 terms of the disconnect between the study done in
18 healthy women and the rashes which were described
19 as being pretty inconsequential -- and certainly,
20 the pictures we saw, most of them weren t too bad,
21 although some were bad macular papular rashes --
22 and then the AERS data, where it looks like, in

1 fact, they may be more severe.

2 Now, realizing the AERS data are flawed, but
3 if anything, they re flawed toward people not
4 reporting things, and so -- go around the room and
5 ask how many times you sent in a MedWatch, I m sure
6 we ve seen lots of complications and haven t
7 bothered to do a MedWatch because we re so busy

8 doing other things. So I suspect it may be even more
prevalent out there in the real

9 world when it s used, and I keep thinking can the
10 company do something to make doctors use this
11 correctly so the risk is decreased, and I m
12 concerned about that, seeing how quinolones are
13 used in the real world, not at all like we do in
14 academics and certainly not like we do at the VA,
15 where we pretty much restrict them in many ways.

16 So I don t think you can control what regular
17 docs do. I think the treatment will be given for
18 longer period of time than indicated, and I think
19 the rash is going to be a significant problem, so
20 I m concerned.

21 DR. EDWARDS: Did you have -- yes, Joan?

22 DR. HILTON: I m also pretty concerned about

1 the rash. Whereas it s been presented as being a
2 relatively mild problem, the idea that the duration
3 is two weeks on average, having -- the thought of
4 having such a rash for two weeks, to me, is pretty
5 scary and awful, and I certainly would -- if I had
6 it, would never take that drug a second time. And
7 I -- anyway, I ll stop there.

8 DR. EDWARDS: Did -- an average of two weeks?
9 I m not sure that I know where -- okay, thank you.
10 Yes, Dr. Poretz?

11 DR. PORETZ: I agree with everyone about the
12 rash. I think that s going to be the major
13 limiting factor. I think it s going to be -- as
14 the drug gets marketed, you re going to see in
15 absolute numbers a significant increase in rash.
16 Some may be worse than others.

17 It will lead to going back to the doctor or
18 the nurse practitioner or the P.A. who are
19 prescribing the drug, and I think they will be --
20 in addition, to be -- overusing the drug, and I
21 think it will allow people to be labeled as being
22 allergic to all quinolones, as commonly happens

1 now. When someone s on one quinolone, they just
2 said they can t take any quinolones, so when they
3 may need a quinolone, they won t have access to it.

4 I think the cost of health care will
5 increasingly increase because of the rash. That s
6 my major problem.

7 DR. EDWARDS: Dr. Bradley, it s now time for
8 you to ask Dr. Bigby the question that I deferred.

9 DR. BRADLEY: Well, I forgot all the details of
10 my question, and that s probably good, but the
11 essence was we had reassurance by Dr. Shear in 2003
12 with the data we had, your reluctance, and has the
13 new data that s accumulated made you feel more
14 comfortable about the safety?

15 DR. BIGBY: Actually, one of the things I did
16 was I went back and looked at the transcript from
17 2003, because I wasn t sure that I actually agreed
18 with you that I was so reluctant at the time. So
19 this is what I said when we were asked to vote
20 about it before, and that was that I think that the
21 drug will have a high rate of producing rashes -- I
22 think they re predominately minor in type -- but

1 that it shouldn't preclude being marketed. I
2 thought it should have a warning about high rates
3 of rashes, particularly in pre-menopausal women.

4 I certainly have heard nothing at this meeting
5 that would make me more reassured about the safety
6 of the drug, and, in fact, probably the opposite is
7 true.

8 DR. EDWARDS: Other comments about safety, in
9 general? Peter?

10 DR. GROSS: Yes, I think -- I guess you have to
11 wait until you review their revised application,
12 but there probably should be some warning in there
13 about not taking the drug for longer than five
14 days. I know a number of ENT physicians in our
15 area often recommend long courses of antibiotics,
16 and repeated courses for sinusitis, and that should
17 not be done with Factive.

18 DR. EDWARDS: Other comments? John?

19 DR. BRADLEY: This came up a little earlier.
20 Dr. Ferguson had mentioned that she probably
21 wouldn't use this drug as first-line therapy for
22 acute bacterial sinusitis. In the package

1 labeling, I m wondering if there s a way to reflect
2 the fact that, given the higher rash risk profile,
3 if -- assuming the drug were approved, that there
4 could be some way just to suggest that this would
5 be second-line therapy.

6 I guess that would be more a question to the
7 Agency.

8 DR. COX: Yes, I mean, with --

9 DR. EDWARDS: Yes, Ed?

10 DR. COX: I m sorry. With labeling, one can
11 recommend particular patient groups or if there are
12 particular risk-benefit considerations that would
13 make it more appropriate for one -- use in one
14 group than another, then certainly, your comments
15 on that would be helpful.

16 DR. EDWARDS: Dr. Tunkel?

17 DR. TUNKEL: Yes, just a question, a follow-up
18 to something the sponsor mentioned. You had
19 mentioned a fixed-dose pack? So in other words,
20 would that mean that if anyone ordered
21 gemifloxacin, they couldn t order more than five
22 doses?

1 DR. PATOU: A physician clearly has the ability
2 to write a prescription for any length of therapy.
3 What we looked at in 2003, proposed to the
4 committee at that time, was that a fixed-dose pack
5 would lead to a better compliance.

6 What we've shown in our risk -- our drug
7 utilization study is that that's the case. It is
8 likely that people prescribed the drug for longer
9 periods of time will end up incurring a second
10 co-pay for an additional course of therapy, because
11 a course of therapy is defined as a single fixed
12 pack of drug.

13 The other point I wanted to just sort of
14 mention, if I may, related to that is that the FDA
15 are reviewing a five-day treatment claim for
16 community acquired pneumonia, and at the moment, we
17 have a sort of duality of use of the drug, in terms
18 of -- in the marketplace, in terms of five and
19 seven-day durations of therapy.

20 We think that by -- if -- I mean, the FDA are
21 reviewing this currently, but there is the
22 potential here that we could move the whole

1 franchise to a five-day course for all indications,
2 make it much simpler for the physician, reduce the
3 consequence of a higher rate of rash with longer
4 courses of therapy, and create a considerable
5 impediment, if you will, to those sustained
6 durations of therapy.

7 What we've seen already in our sinusitis
8 patients in that risk minimization study is they
9 are not getting longer durations of therapies or
10 more refills. So we actually have, even though
11 it's sort of off-label, but we have that usage data
12 showing there isn't prolonged therapy with this
13 agent in that setting. Thank you.

14 DR. EDWARDS: Marian, would you comment on the
15 rash issue, or on safety in general?

16 DR. GUTIERREZ: Okay. I think I agree with a
17 lot of the other comments that have already been
18 made, and an earlier comment that I made. One of
19 the things that I am concerned about, I realize
20 that all of us in this room are very well-educated
21 about this product, but I know that when these
22 products enter into the community to people who

1 aren't as familiar with all of the issues around
2 them, I don't know that rash will be a huge concern
3 on their radar screen.

4 I guess I'm just -- I think that Dr. Ferguson
5 had mentioned that she counsels her patients about
6 what type of antibiotic they would like to be on
7 and the duration, etc., and I wish all physicians
8 could do that and could explain the risks and
9 benefits of all antibiotics, but I know that even
10 with a lot of education, that that, in the real
11 world, just doesn't happen very often, and that's
12 my concern.

13 DR. EDWARDS: Dr. Wiedermann?

14 DR. WIEDERMANN: I don't think I have anything
15 new to add. I think in terms of serious cutaneous
16 reactions, it's going to take it looks like years
17 of post-marketing to get a handle on that. It's
18 just these are uncommon events and even if they're
19 happening at increased frequency, it takes time to
20 know that information.

21 So I think I'm stuck, like I was saying
22 before, that in terms of minor side effects, drug

1 rashes are more problematic than other drug side
2 effects, because I think they re more likely to
3 precipitate a lot of tests and treatments that, in
4 themselves, can cause even more problems. So
5 that s my primary concern with what appear to be
6 minor cutaneous side effects of this drug.

7 DR. EDWARDS: Rich, please?

8 DR. FROTHINGHAM: Oh, thank you. Several
9 people have mentioned the issue of labeling a
10 patient as quinolone allergic based on this rash,
11 and that would ve been my logic, too. I mean, I
12 think I would label such a patient in that fashion
13 and not use the drug unless I had to.

14 We do have some conflicting data from that,
15 though, from the sponsor s booklet, from the
16 observational data and the patterns of use study,
17 which is Appendix 1, and I should mention this is
18 an ongoing study, so it s not completed. But on
19 Page 10 of Appendix 1, they do provide us with some
20 data on what happened to the people who got rashes
21 with gemi.

22 They noted in that study that there were 4,763

1 patients who did not have a rash with gemi and of
2 that group, 18% received another quinolone
3 afterwards. Then they go on and talk about what
4 happened to those patients. But that s 18% of
5 those who had a rash with gemi, 147 patients, 21 of
6 them received another quinolone, or 14%.

7 So 18% to 14%. The P value was 0.23. There
8 s no difference in the subsequent use of quinolones
9 in the patients who had a gemifloxacin rash. I m
10 not sure that represents good practice, but it may
11 represent real world, what people actually do in
12 the real world.

13 DR. EDWARDS: Are there other comments
14 regarding the safety issue, either related to rash
15 or other safety concerns or issues? Okay. Dr.
16 Albrecht, now, we could engage in other discussions
17 that would be of advantage to you all in your final
18 deliberations.

19 DR. ALBRECHT: Before we do that, I just wonder
20 if we could actually get a count on the safety
21 discussion, or did we get definitive enough
22 answers?

1 DR. EDWARDS: Would you like us to a similar
2 thing which we did with the efficacy issue?

3 DR. ALBRECHT: I think that would be useful.

4 DR. EDWARDS: Okay. So again -- yes, Dr.
5 Bibgy?

6 DR. BIGBY: In that regard, I mean, you already
7 have 10 votes about efficacy. Maybe we should just
8 ask the original question and just go around and
9 give an answer to the original question. That
10 might --

11 DR. ALBRECHT: That would be fine.

12 DR. BIGBY: Yes.

13 DR. ALBRECHT: And then maybe afterwards, if
14 there are some remaining questions.

15 DR. EDWARDS: So should we -- is it your
16 preference, then, that we do the vote now related
17 to the questions that you submitted to us in
18 advance?

19 DR. ALBRECHT: Why don t we go ahead and move
20 to that, if the committee --

21 DR. EDWARDS: Okay. Sohail, do you have a
22 projection of the question specifically? Okay. So

1 we will -- would you like us to entertain the
2 second component of that question if we go through
3 each of the panel members which is, if no, what
4 other information would be required?

5 DR. ALBRECHT: We would. I don't know if you
6 want to do them in sequence or contiguously.

7 DR. EDWARDS: Okay. I think maybe what we'll
8 do is we'll do them individually.

9 DR. ALBRECHT: Okay.

10 DR. EDWARDS: So we'll start at the other end,
11 Dr. Wiedermann, this time. This is the question,
12 and at this point, we need a yes or no vote.

13 DR. WIEDERMANN: No.

14 DR. EDWARDS: Dr. Wong?

15 DR. WONG-BERINGER: No.

16 DR. BIGBY: No.

17 DR. HILTON: No.

18 DR. TOWNSEND: No.

19 DR. TUNKEL: Yes.

20 DR. KAUFFMAN: No.

21 DR. EDWARDS: No.

22 DR. BRADLEY: By the new criteria, if it's not

1 effective, safety is not an issue, so I would have
2 to vote no.

3 PARTICIPANT: And then by the old
4 criteria?

5 DR. BRADLEY: By the old criteria, I would say
6 yes, with qualifications for the patients that
7 would receive it. I think older men with sinus
8 disease would be a perfect population.

9 But if efficacy, as we re designing it now, is
10 the way we re going to move forward, and that s for
11 the better of -- for better patient care, better
12 outcomes, less drug exposure, all those reasons.
13 Then if the drug s not effective, hasn t been
14 demonstrated to be effective, then safety is
15 irrelevant.

16 DR. FROTHINGHAM: My answer would be yes, with
17 some strong caveats to do with the patient
18 information, package insert and programs to educate
19 physicians, and I will discuss those caveats the
20 next time we come around, because I think that
21 relates to the second and third questions. So in
22 any case, it s a yes with conditions.

1 DR. GUTIERREZ: My answer is no.

2 DR. GARDNER: No.

3 DR. EDWARDS: So that was two yeses, I believe?

4 PARTICIPANT: Two yeses, 12 nos.

5 DR. EDWARDS: Twelve nos?

6 DR. ALBRECHT: So perhaps at this point, the
7 yeses could talk about caveats as in the second
8 corollary, and the nos could also address that.

9 DR. EDWARDS: So Dr. -- let s see. Jackie, I
10 guess we ll start with you on this.

11 DR. GARDNER: I was a no.

12 DR. ALBRECHT: Sohail, could you advance the
13 slide? So this would be the question to address by
14 those who voted yes to the first question. Then
15 there s a second question for those who voted no.

16 DR. EDWARDS: Okay, right. Okay. So Rich, I
17 believe you had several comments.

18 DR. FROTHINGHAM: Yes, I have quite a few
19 comments about where I think this -- the use of
20 this drug should go forward. First of all, based
21 on the comparisons in the sponsor s booklet and
22 also, in the FDA information about the estimates of

1 gemifloxacin utilization, it appears that the
2 majority of courses of therapy were provided by
3 samples to physicians offices.

4 This is a particularly concerning pattern of
5 distribution of a drug, because it often means that
6 individual providers who don t know much about the
7 drug choose it because it s on the shelf and just
8 distribute it.

9 So because this drug has a unique adverse
10 effect profile, although I think it s an acceptably
11 safe drug, I think that a specific program needs to
12 go forward that would educate providers and in my
13 mind, sampling should probably be suspended for
14 this drug unless it is accompanied with some type
15 of formal education of physicians that this is a
16 unique and unusual adverse effect with this drug.

17 That could come in the form of the printed
18 material that was provided by the sponsor of the
19 drug, something that, for example, a physician
20 would sign indicating, Yes, I m aware that this
21 drug has a unique adverse effect profile which is
22 different from other quinolones, and that I will

1 advise my patients accordingly, and that I
2 understand five days is the limit on the duration
3 of therapy that is safe for this indication.

4 We have examples of those programs for other
5 drugs which have unusual safety profiles, and in
6 general, I m generally speaking uncomfortable with
7 physician sampling for any drug that has an unusual
8 adverse event profile. Personal experience in
9 seeing people who received samples of other drugs
10 which had unusual side effects, which (inaudible)
11 presented for, I think review of the AERS database
12 will find a lot of those examples, as well.

13 So that would be my first recommendation, in
14 terms of risk management, that the sponsor should
15 initiate such a program. The literature should
16 clearly state that this is an unusual effect of
17 this drug, should clearly state five days is the
18 limit for this indication, should clearly identify
19 these are the risk groups and yes, I understand in
20 receiving these samples, I m going to communicate
21 that to my patients.

22 And then the second and third recommendations

1 for a risk management mechanism would simply be the
2 modifications that I mentioned before for the
3 patient information section and the PI.

4 I guess the main disconnect that I m seeing
5 here is the disconnect between what we received
6 today, which was a very frank and clear
7 description, gemifloxacin has a greater risk of
8 rash, and what s in the package insert,
9 advertising, and patient information sections which
10 is, yes, there s a rash, but nothing to suggest
11 that it s an unusual rate.

12 So I think if we bridge that gap, that would
13 be a way to promote this drug and use this drug
14 safely.

15 DR. EDWARDS: Yes?

16 DR. TUNKEL: The other reason I voted yes, and
17 I ll just add to that, is I m very concerned that
18 we have a drug that has already been concerned by
19 the FDA, and is being used by physicians for this
20 indication with really no understanding about what
21 the side effects may be.

22 I m more worried, if we don t approve it, that

1 we re going to have a drug out there where there
2 will still be no further education of physicians,
3 it will be used inappropriately, patients will not
4 be monitored, and I m more worried that not
5 approving the drug may have more of a negative
6 outcome.

7 I mean, in 2003, based on what I ve heard, I
8 might ve voted no, actually, but I think at this
9 point that we have the approved drug, those are my
10 reasons, in addition to what Rich has recommended,
11 that I voted yes.

12 DR. FROTHINGHAM: If I might just clarify,
13 these recommendations would apply regardless of the
14 sinusitis indication, so I would consider these to
15 be appropriate things for the FDA and the sponsor
16 to consider going forward, even with just community
17 acquired pneumonia and bronchitis, in which case it
18 would be five or seven days, but it would be -- the
19 education program needs to be there whenever
20 samples are distributed for any drug that has an
21 unusual safety profile.

22 DR. EDWARDS: Okay. Then for those who voted

1 no, what other information would be required?

2 We ll start with you, Dr. Wiedermann.

3 DR. WIEDERMANN: I think for efficacy, we re
4 now talking about superiority studies, and I think
5 particularly to have some bacteriologic data with
6 that, especially for multiply drug resistant
7 streptococcus pneumoniae, would be helpful.

8 As best I can tell for -- in terms of safety
9 issues, again, to get a handle on the more serious
10 cutaneous reactions, obviously, that s going to be
11 monitored in any clinical study, but it s really
12 going to take post-marketing surveillance of that,
13 which the company is already doing.

14 So I think the more information on that, the
15 better. I m not sure I want them to do more
16 hurdles in terms of some better study to figure out
17 how many patients were labeled allergic, for
18 example, and not just get a guess estimate, how
19 many were labeled allergic to the quinolone class,
20 things like that.

21 But as I say, I think that would be nice, but
22 it s difficult to get that data. It might be too

1 steep a hurdle based on -- when we re talking about
2 relatively minor cutaneous reactions.

3 DR. EDWARDS: Thank you. Dr. Wong?

4 DR. WONG-BERINGER: I would echo the same
5 points that Dr. Wiedermann had mentioned, but I
6 would also, considering a particular niche where I
7 see -- considering that there are other
8 fluoroquinolones out there, like moxifloxacin, for
9 example, that could be used in a drug-resistant
10 pneumococci -- perhaps to look at a niche where
11 looking at fluoroquinolone resistant strains in that
12 particular subset and see how gemi performs in a
13 clinical experience setting.

14 I would also add that from the safety side,
15 what I m not comfortable with or satisfactory with
16 the data is looking at fluoroquinolone experienced
17 patient population, not the healthy volunteers, but
18 in that enriched population, to see what kind of
19 rash incidence are we seeing?

20 Because we know fluoroquinolones, it s already
21 so widespread prescribed out there. It s hard to
22 not find someone who s been exposed to

1 floroquinolone, and in that setting, does it then
2 accelerate or amplify this risk of rash in those
3 populations?

4 DR. EDWARDS: Thank you. Yes, Dr. Bigby?

5 DR. BIGBY: I don't think I'm going to make a
6 comment about the efficacy arm, but in terms of
7 safety, post-marketing surveys are only as good as
8 the rigor with which the adverse reactions are
9 sought.

10 You read a lot of post-marketing surveillance
11 surveys where the incidence of side effects is
12 extremely low, way below what is actually occurring
13 in clinical practice, and I think it's because if
14 you don't look for things, you don't find them,
15 even though you keep track of the denominator.
16 think the post-marketing surveillance arm of whatever they
17 do should include sort of really active looking in
18 the numerator part of the equation.

So I

18 DR. EDWARDS: Thank you. Dr. Poretz?

19 DR. PORETZ: Not much to add, except to
20 continue post-marketing attempts to find out if
21 anything new or different is going to happen. Our
22 experience with quinolones over the last several

1 years, I guess there are more that have been
2 removed from the market than ended in the market.
3 God knows what s going to happen in the future. I
4 just think we need to continue to prospectively
5 follow potential either rash or any other side
6 effects with this drug.

7 DR. EDWARDS: Thank you. Joan?

8 DR. HILTON: Okay. I d like to get back to --
9 I promised to give you the data on duration of
10 rash. It s Page 73, and I did misquote that. I
11 said it was about two weeks duration, and the text
12 says five days, so --

13 DR. EDWARDS: Thank you.

14 DR. HILTON: All right. Getting to the
15 question of what future studies I think need to be
16 done, I think that if the sponsor really does have
17 confidence in the efficacy of this drug, then a
18 placebo controlled trial will show that, and there
19 would be a black and white case made for the
20 risk-benefit profile in that case.

21 So I d like to see them go ahead and do that,
22 if they re confident that they have a good product.

1 DR. EDWARDS: Thank you. Dr. Townsend?

2 DR. TOWNSEND: In addition to a placebo
3 controlled trial, along the lines of what Dr. Wong
4 was saying, I think it would be interesting to do a
5 trial, as we were discussing earlier, of treatment
6 failure with this drug, as a comparator with a
7 control agent.

8 DR. EDWARDS: Thank you. Dr. -- oh, yes, I m
9 sorry. Dr. Kauffman?

10 DR. KAUFFMAN: I don t know that I had more to
11 add, other than I would agree that doing the
12 placebo controlled study at five days with
13 microbiology data will prove the point. I think
14 that nothing short of that is going to be
15 effective, in terms of coming back and getting the
16 FDA to approve the drug.

17 Otherwise, I guess post-marketing, I just
18 don t know how good it is. I think we miss a whole
19 lot of things, unless some disaster happens later
20 on, like the trovafloxacin business, but that was
21 many millions of patients later when it was finally
22 found.

1 So I don't know how good it is, but it's worth
2 trying, I suppose.

3 DR. EDWARDS: Thank you. For me, a decision in
4 the future would be a risk-benefit equation
5 analysis again. In that context, a superiority
6 trial would be very helpful for establishing
7 benefit. The continued following of the FORCE
8 trial to completion, in addition to an effort to
9 modify the proposed epidemiology trial, would seem
10 meritorious. Those would be highly desirable for
11 my reevaluation in the future.

12 DR. BRADLEY: I think just (phonetic) start off
13 with the efficacy trial, a placebo controlled
14 superiority trial, as requested by the FDA. I'm
15 actually pretty confident that the drug would work,
16 but I want to make a plea that the communication
17 between the FDA and the sponsor be better.

18 This entire 150-page briefing book from the
19 sponsor suggests that they're using the old
20 standards, and there's not any clue that they're
21 considering a superiority trial. So somehow,
22 either they didn't believe you or you didn't

1 communicate it to them or something, but if --
2 given the science, if that was really clear, then
3 we wouldn't all be sitting here today.

4 So what would need to be done is the new
5 superiority trial based on the new guidelines,
6 which we'd love to see.

7 DR. EDWARDS: Yes, Marian?

8 DR. GUTIERREZ: I would agree that I would like
9 to encourage the sponsor to consider an efficacy
10 superiority trial. I do believe this drug works, I
11 thought, it's probably effective, and I made my
12 decision based on the risk-benefit ratio, which I
13 was not in favor of.

14 I also agree with your comment about how I
15 think that this drug is a drug that would have a
16 place in treatment failures and complicated
17 infections. So I would encourage pursuing that
18 avenue.

19 DR. GARDNER: I would agree about the treatment
20 failures. That was the first note I made to myself
21 when I began to read the documents, that it would
22 be very helpful to know if it were clinically

1 effective in failures, because that would give it
2 its own -- then we could really speak to that.

3 So if that can progress, that would be
4 extremely helpful, but I think we re going to have
5 a lot to do to get past this safety issue and
6 continuing to monitor that and actively monitor it,
7 which I think was suggested is going to be
8 critical, or if we come back here in another three
9 years and the data are the same about the rash,
10 we ll -- I ll probably vote the same way, in the
11 absence of fabulous efficacy data in resistant
12 folks or failures.

13 DR. EDWARDS: I believe we have addressed the
14 issue regarding risk management issues already in
15 the discussions, Dr. Albrecht, unless you want
16 additional suggestions in that area.

17 DR. ALBRECHT: I think I heard Dr.
18 Frothingham s suggestion. I didn t know if maybe
19 other members on the committee might want to talk
20 about either risk management issues or labeling
21 issues for the product, as well.

22 DR. EDWARDS: Regardless of their voting, I

1 presume?

2 DR. ALBRECHT: Regardless of how they voted,
3 based on the information they heard today, just in
4 general, whether there are any suggestions
5 regarding risk management programs or additional
6 labeling that they believe may be warranted.

7 DR. EDWARDS: Okay. Would anyone care to
8 address those issues, risk management, with
9 additional comments? Yes, Jackie?

10 DR. GARDNER: Well, on the assumption that you
11 would proceed, then, I think the suggestion of the
12 dose packaging -- limited dose packing would be
13 extremely helpful.

14 I think the suggestion of not sampling, under
15 the circumstances, would be critical to risk
16 management, and targeted patient information or med
17 guides or whatever kinds of things we re using now
18 to alert people to -- just in general, as well as
19 specifically -- to proper use of antibiotics to
20 reduce resistance development, and not insisting
21 from your physician that you get a second course
22 because your condition isn t cleared up and so on

1 would all be helpful.

2 So patient communication, not sampling, and
3 targeted packaging.

4 DR. EDWARDS: Any other comments?

5 DR. TOWNSEND: Just --

6 DR. EDWARDS: Yes, Dr. Townsend?

7 DR. TOWNSEND: I just had a question,
8 basically, about suspending sampling. What
9 authority does the FDA have to do that, and could
10 it be suspended for all antibiotics?

11 DR. TEMPLE: I don't think we have authority to
12 ban it. I think we could probably agree with the
13 sponsor that for certain things, it wasn't a good
14 idea, but that would be totally voluntary. We'd
15 need to check with chief counsel to get a more
16 definitive answer, but I don't think we have that
17 authority.

18 DR. FROTHINGHAM: There is precedent, in the
19 case of trovafloxacin, where the indication was
20 that it needed to be started in hospital, so --
21 which effectively eliminated sampling. So, I mean,
22 I think in some cases of safety issues, the FDA

1 has, in fact, defacto abandoned sampling, if not du
2 jour.

3 DR. ALBRECHT: May I follow up on that? You re
4 correct. With trovafloxacin, that floroquinolone
5 was available in oral and IV formulation. It was
6 approved for a record number of indications,
7 ranging from sinusitis, pneumonia, ABCB, through
8 very serious indications, and actually, after the
9 hepatotoxicity was discovered, the Agency and
10 company re-labeled the product, removing some of
11 those indications.

12 Again, this was a voluntary act on the part of
13 the company, and it is in that context that it was
14 limited. So this echos what Dr. Temple said. If
15 the company is willing to change some of these
16 approached, it can be done. But that was done in
17 the context of re-labeling an indication such as
18 sinusitis, ABCB, uncomplicated (phonetic) UTI were
19 actually removed by the application from the
20 labeling.

21 DR. EDWARDS: Dr. Temple?

22 DR. TEMPLE: Well, I probably should have

1 waited more before I spoke. There have been cases
2 where we thought that only certain conditions --
3 certain conditions were necessary before a drug
4 could be given out, like for clozapine, you have to
5 get your white count done, and implicit in that is
6 that you d be -- couldn t hand out a sample,
7 because they wouldn t have gotten their white count
8 done.

9 So there may be risk management programs that
10 really would preclude it.

11 DR. EDWARDS: Yes?

12 DR. TUNKEL: Just for clarification, too --
13 I m sort of new to this -- what about changing the
14 package insert? So will that happen automatically?
15 Now, there will be a warning, use of this drug for
16 more than five days may be associated with rash ?
17 When a patient gets their prescription, will they
18 get a little printout label say Use of this drug
19 for more than five days may be associated with a
20 rash. We want you to be aware of it ?

21 I mean, what do you have the sponsor do at
22 this point?

1 DR. ALBRECHT: There are a number of mechanisms
2 and approaches that we can use. The labeling of a
3 product is actually a product of negotiation
4 between the FDA and what FDA believes should be in
5 the labeling, and then what the company believes is
6 actually an accurate and complete reflection of the
7 information.

8 So any labeling recommendations, either that
9 you've made that we would like to discuss with the
10 company or, in fact, post-marketing information, as
11 Dr. Mosholder presented, if that information is not
12 in the product labeling, either the company will --
13 can or will submit it as a change -- known as a
14 change is being effected supplement. Or we, as we
15 identify these, may request that they update the
16 labeling to include that.

17 Then as we learn more information about a
18 product, we actually do periodically and routinely
19 -- and we have done this on a couple of occasions
20 for the fluoroquinolone product -- send out what are
21 known as supplement request letters to these
22 companies and ask them to either add additional

1 information, if we know such, or ask them to
2 analyze certain signals that we may see in the
3 post-marketing database.

4 As far as information that is required to be
5 given to a patient, the mechanism available is
6 known as a med guide. That is reserved for
7 selected scenarios and situations, usually for
8 toxicities, I believe, that are associated with
9 serious outcome; hospitalization, mortality, etc.

10 I don't believe it's used for adverse events
11 that are simply frequent and may not be classified
12 as serious.

13 DR. EDWARDS: Yes, Dr. Wong?

14 DR. WONG-BERINGER: Thank you. I would also
15 suggest that perhaps there might be a mechanism
16 where we could request that the patient, the target
17 population, the women less than 40 or
18 post-menopausal on hormonal replacement therapy, to
19 sign an acknowledgment that they've received
20 counseling from their physician regarding the risk
21 of rash in those patients, and to limit duration to
22 five days.

1 And along with that, to have a phone number
2 that perhaps the sponsor could be managing for the
3 patients to call in if they do develop a rash, and
4 that would help with post-marketing surveillance,
5 perhaps.

6 DR. EDWARDS: All right. Dr. Temple?

7 DR. TEMPLE: There have been such programs for
8 flutamide, accutane, things like that. They re
9 pretty burdensome to the use of the drug and
10 they re not used lightly. So, I mean, those things
11 can be considered, but they re a lot of work. You
12 have to -- the doctor has to do it in the office,
13 can t just give a prescription. So it -- they re
14 done for fairly serious concerns, birth defects and
15 other really bad stuff.

16 I don t know. You have to advise us on
17 whether you think this measures up to that, but
18 that s what they re usually used for.

19 DR. EDWARDS: Yes, Rich?

20 DR. FROTHINGHAM: Yes, I mean, I ve brought out
21 this can of worms for you folks to consider. I was
22 really thinking in terms of the patient information

1 that is normally dispensed with the drug, and it s
2 at the end of the package insert, and I ve received
3 those sheets with medication, so I know that they
4 do go to consumers.

5 As opposed to a specific additional -- I would
6 agree that -- or at least I would state my
7 impression that this is not a drug that has a
8 life-threatening adverse effect that is unusual,
9 simply an unusual incidence of a relatively mild
10 effect, and that just adding that in a more
11 prominent fashion to the package insert information
12 that goes to the patient will be sufficient.

13 However, when it comes to sampling, I think
14 that s a place where problems arise, because
15 samples are distributed without knowledge of the
16 drug, unfortunately, whereas prescriptions are
17 normally written by people who have chosen that
18 drug and know it better.

19 So, I mean, if sampling is to continue, I
20 think there is some greater burden that ought to go
21 forward, but I was not implying an additional
22 burden for all prescriptions for this medication.

1 DR. EDWARDS: Yes, Dr. Albrecht?

2 DR. ALBRECHT: I had one last question, that if
3 folks have finished talking about the labeling,
4 that I actually wanted to explore, if perhaps
5 people wanted to comment on it. This goes back
6 directly to Dr. Ferguson's earlier review of the
7 two publications that she cited.

8 So what my specific question is, as you heard
9 today, in these clinical studies that were
10 conducted, patients had radiographic evidence of
11 sinusitis, along with clinical evidence, and in the
12 subset of the studies, bacteriologic evidence.

13 Then I think, as Dr. Ferguson talked about the
14 Bucher (phonetic) study, her observation was that
15 in that study, it was based, I believe, purely on
16 clinical signs and symptoms, and the latter was the
17 study that failed to show a difference between
18 augmentin and placebo.

19 So I guess I wanted to ask the committee
20 whether they think there may be merit if the
21 company pursues this indication, and perhaps
22 looking at both types of studies; studies where

1 there are these diagnostic criteria that are met,
2 which would be reflective of testing the safety and
3 efficacy of the product in the patient population
4 with the disease, and then perhaps studies that may
5 be more reflective of empiric use of the product in
6 patients believed to have sinusitis.

7 So I just didn't know if the committee members
8 might want to comment on either the validity or
9 usefulness of either or both types of study
10 designs.

11 DR. EDWARDS: Yes, Dr. --

12 DR. WIEDERMANN: I think that the types of
13 studies were -- they're really intended to mimic
14 everyday practice. So patients with sinusitis
15 don't get radiographs. I think those are useful.
16 The problem comes in if some key element of use of
17 the drug is based solely on that data.

18 But ideally, you could have a large study and
19 a subset of the patients had sinus taps and
20 radiographs and maybe even quantitative bacterial
21 cultures, and were -- had a high degree of rigor,
22 and then maybe a larger group that didn't have all

1 that. That really does mimic everyday practice, so
2 I think there s good use of both types.

3 DR. EDWARDS: Carol? Dr. Kauffman?

4 DR. KAUFFMAN: My concern would be that a
5 company might do that study -- and these studies
6 cost lots of money -- and then they ll come to a
7 panel and they ll say, Oh, but this isn t rigorous
8 enough, so it seems like you better do a gold
9 standard if you re going to do it.

10 DR. EDWARDS: Any other comments to those
11 issues? Anything else, Dr. Albrecht? Should we --
12 are there any other issues we should discuss, any
13 other points, any points of clarification?

14 DR. ALBRECHT: I m looking around to see if
15 others have questions.

16 DR. EDWARDS: Any other questions?

17 DR. ALBRECHT: It looks like there are no
18 further questions.

19 DR. EDWARDS: Okay. I d like to just make a
20 couple of comments, and then bring the meeting to a
21 close. This has been a very intense discussion
22 that has revolved around the issues that are

1 debated extensively in the literature now regarding
2 the non-inferiority trial design and surrogate
3 markers in infectious diseases.

4 Clearly, those issues are being debated and of
5 such concern because of a genuinely recognized need
6 for our -- to continue to develop antimicrobial
7 agents at a rate that keeps up with the resistance
8 problem that we re experiencing at the present
9 time.

10 The issue is in flux and the science is
11 developing, and I think we ve had a healthy
12 discussion about some of the issues today. The
13 issue regarding the rash associated with
14 gemifloxacin, I think all of us who voted no in
15 this discussion are deeply sorry that this drug has
16 that complication associated with it, and none of
17 us would be here if the level of that problem were
18 not at the level that it is at the present time,
19 and I m sure that there s been concern for the fact
20 that it may be a strong signal eventually, although
21 it hasn t shown itself definitively at the present
22 time for fatal cases of Stevens-Johnson Syndrome.

1 But we can only do what we feel is right based
2 with those kinds of concerns in our background
3 thinking, and do not have a discrete database, and
4 so I think we've all had to sort of vote with an
5 element of concern for that issue, as we have seen
6 similar sorts of adverse effects occur with drugs
7 which have been used on a large scale, even with
8 and without a strong signal. But obviously, it
9 would be wonderful if this agent did not have that
10 associated disadvantage.

11 The -- I really wanted to thank the sponsor
12 for a very well-constructed, very concise, targeted
13 presentation today, and I think all of us respect
14 the efforts that they have been making in
15 post-marketing attempts to continue with a safety
16 profile that will be strong for the agent, and in
17 these post-marketing studies. I think we all
18 deeply appreciate your adhering to the
19 post-marketing study suggestions that have been
20 made.

21 I'd like to thank the FDA for their
22 presentations and all of the people who have put

1 hundreds of hours of effort into this meeting
2 occurring, and to the panel members who have
3 participated in this very difficult and very trying
4 experience of having to review the situation that
5 revolves around this agent.

6 So thank you all very much for your
7 participation, and if there are no further issues
8 or comments, then I will adjourn the meeting at
9 this time. Thank you.

10 (Meeting adjourned at 4:15 p.m.)