

1 prevention of CINV in children greater than 4
2 years of age.

3 The four primary endpoints were
4 incidence of emesis, proportion of patients
5 who received supplemental antiemetic
6 medication during the 24-hour assessment
7 phase, time to first rescue antiemetic
8 medication and parent or guardian overall
9 satisfaction.

10 The results of the CINV study were
11 that, one, more than half of the patients had
12 no emetic episodes. Two, more than half of
13 the patients did not require rescue
14 medications and, three, 80 percent of parents
15 or guardians were satisfied with drug use.

16 The PONV PK study, the CINV
17 efficacy and safety study and the PONV
18 efficacy and safety study all combined to
19 contribute to an integrated safety analysis
20 with a total of 797 patients. This analysis
21 did not identify any new safety concerns.
22 There were no deaths and 1 percent of patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had non-fatal serious adverse reactions in
2 both the drug and placebo groups.

3 In the drug group, five patients
4 had serious adverse reactions that included
5 one case of convulsions, dehydration,
6 respiratory depression and staphylococcal
7 infection and one case of combined nodal
8 arrhythmia, hypocapnia and hypoxia.

9 In the placebo group, three
10 patients had serious adverse reactions that
11 include tachycardia, bronchospasm and
12 exacerbated pain.

13 Based on all of the pediatric
14 exclusivity studies, four sections of the drug
15 labeling were changed. The Clinical
16 Pharmacology Section, Pharmacodynamics
17 Subsection, noted the population PK analysis
18 of the PK and CINV studies.

19 The Clinical Studies Section
20 described the CINV and PONV studies. The
21 Precautions Section, Pediatric Use Subsection,
22 noted that there is little information about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the use of ondansetron in pediatric surgical
2 patients less than 1 month-old and in
3 pediatric cancer patients less than 6 months-
4 old.

5 It also noted that there was a
6 slower drug clearance and a half-life of,
7 approximately, 2.5-fold longer in pediatric
8 patients 1 to 4 months-old compared to older
9 children greater than 4 months to 2 years-old.

10 And, lastly, the Dosage and
11 Administration Section noted that for the
12 prevention of CINV in 6 month to 4 year-old
13 patients, three doses of 0.15 milligrams per
14 kilogram IV should be administered.

15 And for the prevention of PONV in
16 1 month to 2 year-old patients, a single dose
17 of 0.1 milligrams per kilogram IV should be
18 administered for patients weighing 40
19 kilograms or less or a single 4 milligram dose
20 should be administered for patients weighing
21 more than 40 kilograms.

22 This table describes the Adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Event Reports associated with ondansetron and
2 reported to the FDA's Adverse Event Reporting
3 System since market approval of the drug and
4 prior to pediatric exclusivity.

5 For pediatric patients, there were
6 204 reports which comprised 6 percent of the
7 total reports. Of these, 148 were U.S.
8 reports. There were 126 serious reports with
9 74 being U.S. reports and 18 death reports
10 with one being a U.S. report.

11 Focusing on the pediatric deaths,
12 of the 18 crude count reports, there were 14
13 unduplicated cases. Seven of these cases were
14 excluded due to confounding or insufficient
15 information.

16 There was one case of an erroneous
17 classification of death, one case of an
18 unspecified cause of death in an infant with
19 in utero exposure, two cases with a
20 significant time delay between symptoms and/or
21 death and the last ondansetron use, and three
22 cases complicated by underlying medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conditions, some with concomitant medications,
2 including stage 4 neuroblastoma with multi-
3 organ failure in chemotherapy, medulloblastoma
4 with radiation and chemotherapy and idiopathic
5 pneumonitis with progressive germ cell
6 disease.

7 Of the seven remaining cases,
8 these also were confounded by complicated
9 underlying medical conditions, concomitant
10 medications and/or insufficient details.

11 Case 1 involved a 14 year-old
12 female with asthma one day status/post
13 scoliosis surgery who experienced decreased
14 respiratory rate, blood pressure and oxygen
15 saturation after morphine and one hour after 4
16 milligrams ondansetron IV for nausea.

17 Case 2 involved a 10 year-old male
18 on chemotherapy for rhabdomyosarcoma who
19 experienced dizziness and collapse after 0.15
20 milligrams per kilogram ondansetron IV for
21 vomiting.

22 Case 3 involved a 9 month-old male

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with bone marrow allografts who developed
2 acidosis, bundle branch block and cardiac
3 arrest with QT prolongation after cisapride
4 and 6 milligrams ondansetron for nausea.

5 Case 4 involved a 16 year-old
6 female with disseminated lupus who developed
7 septic shock or cardiomyopathy three days
8 after ondansetron IV to prevent nausea.

9 Case 5 involved a 6 year-old male
10 with a history of renal failure and renal
11 hypoplasia with an unknown cause of death
12 after 17 days of 3 milligrams ondansetron PO
13 for nausea and vomiting.

14 Case 6 involved an 11 year-old
15 female with congenital heart disease on
16 antibiotics who developed decreased oxygen
17 saturation, headaches, dizziness and
18 respiratory failure one hour after 4
19 milligrams ondansetron IV for nausea of
20 unknown etiology.

21 And Case 7 involved a 16 year-old
22 male with end stage cystic fibrosis who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developed decreased oxygen saturation and
2 arrested minutes after 2 milligrams
3 ondansetron IV for nausea.

4 This table describes the Adverse
5 Event Reports during the post-exclusivity
6 period. For pediatric reports, there were 20
7 reports that comprised 6 percent of the total
8 reports. Of these, eight were U.S. reports.
9 There were 16 serious reports with five being
10 U.S. reports and one death report with no U.S.
11 death reports.

12 With regard to the pediatric
13 death, there was one case with insufficient
14 information to assess causality involving a 3
15 year-old male with an unreported cause of
16 death who received 4 milligrams ondansetron PO
17 for an unknown indication and duration.
18 Because this was a foreign case, the FDA has
19 been unable to obtain additional information.

20 This slide lists the 16 serious
21 adverse events reported to have occurred
22 during the post-exclusivity period. You will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 note that five of these cases were U.S. cases.

2 Out of the 16, there was one respiratory case
3 involving respiratory depression, which is an
4 unlabeled event, two hepatic cases, one of
5 which included ascites, which is an unlabeled
6 event, three allergic reactions or anaphylaxis
7 cases, five neurologic cases and five other
8 types of cases, two of which involved birth
9 defects that are not included in the drug
10 labeling.

11 In summary, some of these cases
12 provided very little information and, in
13 general, most of the patients involved had
14 underlying conditions and/or were receiving
15 concomitant medications making it difficult to
16 relate the outcomes to ondansetron use.

17 This slide provides more details
18 regarding the four unlabeled serious adverse
19 events. Case 1 involved a 1 year-old child
20 with respiratory depression and bradycardia
21 after receiving 2 milligrams ondansetron IV
22 times one dose to treat an unknown condition.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This was a foreign case with very little
2 information.

3 Case 2 involved a 9 year-old boy
4 with neuroblastoma who developed increased
5 alanine aminotransferase, ascites and pleural
6 effusion after receiving several cancer
7 chemotherapy agents and 4 milligrams
8 ondansetron daily.

9 Case 3 involved an infant whose
10 mother had used ondansetron during pregnancy
11 who experienced a foot or limb malformation,
12 and Case 4 involved an infant whose mother had
13 used ondansetron during pregnancy and who
14 experience tracheal malacia.

15 This completes the one year post-
16 exclusivity Adverse Event Reporting as
17 mandated by the Best Pharmaceuticals for
18 Children's Act. FDA recommends routine
19 monitoring of ondansetron for adverse events
20 in all populations and seeks the Advisory
21 Committee's concurrence.

22 And in closing, I just would like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to acknowledge the assistance I received from
2 numerous FDA staff in the Office of
3 Surveillance and Epidemiology, the Division of
4 Gastroenterology Products and the Office of
5 Clinical Pharmacology.

6 ACTING CHAIR WARD: Does anyone
7 disagree with that recommendation?

8 DR. SASICH: Just one comment, and
9 is the FDA looking at the extent of off-
10 labeled use for hyperemesis gravidarum that is
11 apparently associated with the use of this
12 drug? Is this a concern to the Agency? And
13 it is an off-labeled use for pregnant women
14 and it looks like the use is fairly sizeable.

15 DR. MURPHY: I would lean toward
16 the division and to OSE to ask and, at this
17 point, it appears this is not an area in which
18 they have started a review of off-label use or
19 what the adverse events from that off-label
20 use are.

21 DR. SASICH: Thank you.

22 DR. JOHANN-LIANG: I just want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make a point that in order to look into the
2 AERS data and just to give you a scope of what
3 is involved, when you're looking at an off-
4 label use of a drug, you know, to do it
5 justice you really need to go in and actually
6 take out all the reports that actually tell
7 you what it was actually used for, which means
8 you have to do a manual review of all the
9 cases.

10 And then, in order to really
11 understand, that's just the numerator, so we
12 really need to go in now to drug use databases
13 and try to get a sense of what indications,
14 what the diagnosis for these prescriptions
15 going out to the folks are, and for a drug
16 like this again.

17 So in order to get all that
18 together, we really do have to prioritize as
19 to which ones we're going to be doing such
20 investigation, what the sort of -- the level
21 of concern of the hypothesis is. And, you
22 know, if the Committee feels that this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something we must do, then we really -- that
2 is why we're here to ask.

3 But right now, at this time, that
4 is not one of the projects that we're working
5 on. We're really short of resources, so --

6 ACTING CHAIR WARD: I think it's
7 time for a break, but in trying to get back on
8 schedule, why don't we resume at 10:25? Is
9 that okay?

10 DR. MURPHY: Okay. The only
11 thing, Bob, is that we take it then that the
12 Committee is in agreement with returning to
13 routine monitoring. Okay. We just want it --

14 ACTING CHAIR WARD: Correct.

15 DR. MURPHY: I wanted it in the
16 record that that's what you said. Okay.

17 ACTING CHAIR WARD: So I said it.

18 DR. MURPHY: Okay. Thank you very
19 much.

20 (Whereupon, at 10:10 a.m. a recess
21 until 10:23 a.m.)

22 ACTING CHAIR WARD: All right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Lisa Mathis is going to return to the podium
2 and discuss Celexa citalopram.

3 DR. MATHIS: And again, you will
4 see Hari's name on the slide. Why not moving?

5 Okay. I'm going to discuss Celexa or
6 citalopram, which is an antidepressant by
7 Forest Labs. It is indicated for major
8 depressive disorder in adults and there are no
9 approved pediatric indications. It received
10 its original marketing approval in July of
11 1998 and was granted pediatric exclusivity in
12 July of 2002.

13 This drug was presented at the
14 2004 Pediatric Advisory Committee and there
15 were some outstanding issues that we promise
16 to come back and update you on. The three
17 outstanding issues were neonatal withdrawal,
18 ophthalmologic malformation as well as QTc
19 prolongation.

20 I'm going to cover the first two
21 subjects and then once I'm done, Dr. Lisa
22 Jones will come up to discuss the analysis of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the QTc prolongation.

2 Just updating the drug use trends
3 for citalopram, pediatric patients account
4 for, approximately, 3.3 percent of the total
5 U.S. prescriptions of Celexa from 2002 until
6 2006. Adult and pediatric prescriptions have
7 steadily decreased from 2002 through 2006.

8 Celexa does have some relevant
9 safety labeling, including a boxed warning for
10 suicidality in children and adolescents. It
11 is a pregnancy Category C and under the
12 pregnancy section of labeling in the
13 precautions section of labeling, we do have a
14 description about neonatal withdrawal and a
15 warning about considerations that the
16 physician should use while prescribing this
17 drug to women in their third trimester of
18 pregnancy.

19 The pediatric use subsection of
20 the precaution section of labeling includes
21 information from two placebo-controlled trials
22 in 407 pediatric major depressive disorder

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients and that there was not sufficient
2 information to support a claim for use.

3 The dosage and administration
4 section of labeling echoes that neonates
5 exposed to Celexa and other SSRIs and SNRIs in
6 the late third trimester have developed
7 complications requiring prolonged
8 hospitalization, respiratory support and tube
9 feeding, and that the physician should
10 consider tapering Celexa in the third
11 trimester.

12 In summary, there have been
13 labeling changes since this drug first came to
14 the Advisory Committee in February of 2004.
15 These include the boxed warning for
16 suicidality, as well as information in the
17 pregnancy section about neonatal withdrawal.
18 There have been no subsequent reports of
19 ophthalmologic malformations and the ones that
20 had been reported previously were not the
21 same. Therefore, there was no pattern
22 established.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I will now turn this over to
2 Dr. Lisa Jones, who will update you on the QTc
3 issues. Okay. This is not good. Who is
4 doing that?

5 DR. JONES: Thank you.

6 DR. PENA: I should mention Dr.
7 Lisa Jones, she is board-certified in the
8 field of preventive medicine and public
9 health.

10 DR. JONES: Okay. Thank you for
11 the introduction and as Dr. Mathis had noted,
12 I would like to present the Committee with an
13 update of the review of QT prolongation with
14 citalopram and escitalopram that is ongoing
15 within the Division of Psychiatry Products.

16 There it is. I would like to
17 begin with a summary of the past review of
18 this issue. In the initial citalopram NDA as
19 well as the escitalopram NDA, New Drug
20 Application, only a 3 to 4 millisecond
21 prolongation of the QT interval was observed
22 in the Phase 3 trials in the drug-treated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient compared to the placebo-treated
2 patients.

3 The AERS, the Adverse Event Report
4 System, at that time, did find cases that were
5 suggestive of a QT prolonging effect for
6 citalopram. Also within the NDA was a small
7 Phase 1 study which when corrected for heart
8 rate using the Friderica method found it 7 to
9 9 millisecond prolongation.

10 And finally, there was a
11 citalopram-pimozide interaction study which
12 was difficult to interpret, because it was an
13 interaction study, but did have some elements
14 supportive of a connection between citalopram
15 and QT prolongation.

16 The QT related labeling as it
17 currently stands essentially describes the
18 data from the Phase 3 studies of the NDA.
19 However, based on the findings described in
20 the previous slide, other than that in the
21 NDA, in May of this year the division
22 requested the addition of an expanded labeling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statement regarding QT interval prolongation.

2 Okay. I would like to for the
3 remainder of the review, I would like to give
4 the overview of the issues that are currently
5 ongoing and that includes the sponsor's
6 submissions subsequent to the letter sent in
7 May of this year, which include a direct
8 response to points in the letter as well as
9 analyses in three new patient databases.

10 There has also been an updated
11 AERS Search in pediatric patients and,
12 finally, I would like to list additional
13 points in which we're having other FDA review.

14 I mentioned that the citalopram-
15 pimozone interaction study was difficult to
16 interpret. And in their response to the
17 vision, the sponsor reached some different
18 conclusions than the division did in their
19 labeling letter. In response the sponsor also
20 reiterated their belief that the Study 92104
21 was unreliable due to the specifics of the QT
22 data collection. And thirdly, they noted that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 many of the post-marketing cases were
2 confounded by concomitant drugs or medical
3 conditions.

4 In the first of the three new
5 database analyses, the sponsor examined TdP-
6 related adverse events in the Medicaid claims
7 database. And in doing so, they found similar
8 rates of these adverse events for citalopram
9 and escitalopram relative to other SSRI and
10 SNRI antidepressants.

11 In the second of the three new
12 database analyses, as you may know, the
13 General Practice Research Database is a large
14 scale database of outpatient records in the
15 UK. Here the sponsor searched for QT-related
16 events in depressed patients age 18 to 70 who
17 were treated with at least one antidepressant.

18 And here similar to the Medicaid analysis
19 they found similar rate for citalopram as
20 compared to other SSRI antidepressants.

21 Okay. The sponsor finally
22 performed an analysis in the AERS database.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 They searched the database for cases with QT-
2 related MedDRA terms and in which an SSRI or
3 SNRI was a suspect drug. In contrast to the
4 two other database analyses here, they did
5 find some elevated risk for citalopram with,
6 approximately, 1.6 as compared to other SSRIs
7 or SNRIs.

8 The sponsor did provide some
9 evidence, however, that there may be
10 preferentially use of citalopram in medically
11 compromised patients which may increase their
12 underlying risk.

13 The FDA has performed a number of
14 searches of the AERS database in conjunction
15 with the review of this issue. For this
16 Committee, I would like to present the results
17 of the most recent search in pediatric
18 patients. The search criteria were for
19 patients age 17 or younger and it covered a
20 three year period from August '03 to August
21 '06. A variety of QT-related preferred terms
22 were used, only some of which are listed in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this slide. And these search criteria
2 identified three cases.

3 The first case was a literature
4 report of a 12 year-old female who
5 concomitantly took an unknown dose of
6 citalopram with 4 to 5 grams of
7 diphenhydramine. She was treated in the ER
8 for altered mental status, followed by
9 bradycardia, a wide complex rhythm and cardiac
10 arrest. And this patient unfortunately had a
11 fatal outcome.

12 The second case involved a 17
13 year-old male who was hospitalized for
14 seizures, intermittent tachyarrhythmias and
15 wide QRS complex rhythms following an
16 intentional overdose of 2400 milligrams of
17 citalopram. This patient's symptoms resolved
18 with supportive care and his past medical
19 history was notable for asthma and marijuana
20 use. There was no information in the report
21 on concomitant drugs.

22 The third and the final case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involved a 14 year-old male who developed QT
2 prolongation while taking citalopram 40
3 milligrams per day for depression and anxiety.

4 He was diagnosed with prolonged QT or with QT
5 prolongation by a cardiologist six months
6 after beginning treatment with citalopram.
7 When the patient's drugs, at the time, which
8 were citalopram and atomoxetine, were
9 discontinued, the QTc interval decreased from
10 445 milliseconds to 408 milliseconds.

11 I should add that this patient had
12 a history of QT prolongation with other
13 antidepressants, including while taking a
14 combination of paroxetine and imipramine.

15 Okay. And I would like to
16 conclude with a listing of some specific
17 elements that are active at the moment. The
18 division has already reviewed the Phase 1
19 studies previously mentioned, the Study 92104
20 and the Citalopram-Pimozide Interaction Study,
21 however, we are now taking advantage of FDA
22 expertise outside the division and requesting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 additional input on these studies.

2 We are likewise requesting
3 additional input on the sponsor's most recent
4 database analyses. In addition, we have
5 requested an AERS data mining analysis, which
6 will compare citalopram and escitalopram to
7 other antidepressants, with a particular
8 interest in having a comparative group which
9 is not an SSRI antidepressant.

10 This update, both this
11 presentation and the presentation by Dr.
12 Mathis on Celexa provides information on the
13 recent pediatric-related labeling changes and
14 on the ongoing analysis of QT interval data
15 within the Division of Psychiatry Products.
16 We will now ask if the Committee has any
17 comments. In addition, the FDA suggests that
18 this product return to routine monitoring.
19 Does the Committee agree?

20 ACTING CHAIR WARD: Discussion?
21 Yes?

22 DR. DURE: I would like to sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- this is parallel to Larry's comment before.

2 There are a lot of prescriptions being
3 written for citalopram and it's off-label.
4 And this may be more of strategy versus
5 tactics, but is this something -- when you
6 talk about devoting resources of the FDA, I
7 mean, this seems to me like this would be one
8 that you would want to devote some resources
9 to to look at off-label use.

10 DR. LAUGHREN: What specifically
11 would you like us to look at? I mean, since
12 we know that physicians use drugs for other
13 than the approved indications. Is there a
14 particular concern that you want us to
15 explore?

16 DR. DURE: Well, I guess, this is
17 true. This is coming up with one of the
18 anticonvulsants later, the use in bipolar
19 disorder. And I guess the problem is is that
20 should these drugs be studied more, since they
21 are being used so frequently, because these
22 are not necessarily -- this isn't the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing that we see with antibiotics or with
2 anticonvulsants for refractory epilepsy where
3 you don't have many choices or is that the
4 case?

5 I mean, I think it's all -- we're,
6 you know, hypothesizing. Should we do more to
7 try to figure that out?

8 DR. LAUGHREN: Well, you know, we
9 would like to have more studies. We do have
10 some studies. Obviously, they did studies to
11 gain exclusivity and those studies were not
12 sufficient to support a pediatric indication.

13 But we know that citalopram and other SSRIs
14 and other antidepressants are being used in
15 pediatric patients to treat not only
16 depression, but various anxiety disorders and
17 perhaps other disorders. And we would like to
18 have more data.

19 The question is how do you get
20 more data? You know, FDA doesn't have the
21 authority to mandate companies to study or,
22 you know, there are other Government agencies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that have some interest in this and I think
2 NIMH has sponsored some trials of SSRIs and
3 other antidepressants in children and
4 adolescents, but it really isn't -- FDA
5 doesn't have primary authority to mandate or
6 even encourage trials.

7 ACTING CHAIR WARD: Could I raise
8 the issue about pulmonary hypertension? Tina
9 Chambers in this last year with Linda Van
10 Marter reported in a case-controlled design an
11 increase in pulmonary hypertension from SSRIs
12 as a class. And it's clearly not at a level
13 that you would refer to it as a public health
14 problem, because it's a rare diagnosis, but
15 it's a serious one. And is there any
16 consideration for trying to incorporate
17 information of that nature or confirm it
18 either included in the label or confirm the
19 finding?

20 DR. LAUGHREN: You know, this is
21 an issue that has been around for a while. I
22 don't think -- again, we didn't come prepared

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to discuss this issue, but, you know, my
2 impression is that we don't think that there
3 is enough information to support a causal link
4 to justify, you know, including that
5 prominently in labeling.

6 DR. JOHANN-LIANG: Just in regards
7 to off-label use and etcetera, it's, you know,
8 our role to try to provide these updates and
9 try to think about what we can study and how
10 to go about doing that. But it's really also
11 important, I think, for the various
12 organizations, communities, you know, to take
13 on the effort of educating prescribers
14 regarding appropriate use of, you know, all
15 drugs and what they are indicated for or not
16 indicated, what information is available,
17 obviously, and to try to encourage, you know,
18 studies that would answer questions all
19 around. So it's a group effort.

20 DR. MATHIS: I think, too, that
21 it's really important. We have been working
22 with NIH, specifically NICHD, with the BPCA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and it's one thing that has frequently, you
2 know, come into our radar is that a lot of
3 drugs are used off-label without a lot of
4 evidence. And it's something that we have
5 really focused on that the general medical
6 community really needs to try and find some
7 way and we're trying to find some way, but it
8 would be helpful for others if they had
9 suggestions.

10 We really need to find some way to
11 better educate physicians and other
12 prescribers about how important it is when
13 they are prescribing a medication that they
14 know what they are prescribing for and what
15 evidence that's based on.

16 And I know Dr. Ward can tell you
17 about medical schools that are cutting out
18 pharmacology courses altogether. And so we're
19 over time doing less to teach the people who
20 are responsible for prescribing medication
21 about how to do that. So it is a very
22 important area that we would love to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 collaborate with other people in trying to
2 educate physicians.

3 DR. MURPHY: I would like to just
4 remind particularly the new Committee Members,
5 we didn't review all of this for you, because,
6 you know, the whole BPCA legislation was to
7 try to get at this issue of all of this off-
8 label use in pediatrics and no data. Okay.
9 And that's what the exclusivity has been
10 doing. It has been trying to go out and get
11 controlled trials.

12 And we can tell you when we do
13 that one of the reasons you hear so much about
14 the biopharm in these reviews is that a fourth
15 or third of the time we had the dose wrong or
16 we found a new safety signal or the drug
17 didn't work, you know. And particularly in
18 some of our oncology products, this has become
19 really important that we got these trials in
20 any of them.

21 The SSRIs being another one, at
22 least the way the trials were studied, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 didn't work. So clearly, everyone agrees that
2 there is enormous off-label use, that we are
3 treating children without a fraction of the
4 knowledge we demand for adults and that this
5 is an effort to try to go forward and get some
6 of this information.

7 And so in the process of what
8 we're doing now, the mandate we have today is
9 to look at when we have done that, are there
10 any additional safety signals, because we do
11 know that, as one of our classic examples
12 previously, there was a lot of increased use
13 after these products do get studied.

14 So that's what we are trying to do
15 for the studies. However, what we are telling
16 you is that AERS is hypothesis generating. It
17 won't answer the question for us often. I
18 mean, sometimes something is very peculiar or
19 very rare or so dramatic that we do get the
20 answer. And we are interested in your
21 hypothesis that you think need further study,
22 you know, out of this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think Tom's question was
2 well, we know there is off-label use, but what
3 question are we going to ask about it? What
4 would be our question to go back and look at
5 or is there a study that would help us address
6 the issue that is being -- that they are
7 looking at right now?

8 ACTING CHAIR WARD: Larry?

9 DR. SASICH: Yes, kind of going to
10 Dr. Dure's point about a large amount of off-
11 label use. I think one of the enormous
12 deficiencies of the antidepressant medication
13 guide is the fact that there is no
14 communication there of the large number of
15 negative studies of the use of these drugs in
16 the treatment of major depressive disorder.

17 It would be -- and I have always
18 thought for a long, long time if we did have
19 medication guides for every drug that
20 consumers and parents of consumers would know
21 which uses are approved and which uses are not
22 approved. And that is the point at which the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 parent of a patient or a patient can go and
2 get into a discussion with the physician about
3 the risks and benefits of an off-label use.

4 It may be perfectly appropriate,
5 the physician may be doing research in that
6 area and he has got a strong feeling. But
7 here we have got a bunch of negative trials
8 and I would like to suggest that for, I guess
9 I would like to suggest it, every medication
10 guide and I don't think it applies to every
11 medication guide.

12 But for the antidepressant
13 medication guides, that they need to reflect
14 the fact that we have a whole bunch of
15 negative studies using these drugs in major
16 depressive disorder. So I think that would go
17 a long ways in dealing with the issue of
18 appropriate off-label use, if there is a
19 discussion between the physician and the
20 patient or a patient's parent.

21 DR. LAUGHREN: You know, I think
22 it's important to distinguish between negative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trials and whether or not you have shown that
2 a drug doesn't work. I mean, the implication
3 of your question is that if you have a lot of
4 negative trials, that that's evidence that the
5 drug doesn't work and you should convey that
6 information to patients.

7 And I don't think that's
8 necessarily the case. And it's very difficult
9 to convey this information in labeling. And,
10 in fact, the labeling for all these products
11 does state what the evidence is for that
12 particular drug in pediatric patients. I'm
13 sorry?

14 DR. SASICH: I am only talking
15 about the medication guides.

16 DR. LAUGHREN: Right. But what
17 I'm saying is that it's difficult enough to
18 convey that message to clinicians let alone to
19 try and convey it to patients, because I don't
20 know. You know, we have like 15 trials of
21 SSRIs in major depression in kids and only 3
22 of those 15, you know, were nominally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 positive.

2 Does that mean that these drugs
3 are useless in treating major depression in
4 kids? I wouldn't reach that conclusion. FDA
5 is not telling clinicians that they shouldn't
6 use these drugs in treating major depression
7 in children. And we say that in labeling.

8 DR. SASICH: That should be used
9 in the medication guide, also.

10 DR. LAUGHREN: Well, you know,
11 it's difficult. How would you want to convey
12 that in the medication guide? What message
13 would you want to tell a parent?

14 DR. SASICH: The particular drug
15 for which has been studied and which is not
16 shown to be effective in the treatment of
17 major depressive disorder. There should be a
18 brief statement in the medication guide to
19 that effect.

20 DR. LAUGHREN: Well, it's
21 something. We can take that back and see
22 whether or not there is a way to do that in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way that conveys that message, but also
2 doesn't, you know, give the message that the
3 drugs are of no value. That we have evidence
4 to suggest that they are of no value, because
5 that's a different message.

6 ACTING CHAIR WARD: All right.
7 Let me move on to the last question on the
8 slide. Does the Committee agree with
9 returning to routine monitoring? And let me
10 put it another way. Does anyone disagree with
11 returning this to routine monitoring?

12 DR. NEWMAN: Is that a question?

13 ACTING CHAIR WARD: Yes, that is a
14 question, Tom.

15 DR. NEWMAN: Yes, no, I just had a
16 question then about the slides. I didn't -- I
17 might have missed this, but I didn't know what
18 the TdP-related adverse events were. What TdP
19 stands for.

20 DR. JONES: Sorry. TdP is
21 Torsades de Pointes. The cardiac rhythm
22 associated with QT prolongation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NEWMAN: Okay. Thank you.

2 ACTING CHAIR WARD: V tac. Okay.

3 DR. NEWMAN: Can I just --

4 ACTING CHAIR WARD: Yes.

5 DR. NEWMAN: -- clarify this?

6 ACTING CHAIR WARD: Yes. Okay.

7 DR. NEWMAN: So the plan is still
8 it will be routine monitoring, but you are
9 continuing to investigate the QT prolongation?

10 ACTING CHAIR WARD: Yes.

11 DR. NEWMAN: And because, I mean,
12 my thought would be that the analyses done by
13 the sponsors of the Medicaid data and the GPRD
14 are actually much stronger methodologically
15 than AERS if they were done right. But I
16 would want FDA to look them over very
17 carefully and make sure that they, you know,
18 were done right and can reach valid
19 conclusions. But if they were, I think these
20 confidence intervals are very narrow and quite
21 convincing.

22 ACTING CHAIR WARD: All right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. JONES: Yes, but those
2 analyses are being reviewed currently.

3 ACTING CHAIR WARD: Yes. Right.
4 So that it really is underway for the SSRIs as
5 a class. Is that correct?

6 DR. LAUGHREN: Well, that's --

7 ACTING CHAIR WARD: Or it's still
8 open.

9 DR. LAUGHREN: It's a very good
10 question. You know, the fact that you find no
11 difference between citalopram and other SSRIs
12 doesn't necessarily reassure you that there is
13 not a problem here.

14 ACTING CHAIR WARD: Right, right.

15 DR. LAUGHREN: But the difficulty
16 is that these drugs, all the SSRIs, were
17 developed roughly 20 years ago when we weren't
18 looking as carefully as we are now at the
19 issue of QT prolongation. And the fact that
20 you don't find much of a signal in a Phase 3
21 trial doesn't really tell you very much,
22 because those are not done optimally to look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at that.

2 Ideally, we would have thorough QT
3 studies for all these drugs, which is
4 something that we are asking for for all drugs
5 that are coming through development now. The
6 difficulty is in knowing how to get that study
7 done for a class of drugs that is 20 years-old
8 and that's the challenge. But I agree that
9 having more specific thorough QT information
10 would help to answer the question for the
11 entire class.

12 ACTING CHAIR WARD: Where is the
13 NIH?

14 DR. LAUGHREN: Good question.

15 ACTING CHAIR WARD: Unless
16 somebody else expresses a concern, we'll
17 consider that the Committee concurs with
18 returning this to routine monitoring. Okay.
19 Thank you. Dr. Collins, you want to come talk
20 about Oxcarbazepine?

21 DR. COLLINS: I am pleased to be
22 able to present to you the one year post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exclusivity adverse event review for
2 oxcarbazepine. Trileptal or oxcarbazepine is
3 an anticonvulsant. Although its precise
4 mechanism of action is unknown, it is thought
5 that oxcarbazepine's anti-seizure effect is
6 exerted primarily via its 10 monohydroxy
7 metabolite or MHD.

8 This metabolite locks voltage
9 sensitive sodium channels resulting in
10 stabilization of hyper-excited neuro-firing
11 and diminution of the propagation of synaptic
12 impulses. The drug sponsor is Novartis and
13 the original market approval occurred on
14 January 14, 2000 and pediatric exclusivity was
15 granted on March 2, 2005.

16 Prior to the pediatric studies,
17 oxcarbazepine was indicated for monotherapy
18 and adjunctive therapy in the treatment of
19 partial seizures in adults and children 4 to
20 16 years-old with epilepsy. The next two
21 slides provide information about the use of
22 oxcarbazepine in the outpatient setting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 2.75 million oxcarbazepine
2 prescriptions were dispensed for all age
3 groups during the 12 month post-exclusivity
4 period. 28 percent of these prescriptions
5 were for the pediatric population. There was
6 a 2 percent increase in outpatient
7 prescriptions for all age groups between the
8 pre- and post-exclusivity periods with a 1
9 percent increase for the pediatric population.

10 Neurology was the most frequent
11 prescriber specialty during the 12 month post-
12 exclusivity period at 26 percent compared to
13 pediatrics at 3 percent. The diagnoses most
14 frequently associated with oxcarbazepine use
15 in the pediatric population were convulsions
16 at 30 percent and bipolar effective disorder
17 at 22 percent.

18 13 trials contributed to the
19 pediatric exclusivity studies. There were
20 four pharmacokinetics or PK studies in a total
21 of 218 patients age 1 month to less than 17
22 years-old utilizing oxcarbazepine monotherapy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or adjunctive therapy. There was one
2 monotherapy efficacy and safety study in 92
3 patients age 1 month to 16 years-old utilizing
4 low and high dose drug for five days.

5 There was one adjunctive therapy
6 efficacy and safety study in 128 patients age
7 1 month to less than 4 years-old utilizing low
8 dose oxcarbazepine for nine days or high dose
9 oxcarbazepine for 35 days. And there were
10 seven safety studies in a total of 337
11 patients age 1 month to less than 17 years-old
12 utilizing oxcarbazepine monotherapy or
13 adjunctive therapy for four to five days, less
14 than 30 days or six months.

15 The PD studies consisted of two
16 open-label age-stratified, pilot studies and
17 population PK sampling employed in the two
18 efficacy and safety studies. The PK results
19 were that, one, younger pediatric patients
20 required a greater weight-based dose to
21 produce the same concentration. Two, the
22 proposed dosing regimens for the adjunctive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 therapy were adequate. And three, data could
2 not be interpreted for the proposed
3 monotherapy dosing regimens.

4 The monotherapy study was a multi-
5 center, parallel-group, rater-blinded,
6 randomized comparison of low dose drug at 10
7 milligrams per kilogram per day versus high
8 dose drug that was titrated from 60 milligrams
9 per kilogram per day with a 2400 milligram per
10 day maximum.

11 The primary and secondary
12 endpoints utilized a time to failure design.
13 The primary endpoint was the time to meet
14 specified exit criteria based upon a central
15 rater-blinded reading of a 72 hour video-EEG.

16 The secondary endpoint was the percent of
17 patients meeting the exit criteria and the
18 number of partial seizures as determined by
19 electrographic manifestations alone.

20 The two exit criteria incorporated
21 into the endpoints were, one, three study
22 seizures with or without secondarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generalized seizures or, two, a prolonged
2 study seizure with an electrographic
3 manifestation of at least five minutes.

4 And, of note, here study seizure
5 is defined as a partial seizure having an EEG
6 finding for at least 20 seconds and a
7 behavioral manifestation. When the
8 monotherapy efficacy data were analyzed, there
9 was no difference in the primary endpoint
10 between the low and the high dose groups.

11 The adjunctive therapy study
12 utilized the design that was a multi-center
13 parallel-group, rater-blinded, randomized
14 comparison of low dose drug at 10 milligrams
15 per kilogram per day for six days versus high
16 dose drug at 10 milligrams per kilogram per
17 day with a slow upward titration to 60
18 milligrams per kilogram per day, as tolerated,
19 for 32 days with a subsequent 72 hour
20 inpatient video-EEG evaluation.

21 The study's primary endpoint was
22 the absolute change in study seizure frequency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 per 24 hours from baseline where, again, a
2 study seizure was defined as a partial seizure
3 having an EEG finding for at least 20 seconds
4 and behavioral manifestation.

5 There were multiple secondary
6 endpoints that included the percentage change
7 and study seizure frequency for 24 hours from
8 baseline, the absolute change in the frequency
9 of all electrographic seizures compared to
10 baseline and the response to treatment.

11 The efficacy results for this
12 study included, one, a greater absolute
13 reduction in the number of study seizures in
14 the high versus the low dose group. Two, a
15 greater reduction in the high dose group's
16 percentage change in study seizure frequency
17 and absolute change in electrographic
18 seizures. And, three, for patients under 24
19 months of age, there was no therapeutic
20 effects seen when baseline seizure frequency
21 was considered.

22 And this last bullet is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particularly interesting for it shows how the
2 pediatric studies revealed a difference in
3 efficacy in different pediatric sub-
4 populations.

5 Seven studies and 337 patients
6 contributed to an integrated safety analysis
7 since there was a similar safety profile seen
8 across all of the studies. The studies
9 included the two efficacy studies already
10 described, the two pilot PK studies already
11 described, four extension studies that were
12 six month open-label extensions of the
13 efficacy in the PK studies and one additional
14 open-label, multi-center, active-control,
15 flexible-dose monotherapy study.

16 There were five cases of deaths
17 occurring in the exclusivity studies, but each
18 case was confounded by medical conditions,
19 that is respiratory pathology or the seizure
20 disorder and/or concomitant medications.

21 Case 1 involved a 10 month-old
22 male with encephalopathy and a history of lung

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 infections who died from pneumopathy secondary
2 to an increase in seizures two days after
3 discontinuing oxcarbazepine.

4 Case 2 involved a 22 month-old
5 male with a history of influenza and oral
6 candida who died due to pneumonia that led to
7 sepsis while on oxcarbazepine monotherapy.

8 Case 3 involved a 13 month-old
9 female with developmental delay and static
10 encephalopathy who died due to a progression
11 of her seizure disorder, approximately, eight
12 and half months after discontinuing
13 oxcarbazepine.

14 Case 4 involved a 10 month-old
15 male with a history of bronchitis and cortical
16 dysplasia who died of sudden death two and a
17 half weeks after elective cortical resection
18 surgery while on oxcarbazepine.

19 And Case 5 involved a 40 month-old
20 female with developmental delay and cerebral
21 infarction who died due to bronchoaspiration
22 after a four hour seizure while on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 oxcarbazepine.

2 With regard to non-fatal, serious
3 adverse reactions seen during the studies,
4 18.4 percent or 62 out of the 337 patients
5 experienced serious adverse events with the
6 most common being convulsions at 5.9 percent,
7 status epilepticus at 3.9 percent and
8 pneumonia at 3 percent. These adverse events
9 are expected for this population and are
10 included in the drug labeling.

11 9.2 percent or 31 out of the 337
12 patients discontinued their participation in
13 the studies due to adverse events. The most
14 common reasons for discontinuation were
15 nervous system disorders at 6.5 percent, such
16 as seizure, tremor, somnolence and ataxia and
17 non-serious skin and subcutaneous tissue
18 disorders at 1.5 percent.

19 Rates of discontinuation due to
20 these adverse events were no greater than
21 those in prior studies and these events are
22 also listed in the drug labeling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Based on the PK studies, there was
2 a labeling change in the clinical pharmacology
3 section related to the decreased weight-
4 adjusted clearance of the 10 monohydroxy
5 metabolite or MHD as age and weight increases
6 in children.

7 The monotherapy efficacy results
8 were noted in the clinical study section of
9 the drug labeling. The labeling also noted
10 possible explanations for why the monotherapy
11 trial failed to demonstrate efficacy,
12 including, one, having a short treatment and
13 assessment period, two, the absence of a true
14 placebo and, three, the likely persistence of
15 plasma levels of previously administered anti-
16 epileptic drugs during the treatment period.

17 Please, note that oxcarbazepine
18 maintained its indication for monotherapy
19 treatment in pediatric patients 4 years and
20 older based on pharmacokinetics and
21 pharmacodynamic modeling that the sponsor
22 submitted after the issuance of the written

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 request.

2 Based on the efficacy results of
3 the adjunctive therapy study, labeling changes
4 were made in the Clinical Studies and the
5 Indications Sections of the labeling. The
6 Clinical Studies section noted the efficacy of
7 adjunctive treatment in children 2 years and
8 above and such adjunctive therapy in these
9 children is listed as an indication.

10 Based on the PK data, there were
11 also four additions to the Dosage and
12 Administration section of the labeling,
13 Pediatric Patients Subsection, that included,
14 one, in pediatric patients 2 to less than 4
15 years-old, treatment should be initiated at a
16 daily dose of 8 to 10 milligrams per kilogram
17 generally not to exceed 600 milligrams per day
18 given in a BID regimen.

19 Two, for patients under 20
20 kilograms, a starting dose of 16 to 20
21 milligrams per kilogram may be considered.
22 Three, children 2 to less than 4 years of age

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may require up to twice the oxcarbazepine dose
2 per body weight compared to adults and, four,
3 children 4 to less than or equal to 12 years
4 of age may require a 50 percent higher
5 oxcarbazepine dose per body weight compared to
6 adults.

7 The third and fourth bullets here,
8 in particular, demonstrate the importance of
9 the pediatric studies in determining
10 appropriate drug dosing regimens in the
11 pediatric population.

12 The next three slides list the
13 three sections of the labeling that were
14 changed to include new safety information. In
15 the Precautions section, Pediatric Patients
16 subsection, safety data were added from a
17 prior pediatric study that had not been
18 included in earlier labeling.

19 The labeling notes that in this
20 study of pediatric patients 3 to 17 years-old
21 with inadequately controlled seizures in which
22 Trileptal was added to existing anti-epileptic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drugs, cognitive adverse events were seen in
2 5.8 percent of the drug group and in 3.1
3 percent of the placebo group with
4 concentration impairment being the most
5 commonly seen event in the drug group.

6 Somnolence was seen in 34.8
7 percent of the drug group and in 14 percent of
8 the placebo group and ataxia or gait
9 disturbances were seen in 23.2 percent of the
10 drug group leading to a 1.4 percent
11 discontinuation rate in this group, and ataxia
12 and gait disturbances were seen in 7 percent
13 of the placebo group leading to a 0.8
14 discontinuation rate in that group.

15 The Precautions section, Pediatric
16 Use subsection, noted the increase in the
17 number of pediatric patients involved in
18 clinical trials to 898 with 332 patients
19 receiving monotherapy treatment. This section
20 also noted that the age range of these
21 pediatric patients had expanded to 1 month
22 until 17 years-old.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the Adverse Reactions
2 subsection titled Adjunctive Therapy or
3 Monotherapy in Pediatric Patients 1 Month to
4 Less than 4 Years Old Previous Treated or Not
5 Previously Treated with other Anti-Epileptic
6 Drugs noted that, one, the most commonly
7 observed adverse experiences were similar to
8 those seen in older children, except for
9 infections and infestations.

10 And, two, 11 percent of the 241
11 patients in this study discontinued treatment
12 due to an adverse experience with the most
13 common events associated with discontinuation
14 being convulsions at 3.7 percent, status
15 epilepticus at 1.2 percent and ataxia at 1.2
16 percent.

17 This table describes the Adverse
18 Event Report associated with oxcarbazepine and
19 reported to the FDA's Adverse Event Reporting
20 System since market approval of the drug.

21 For pediatric patients, there were
22 409 reports, which comprised 16.5 percent of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the total reports. Of these, 242 were U.S.
2 reports. There were 344 serious reports with
3 177 being U.S. reports and 21 death reports
4 with five being U.S. reports.

5 Focusing first on the pediatric
6 deaths. Of the 21 crude count report, there
7 were 13 unduplicated cases with four being
8 U.S. cases. Of the 13 unduplicated cases, one
9 case occurred during the post-exclusivity
10 period and 12 cases occurred between market
11 approval and the post-exclusivity period.

12 The case occurring during the
13 post-exclusivity period involved a 6 year-old
14 male who died in China due to rhabdomyolysis.

15 The child was treated with oxcarbazepine for
16 nine days prior at a dose of 150 milligrams
17 daily titrated to 300 milligrams daily, and
18 the child was hospitalized for fever and CPK
19 of 100,000.

20 There was insufficient information
21 to assess the possibility of drug causality,
22 because the report lacked important details

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regarding the patient's complaint of muscle
2 weakness, the presence of myoglobinuria, the
3 presence of renal failure and other factors
4 preceding the rhabdomyolysis such as the
5 occurrence of seizures.

6 The remaining 12 death cases
7 occurred prior to the post-exclusivity period
8 and are confounded by other suspect
9 medications, underlying medical conditions,
10 family history and/or insufficient details.

11 There was one suicide case of a
12 U.S. male with a self-inflicted fatal gunshot
13 wound after eight months of oxcarbazepine
14 starting at 300 milligrams per day and
15 titrated to 1,200 milligrams daily to treat
16 complex partial seizures.

17 The patient developed psychosis
18 described as periods of confusion prior to
19 death. He had no prior history of suicide
20 attempts and there were no concomitant drugs
21 per autopsy. His family history was positive
22 for depression, schizophrenia and drug abuse.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There were four seizure cases,
2 including a case of an 11 year-old male with a
3 history of nocturnal seizures who died due to
4 asphyxiation when he became wedged between the
5 bed and the nightstand during an evening
6 seizure, a case of a 9 year-old patient who
7 experienced status epilepticus during the
8 night and died, a case of a 15 year-old female
9 who died due to cardiac arrest after seizure
10 activity had induced a comatose state, and a
11 case of a 10 year-old male with multiple organ
12 system disorders who experienced status
13 epilepticus and subsequently died due to
14 multiple organ system failure.

15 There were two cardiac cases,
16 including a case of a 16 year-old patient who
17 experienced fatal cardiac arrest nine days
18 after an increased Lamictal dose, and a case
19 of an 11 year-old female on multiple suspect
20 medications who died due to myocarditis.

21 There were two unspecified deaths,
22 including a case of an 11 year-old male who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had received Trileptal for five to six years
2 without incident, had discontinued the drug
3 when diagnosed with lupus without improvement
4 and had restarted the drug for a year prior to
5 death, and there was a case of a 2 day-old
6 male whose mother had received multiple
7 medications during pregnancy.

8 And there were three additional
9 cases, including a case of a 15 year-old
10 patient who died of hepatic failure after
11 experiencing an inhalation pneumonia and
12 subsequent hypoxemia, hypotension and
13 compromised vascular circulation to the liver,
14 a case of a 10 year-old female receiving
15 oxcarbazepine for an unspecified disorder for
16 one and a half years prior to developing
17 nephrotic syndrome that did not improve with
18 corticosteroids and the discontinuance of
19 oxcarbazepine, and a case of a 4 year-old male
20 with a history of congenital hydrocephalus who
21 died due to infection peritonitis and
22 septicemia after experiencing an intestinal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perforation associated with the placement of
2 indwelling gastric catheter.

3 With regard to the non-fatal
4 adverse events since market approval, there
5 were seven cases of non-fatal hypersensitivity
6 reactions.

7 There was one anaphylaxis case
8 involving a 4 year-old male with progressive
9 stridor, drooling and croupy cough that
10 started 30 minutes after his first
11 oxcarbazepine dose. The patient recovered
12 after hospitalization and treatment with
13 epinephrine, dexamethasone and
14 diphenhydramine.

15 The anaphylaxis case prompted a
16 focused review of all pediatric severe
17 hypersensitivity reactions since market
18 approval leading to the identification of the
19 six non-fatal angioedema cases.

20 Case 1 involved a 5 year-old male
21 with angioedema on 7 ml po oxcarbazepine every
22 12 hours with unclear timing of the reaction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relative to drug use, and there were multiple
2 concomitant medications.

3 Case 2 involved a 5 year-old male
4 with periauricular edema and an allergic
5 exanthema occurring four days after starting
6 300 milligrams per day po oxcarbazepine.
7 Symptoms resolved within seven days after
8 oxcarbazepine discontinuance and the
9 administration of IV corticosteroids.

10 Case 3 involved a 7 year-old
11 female with an urticarial rash, facial edema
12 and feeling of suffocation occurring one month
13 after initiating 600 milligrams per day
14 oxcarbazepine. Symptoms resolved with Urbason
15 and it was unclear if the oxcarbazepine was
16 discontinued.

17 Case 4 involved a 9 year-old
18 female with a rash and eyelid edema three days
19 after decreasing her oxcarbazepine dose to 300
20 milligrams per day after she had experienced
21 dizziness and diplopia on 400 milligrams per
22 day. The symptoms resolved after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 oxcarbazepine discontinuance and
2 corticosteroids.

3 Case 5 involved a 12 year-old male
4 with facial edema, an allergic exanthema and
5 conjunctivitis occurring three days after
6 initiating 600 milligrams per day po
7 oxcarbazepine. Symptoms resolved within five
8 days after oxcarbazepine discontinuance and
9 corticosteroids, and the case was assessed as
10 probable oxcarbazepine causality.

11 Lastly, Case 6 involved a 16 year-
12 old female with hand and eyelid edema and rash
13 after eight doses of 3 milligrams BID po
14 oxcarbazepine. The patient in this case was
15 also taking isoniazid and there was no
16 information available on symptom resolution
17 and it was unclear if the oxcarbazepine had
18 been discontinued.

19 Of note, there are two sections of
20 the drug labeling related to hypersensitivity
21 reactions. The Warnings section states that
22 25 to 30 percent of patients with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypersensitivity reactions to carbamazepine
2 will experience hypersensitivity reactions to
3 Trileptal, and the Adverse Reactions section
4 notes that angioedema has been observed in
5 association with Trileptal.

6 This table describes the adverse
7 events reported during the post-exclusivity
8 period. For pediatric patients, there were 88
9 reports which comprise 18 percent of the total
10 reports. Of these, 59 were U.S. reports.
11 There were 82 serious reports with 53 being
12 U.S. reports and one foreign death report that
13 I have already described.

14 In order to better understand the
15 context of the Adverse Event Reports during
16 the post-exclusivity period, the next two
17 slides list the indications and the outcomes
18 associated with these reports.

19 There were 63 indications
20 associated with the Adverse Event Reports that
21 included seizure at 40, bipolar disorder at 6,
22 affective disorder at 5, attention deficit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hyperactivity disorder at 4, no indication for
2 fetus in utero with passive exposure at 4,
3 abnormal behavior at 2, labile mood at 1 and
4 opposition defiant disorder at 1.

5 There were 86 reported outcomes
6 associated with the Adverse Event Reports with
7 67 being serious adverse events and 19 being
8 non-serious. Out of the 88 crude count of
9 pediatric Adverse Event Reports there were 84
10 actual unduplicated cases or reports and 83
11 total non-fatal cases that included serious
12 and non-serious cases.

13 Of the 83 non-fatal cases, 52 were
14 cases of unlabeled or unexpected events and 31
15 were cases of events that were listed or
16 implied in the drug labeling. The 52 cases of
17 unlabeled or unexpected events, including
18 serious and non-serious cases, are categorized
19 by organ system on this slide.

20 For these cases, the events were
21 similar to those observed in adults excluding
22 the in utero events. In addition, there were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no compelling cases that suggested a potential
2 safety signal with the exception of the
3 anaphylaxis case already described and is
4 listed here as immunologic.

5 In order to demonstrate the
6 overall confounding nature of these cases, the
7 next four slides describe the neurologic and
8 the psychiatric events since they were the two
9 most frequently involved organ system.

10 For the neurologic, unlabeled
11 adverse events, the 10 cases were confounded
12 by insufficient details or alternative
13 explanations for the adverse events. There
14 was a case of a 13 month-old female with an
15 unknown genetic disorder on oxcarbazepine and
16 other drugs who experienced myoclonus without
17 an EEG abnormality. The dose of oxcarbazepine
18 was decreased and the myoclonus disappeared.

19 There were two seizure cases. One
20 case was linked to an increased Wellbutrin
21 dose and the other case lacked details or an
22 outcome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The seven other cases involved
2 events that were explained by alternative
3 etiology or that continued after oxcarbazepine
4 was discontinued. These included two cases of
5 sedation, one case of somnolence, one case of
6 forceful eyelid closure, one case of dystonia,
7 one case of depression and one case of mental
8 retardation.

9 For the psychiatric unlabeled
10 adverse events, the nine cases were confounded
11 by underlying medical conditions and/or
12 concomitant medications. For the three
13 suicide attempts or suicidal ideation cases,
14 there was a 14 year-old male with bipolar
15 disorder who experienced suicidal and
16 homicidal ideation that was not new behavior.

17 There was a 15 year-old female
18 with a multiple drug overdose, including
19 oxcarbazepine and it is unknown if she had
20 been prescribed oxcarbazepine. And there was
21 a patient with bipolar disorder on multiple
22 medications who experienced anger, agitation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and frustration that continued after
2 oxcarbazepine was discontinued, and this
3 patient later attempted suicide by ingesting
4 oxcarbazepine.

5 For the three hallucination cases,
6 there was a 9 year-old female on 1,200
7 milligrams daily of oxcarbazepine for 16 days
8 for seizures who experienced visual
9 hallucinations and an increased number of
10 seizures. Oxcarbazepine was discontinued and
11 the patient recovered.

12 There was a 7 year-old male who
13 experienced visual hallucinations of snakes
14 following increased doses of oxcarbazepine to
15 1,500 milligrams and dexamethylphenidate.
16 Oxcarbazepine was discontinued and the patient
17 recovered.

18 And there was a patient on
19 multiple drugs to treat attention deficit
20 hyperactivity disorder who experienced
21 hallucinations. An outcome was not reported
22 for this patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Lastly, the three other
2 psychiatric cases included a patient with
3 epilepsy, an unknown duration of oxcarbazepine
4 treatment who experienced attention deficit
5 hyperactivity disorder.

6 There was a patient on
7 oxcarbazepine concomitantly with Adderall who
8 experienced tantrums, aggression and weight
9 gain. Oxcarbazepine was discontinued and
10 there was no outcome reported for this
11 patient, and there was a 14 year-old boy with
12 severe learning disabilities who experienced
13 breath holding spells.

14 Of note, there is drug labeling
15 related to cognitive or neuropsychiatric
16 adverse events noting that the most common
17 central nervous system adverse events are
18 cognitive symptoms, including psychomotor
19 slowing, difficulty with concentration and
20 speech or language problems, somnolence or
21 fatigue and coordination abnormalities,
22 including ataxia and gait disturbances.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In summary, with regard to the
2 exclusivity studies, the deaths were
3 confounded by suspect medications, underlying
4 medical conditions and/or insufficient
5 details. And the most common adverse events
6 seen in pediatric patients 1 month-old to less
7 than 4 years-old were similar to those seen in
8 older children and adults.

9 With regard to the adverse events
10 seen since market approval, FDA's Division of
11 Neurology Products is evaluating
12 hypersensitivity reactions to further consider
13 if there is an association with oxcarbazepine.

14 And with this, I have now completed the one
15 year post-exclusivity Adverse Event Reporting
16 as mandated by the Best Pharmaceuticals for
17 Children Act.

18 In a few moments, Dr. Evelyn
19 Mentari from the Division of Neurology
20 Products will present an update on the
21 division's independent analysis of suicidality
22 in controlled clinical trials in all anti-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 epileptic drugs.

2 And after Dr. Mentari's
3 presentation, the following questions will be
4 posed to the Advisory Committee. Does the
5 Advisory Committee concur with the Division's
6 approach and does the Advisory Committee
7 recommend routine monitoring of oxcarbazepine,
8 at this point?

9 And in closing, again, I just
10 would like to acknowledge the numerous folks
11 that assisted with this presentation from the
12 Office of Surveillance and Epidemiology, the
13 Division of Neurology Products and the Office
14 of Clinical Pharmacology.

15 ACTING CHAIR WARD: I think we are
16 going to hold questions until after Dr.
17 Mentari presents.

18 DR. PENA: Dr. Mentari is a
19 medical officer on the Safety Team in the
20 Division of Neurologic Drug Products. I
21 should also mention that Dr. Russell Katz, the
22 division representative here at the table, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Division Director, Division of Neurology.

2 DR. MENTARI: Good morning and
3 thank you for this opportunity to speak about
4 our division's evaluation of suicidality and
5 anti-epileptic drugs.

6 The Division of Neurology Products
7 is analyzing the potential association between
8 anti-epileptic drugs and suicidal thinking and
9 behavior in placebo-controlled trials. The
10 division's analysis is independent of the
11 post-pediatric exclusivity post-marketing
12 adverse event review, which Dr. Collins just
13 presented.

14 Post-marketing cases of suicidal
15 thinking and behavior are difficult to
16 interpret. There are known limitations of
17 post-marketing data due to their anecdotal and
18 uncontrolled nature and patients with epilepsy
19 and other illnesses for which anti-epileptic
20 drugs are being prescribed have increased
21 risks of suicide when compared to the general
22 population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 An anti-epileptic drug sponsor
2 approached the division with concern of a
3 suicidality signal in their controlled
4 clinical trial database. In response, the
5 division initiated an analysis of suicidality
6 events in controlled clinical trial databases
7 of all anti-epileptic drugs. Sponsors were
8 asked in March 2005 to provide data from their
9 placebo-controlled trial experience and our
10 division will conduct a meta-analysis of all
11 data.

12 Our standardized approach is based
13 on previous FDA analysis of suicidality in
14 children and adolescents treated with anti-
15 depressants. In this analysis, pediatric
16 patients treated with anti-depressants were
17 found to have an increased risk of suicidality
18 compared to those treated with placebo.

19 Our analysis includes parallel-
20 arm, placebo-controlled trials with at least
21 20 subjects in each treatment arm. A search
22 for events related to suicidal behavior or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 possibly related to suicidal behavior was
2 performed by the sponsors using search terms
3 specified by FDA.

4 Our search terms include the
5 following, preferred terms with the text
6 strings "suic" or "overdos," including all
7 events coded as "accidental overdose,"
8 verbatim terms with the text strings
9 "attempt," "cut," "gas," "hang," "hung,"
10 "jump," "mutilat-," "overdos," "self damag-,"
11 "self harm," "self inflict," "self injur-,"
12 "shoot," "slash," "suic," "poison,"
13 "asphyxiation," "suffocation," "firearm," and
14 these events were screened for false
15 positives.

16 Our search terms also include all
17 deaths and other serious adverse events and
18 all adverse events coded as accidental injury.

19 After events were found using this
20 search strategy, structured narratives were
21 prepared. Based on these narratives, events
22 were classified into seven categories and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 classification was done by raters blinded to
2 treatment.

3 This is a list of our seven
4 suicidality event categories and they include
5 completed suicide, suicide attempt,
6 preparatory acts toward imminent suicidal
7 behavior, suicidal ideation, self injurious
8 behavior, intent unknown, not enough
9 information, fatal, and not enough
10 information, non-fatal. And this is a list of
11 the drugs to be evaluated.

12 At this time, nine sponsors have
13 submitted data and the data received so far
14 includes 36,290 subjects from 170 trials. The
15 oxcarbazepine data has been submitted.

16 Of the nine submissions received
17 so far, this chart represents the age
18 distribution and of the nine submissions
19 received so far, 29.4 percent of trials have a
20 trial indication of epilepsy. 34.6 percent of
21 trials have a trial indication related to a
22 psychiatric diagnosis and 34.6 percent of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trials have another trial indication.

2 As for the oxcarbazepine
3 submission, there are 12 trials which include
4 a total of 2,370 subjects and 1,470 of those
5 subjects were treated with the Trileptal.
6 And, again, this chart represents the age
7 distribution of the data received.

8 In the oxcarbazepine submission,
9 55.3 percent of the trials had a trial
10 indication of epilepsy. 4.9 percent of trials
11 had a trial indication related to psychiatric
12 diagnoses and 39.8 percent of trials had
13 indications related to other etiologies.

14 In terms of our future plans, our
15 meta-analysis will proceed once all sponsor
16 submissions are received and, depending on
17 results of the analysis, data may be presented
18 at an advisory committee meeting and/or
19 regulatory action may be indicated. This
20 concludes my talk. Thank you very much.

21 ACTING CHAIR WARD: Very good. I
22 believe the questions before the Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then are whether routine monitoring is
2 appropriate from this point forward.

3 MS. DOKKEN: I have a question.

4 ACTING CHAIR WARD: Okay. Debbie?

5 MS. DOKKEN: I guess this is more
6 a process or clarification question, but the
7 recommendation is for "routine monitoring,"
8 yet we heard about ongoing investigation of
9 hypersensitivity reactions and independent
10 analysis of suicidality.

11 And there is a part of my brain
12 that can't quite say this is routine if these
13 other analyses are going on independently, and
14 there is a part of, you know, sort of thinking
15 about the public that routine doesn't seem the
16 appropriate word either.

17 So could someone clarify? Is this
18 a process question, a clarification question
19 or just me?

20 DR. MURPHY: No, we -- because
21 this is an active process that is ongoing
22 right now, we did not want to indicate that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thought any other adverse event searching was
2 going to in the AERS database.

3 You know, in other words, if we
4 come back in another year with more AERS data
5 or two years, that is why that question was
6 phrased the way it was, because we think we
7 have the best possible process which is the
8 re-analysis of 170 trials going on right now.

9 So it does seem a little
10 disconnected, I agree, but that is what we're
11 trying to say. As far as our just coming back
12 and giving you another follow-up on adverse
13 event report, we don't know that that is, you
14 know, going to be very helpful.

15 ACTING CHAIR WARD: Yes. It seems
16 to me that part of the issue is have we
17 adequately fulfilled the mandate under BPCA at
18 this point in time. It clearly has identified
19 additional areas of concern that the Agency is
20 undertaking, but it's beyond the scope, I
21 think, of BPCA.

22 DR. MURPHY: The Committee can say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that you think the division should complete
2 their review, decide whether they want to
3 change labeling or not or bring it to another
4 advisory committee, and that's fine. You
5 could say we want you to give us outcomes, you
6 know, of what the division finds.

7 You know, there are a number of
8 options. I don't want to be putting words in
9 your mouth, because the division basically has
10 outlined, you know, we have a huge task before
11 us. I think anybody would agree with that and
12 we're not sure where it's going to come out
13 yet, but that we're trying to tell you that we
14 are being very attentive to making sure that
15 this issue is addressed.

16 So without being any more
17 directive, it's up for discussion by the
18 Committee for what other comments that you may
19 have or suggestions or requests.

20 ACTING CHAIR WARD: Rich and then
21 Tom.

22 DR. GORMAN: I am probably having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 less trouble with dichotomous statements than
2 some people, I would be comfortable, even
3 though I'm not voting, for a routine continued
4 analysis, but with the assurance from the
5 Agency that any pediatric relevant results
6 from the independent analysis for suicidality
7 and the hypersensitivity get reported to this
8 Committee.

9 DR. CNAAN: Along the same lines,
10 for the 170 trials, are you receiving actual
11 raw data or only the results to combine in a
12 meta-analysis?

13 DR. MENTARI: We have the raw
14 data.

15 DR. CNAAN: Okay.

16 DR. MENTARI: We actually had a
17 data request that was standardized for all
18 sponsors.

19 DR. CNAAN: Because in looking at
20 these 36,000 and whatever subjects, there are
21 maybe 2,000 subjects under the age of 17,
22 about pediatric subjects, and I think I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like to request a sub-analysis or something
2 focusing on that group.

3 DR. MENTARI: That is certainly
4 part of our plan, yes.

5 ACTING CHAIR WARD: Okay. Can I
6 ask a more technical question? The infants
7 under 24 months for whom efficacy was not
8 demonstrated, do we know that they had --
9 since the clearance seems to increase at that
10 younger age, do we know that they had
11 comparable AUC exposure to the group that
12 demonstrated efficacy?

13 DR. KATZ: I don't know the answer
14 to that off the top of my head, but I do know
15 that I believe we knew about the clearance
16 differences when the doses were determined for
17 the study, but I don't recall. Maybe there is
18 somebody else in the room who recalls whether
19 or not we had the exposure data specifically.

20 I doubt we did in the trial but, yeah, I
21 don't -- I mean, there is no way it would be -
22 -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACTING CHAIR WARD: When I skimmed
2 back through, I didn't see any reference to
3 pharmacokinetics in the analysis, as opposed
4 to just efficacy.

5 DR. KATZ: Yes, but, again, I
6 don't think we had plasma level data in the
7 trial.

8 ACTING CHAIR WARD: Other
9 questions, comments? Sorry. Hi, Tom.

10 DR. NEWMAN: Hi. Yes, two things.
11 One, you know, as I seem to keep pointing
12 out, it is dismaying that they got the
13 exclusivity when one of the trials they did,
14 the FDA felt was not adequately designed or
15 controlled and the results were
16 uninterpretable. That is the monotherapy
17 study and so I guess just to try to figure out
18 how that happened and keep that from happening
19 in the future.

20 And then a general comment about
21 how adverse events are reported. This is true
22 for many, maybe most drugs, maybe all drugs,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the way FDA reports these is just giving
2 a table of which ones occurred in more than 5
3 percent of the subjects, but without anything
4 that will help the user of the label to tell
5 whether this is a causal relationship and
6 whether it's statistically significant.

7 And so reporting, for example,
8 that the adverse events are similar to those
9 that were reported in adults, except that
10 there were more infections and infestations,
11 seems to me kind of silly because, of course,
12 children get more infections.

13 And so unless there is some
14 thought there that what you are reporting
15 relates to something that is causally related
16 to the drug, I don't understand what the point
17 is of reporting it and would urge that these
18 tables of adverse events include, you know,
19 the difference and whether it's statistically
20 significant between drug and placebo or
21 between the various drugs, so that the person
22 reading the label will know which ones are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually important and which ones are just the
2 fact that these are children and they get
3 infections.

4 ACTING CHAIR WARD: But in some of
5 these tables, they are describing simply
6 children or only children and they do have the
7 placebo group side-by-side. I think what is
8 missing is the statistical analysis of those
9 two frequencies.

10 DR. NEWMAN: Yes, that's missing.

11 DR. KATZ: A couple of things.
12 Yes, first of all, the second point about the
13 incidence of -- it's hard to know exactly how
14 to apply statistics to those sorts of things
15 and we typically don't. We just sort of
16 present what happened more often on drug and
17 placebo, and sometimes we even say in a sort
18 of footnote of the table what happened more
19 often on placebo than on drugs to give an idea
20 of what sort of things happen in these
21 populations.

22 But we don't typically subject

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these sorts of things and there are, of
2 course, many, many, many comparisons to formal
3 statistical, you know, inferential statistics.

4 It's hard to know what that would mean.

5 We just believe it's -- and,
6 again, it's done differently across probably
7 different groups, some tables of incidence of
8 5 percent and at least twice as great on drug
9 compared to placebo. So I'm not sure it's
10 immediately obvious how to figure out which
11 ones are drug-related other just to say this
12 happened more on drug than on placebo.

13 The other thing about the
14 monotherapy and granting exclusivity on the
15 basis of a negative study, I don't think we
16 thought that the study -- well, certainly,
17 going into it we didn't think that the study
18 was poorly designed.

19 I think it was high dose versus
20 low dose, which is -- it's very, very
21 difficult to do monotherapy studies in
22 epilepsy, placebo-controlled monotherapy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies, and so high dose versus low dose is--
2 you hope you pick a range of doses that will
3 allow you to demonstrate a difference, so that
4 the study would be interpretable.

5 And, as it turned out for various
6 reasons, we didn't think it was interpretable
7 and that happens, but I think the intention
8 going in was to design a study that looked on
9 face anyway as one that could show a
10 difference and that was the goal. It just
11 didn't work out.

12 DR. MURPHY: Tom, this is a
13 problem. It gets to when you can't do
14 placebos or you're doing add-on trials and you
15 have an option sometime of doing a dose
16 control and if you don't pick the right doses,
17 even though on face they ought to be high and
18 low enough that you would be able to see a
19 dose response, sometimes you don't.

20 And, actually, in our anti-
21 hypertensives we have seen this happen a
22 couple of times now, but the whole -- it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doesn't mean -- I guess that we're trying to
2 say it doesn't mean that they were poorly
3 designed. It meant that, yes, they didn't get
4 the right dose range and you could say we
5 should learn from that. But, clearly, people
6 who get exclusivity fail trials. That's the
7 way the law is written.

8 DR. NEWMAN: I think the point is
9 that it wasn't just a negative trial. Just
10 reading from the executive summary, it says
11 comparison of results across trials indicated
12 strongly that the Monotherapy Study 2339 was
13 not adequately designed and conducted. The
14 major deficiencies include the short duration
15 of the study and lack of documentation of
16 seizure rate at baseline. These deficiencies
17 render the study results uninterpretable.

18 So it's not just a study that
19 wasn't successful and, you know, had a
20 negative result, which is what you expect. It
21 was a study that FDA concluded generated
22 results which were uninterpretable. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerns me.

2 DR. MURPHY: That is the second
3 part. Okay. I just want to make sure we got
4 out that the dose design is an issue and
5 sometimes you pick them wrong.

6 The other question of when you
7 actually get all the way into the data and you
8 find out that people didn't do what they were
9 supposed to do and they still have gotten
10 exclusivity, because you hadn't gotten that
11 far into the data when you grant exclusivity,
12 is something the Agency has articulated as a
13 problem we have and we would rather be making
14 that determination.

15 Again, it doesn't have to succeed,
16 but we would rather be making that
17 determination after we have had sufficient
18 time to get into all of the data. So we're in
19 agreement with you on that point.

20 DR. JOHANN-LIANG: I just wanted
21 to -- if it's okay, I just wanted to add a
22 little bit about the causality of the adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 event issue.

2 Figuring out what the safety
3 issues actually do to the drug is sort of a
4 moving target. I mean, at the time of
5 clinical trials coming in, the hypothesis
6 there is to -- is an efficacy endpoint and,
7 you know, you get a whole slew of different
8 adverse events and the two arms coming in.

9 It's very hard for us at that time
10 to say much of anything, unless something
11 really strikes out and if something is -- so
12 it's hard to sort of tease out what we think
13 will be drug causal, what we think will not be
14 drug causal at that point and sometimes, you
15 know, it's important to have a listing of what
16 went on, so that as the body of evidence
17 grows, you can grow with the data coming in,
18 look at the aggregate of the integrated
19 safety.

20 And so there is some time. There
21 are inconsistencies in how we do this and how
22 we put it into tables, but there are some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rationales as to why things are projected this
2 way.

3 DR. NEWMAN: Yes, I do think, I
4 mean, what Dr. Katz said, that, I mean, having
5 that list of tables and showing the ones that
6 are more often with drug than placebo or more
7 often, you know, that's helpful.

8 But, again, as the consumer of
9 that information, the question is, well, was
10 this more than what would have been expected
11 by chance, and so the two things that you can
12 do to look at that or one are what are the
13 confidence intervals around the estimates.

14 And then you can just sort of see,
15 okay, well, there were four things where a
16 drug was significantly worse, yet there is
17 also four things where placebo was
18 significantly worse. You sort of go -- you
19 know, when you looked at 100 different things,
20 you're not impressed, but if all of the bad
21 things are on the drug side rather than on the
22 placebo side, that is a little bit more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impressive and that is information which
2 currently isn't always available.

3 DR. JOHANN-LIANG: And I think we
4 would love to have that kind of more of a
5 quantitative, you know, more of a scientific-
6 driven look, but it's not always so easy to be
7 able to do that. And we really do try to be
8 balanced in how we present it. And, again, as
9 more data comes in and we're able to -- you
10 know, it is a safety analysis, so we're trying
11 to aggregate on it.

12 We can go to that type of more
13 quantitative risk-benefit ratio and even an
14 adverse, you know, one arm to the other
15 statistical analysis.

16 ACTING CHAIR WARD: Yes, Dr.
17 Cnaan?

18 DR. CNAAN: There is one risk in
19 doing all of this significance testing is that
20 quite a few of these exclusivity studies are
21 not large, are not powered to begin with to
22 look at any adverse event whose incidence is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the low side, let's say anything less than
2 10 percent.

3 And if we go to the trouble or the
4 FDA goes to the trouble or the sponsor goes to
5 the trouble of doing all of these significance
6 testing, we might end up sending the wrong
7 message by saying it wasn't significant and to
8 begin with, it wasn't even powered to do it,
9 so we had better proceed with caution there.

10 DR. KATZ: You know, and not only
11 that, something I said earlier, that there is
12 untold numbers of these events and it's hard
13 to know how to apply statistics. I mean, what
14 do we call a different -- what do we call
15 statistically significant, a nominal
16 significance, a .05 even though you have made
17 100 comparisons. Does it have to be adjusted
18 for multiple looks? I don't think it's,
19 again, immediately obvious what the best way
20 is to present it.

21 I agree, there's lots of things
22 that happen that are more often on drug than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 placebo and there are some things that happen
2 more often on placebo than drug and it is hard
3 to know how to balance those two, how to
4 decide which one is real and which ones are a
5 chance finding. We don't have a perfect
6 solution.

7 DR. MURPHY: And I would say that,
8 at this point, our solution is try to give you
9 the placebo versus the other at least and
10 right to the point that was just made.

11 These studies often are small and
12 we don't want to actually send a more
13 reassuring message than is there, and that is
14 why the subsequent follow-up in the post-
15 marketing, as has been pointed out, as flawed
16 as our data collection system is, post-
17 marketing, once you get out into large
18 numbers, is where you're going to see what
19 really happens, and that we try to use that
20 data to change things as we learn.

21 ACTING CHAIR WARD: All right.
22 Let me bring the Committee's attention back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the two questions. Does the Advisory
2 Committee concur with the Division's approach?

3 Anyone not concur with the Division's
4 approach? Let's put it that way. Okay.

5 Does the Advisory Committee
6 recommend routine monitoring of oxcarbazepine,
7 at this point? Does anyone not? Okay.
8 Larry, what is your comment?

9 DR. SASICH: I like Richard's
10 statement. Can that be part of our
11 recommendation, that the ongoing monitoring
12 about the suicide risk does, in fact, come
13 back to this Committee for review?

14 ACTING CHAIR WARD: I think both
15 points that is once the meta-analysis is
16 conducted that it would be helpful for this
17 Committee to hear those results, especially
18 focus on the pediatric sub-population. Yes.

19 DR. SASICH: Thank you.

20 DR. MURPHY: Okay. So
21 particularly this Committee wants to see those
22 2,000 and some. At minimum now, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients that are classified as pediatric,
2 have that data analysis re-presented to them
3 whenever the division has completed that
4 review. And was there --

5 PARTICIPANT: The
6 hypersensitivity.

7 DR. MURPHY: And the
8 hypersensitivity. Okay. Thank you. Oh, you
9 want to say something?

10 DR. KATZ: Just about the
11 hypersensitivity. That's a much more routine
12 sort of thing that we do. If we believe there
13 is a signal from the post-marketing, we go and
14 look at the data and make a decision as to
15 whether or not the labeling ought to be
16 changed.

17 Again, that is sort of -- that is
18 different, sort of qualitatively, from this
19 suicidality analysis, which is a much more
20 formal, much more major, so I'm not exactly
21 clear from our procedure.

22 Does that mean the next time if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and when we decide, for example, to make a
2 labeling change, we have to come back and tell
3 the Committee or how does it -- I'm just
4 trying to learn the process.

5 ACTING CHAIR WARD: Let me just
6 try to clarify with the Committee. The label
7 as written contains an extensive list of
8 multi-organ hypersensitivity reactions that
9 seems to me to comprise or to contain all the
10 events that have been mentioned today as
11 occurring.

12 Is there concern that pediatrics
13 is at increased risk? Is there a specific
14 issue about hypersensitivity that we think
15 needs to be addressed?

16 DR. KATZ: Well, again, what was
17 presented here is something that the division
18 is still looking at is specifically the
19 question of anaphylaxis and angioedema. And,
20 again, we may, after having looked at the
21 data, decide just hypothetically that the
22 labeling needs to be changed. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 angioedema or anaphylaxis is in sort of what
2 we call the laundry list at the end, but it's
3 possible that we might make that more
4 prominent just hypothetically.

5 So that is something we sort of do
6 in the routine course of our work. Assuming
7 we did that just for argument sake, what is
8 the process? Do you want to hear about that?

9 Do we need another presentation of that or
10 what is -- I'm just trying to figure out the
11 process at this point.

12 ACTING CHAIR WARD: Let me pose
13 that question to the Committee. Do you want
14 to hear about the outcome of their analysis of
15 hypersensitivity, especially with respect to
16 angioedema and anaphylaxis?

17 DR. GORMAN: Both the outcome and
18 the determination by the Agency of what their
19 recommendation based on that data would be.

20 So if you say that you have come,
21 you have analyzed the data and the labeling is
22 adequate in your opinion, we would like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hear that. And if it's not adequate and you
2 want to change it, we can either support or
3 help you phrase it in a way that will make it
4 move faster through the Agency after it comes
5 from an advisory committee.

6 ACTING CHAIR WARD: Is that okay?

7 DR. MURPHY: I think what we're
8 just -- fundamentally, you want to hear the
9 outcome whether it's nothing and if it's
10 something, they can come back and say it was
11 something. The sponsor agreed with us. We
12 got it in the label. Are they going to come
13 back and say we think it's something, we're
14 still in negotiation, here is what the issues
15 are.

16 ACTING CHAIR WARD: Yes.

17 DR. MURPHY: That's what --

18 ACTING CHAIR WARD: And I would
19 maintain if it's no change and it's adequately
20 covered, that that doesn't even require
21 presentation, but could be covered in written
22 documentation to the Committee. Why waste

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your time and ours in a presentation? I mean,
2 yes, I agree. I'm speaking on behalf of the
3 Committee. Is that okay? All right.

4 We are moving ahead. I thought we
5 were going to just not talk about the next
6 one. Is that right? Okay. So, Dr. Johann-
7 Liang, do you want to deal with oseltamivir?

8 DR. PENA: I should also mention
9 that at the table we have Dr. Deborah
10 Birnkrant, Division Director, Division of
11 Antiviral Drug Products.

12 DR. JOHANN-LIANG: I'm going to
13 ask Dr. Mosholder to sit at the table as well.

14 We're going to be tag teaming this talk, just
15 logistically it came out better this way. I'm
16 going to sort of give you an update, bring you
17 to where we are, a little bit of history,
18 especially for the new Members and then Dr.
19 Mosholder, who is our divisional, you know,
20 psychiatric expert and our epidemiologist,
21 will walk through with you our most latest
22 review, another one. We have done a series of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these on Tamiflu and neuropsychiatric events.

2 Okay. So I'll go through some of
3 the background and then go over with you a
4 little bit more in detail what happened last
5 year in November regarding this drug and then
6 give you the safety update. You wanted to
7 hear about what happened with the skin and
8 hypersensitivity that was discussed last year.

9 I'll give you some update on drug use data to
10 put things in perspective.

11 I am going to touch upon the
12 pediatric death and then Dr. Mosholder will
13 follow me with a more substantial discussion
14 on the update in neuropsychiatric events.

15 The drug is oseltamivir. The
16 dosage form are capsules and oral suspension.

17 It is an antiviral, a neuraminidase
18 inhibitor. The sponsor is Roche and the
19 current indications are the treatment and
20 prophylaxis of influenza for, you know,
21 patients greater than 1 years of age.

22 It was first approved in 1999 with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the capsules in adults and then the
2 prophylaxis indication was added and went down
3 to the age of 13 and above in 2000. The
4 suspension was approved also later that year.

5 And then last year, we presented the
6 pediatric exclusivity, the BPCA Section 17
7 review, in November.

8 That presentation was basically
9 based upon the March 2004, one year following
10 that cutoff. So it was really June/July that
11 the initial review was done. That review was
12 followed-up with a formal review from OSE in
13 December. So there was a review done in
14 December. And then that was around the same
15 time that the prophylaxis of influenza for
16 pediatrics 1 to 12 was approved.

17 That's also the time when the skin
18 labeling went into, you know, the current
19 label. And then this year, because of the
20 Committee's charge, we came back with another
21 year's review of neuropsychiatric events that
22 takes account the 2005/2006 flu season and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is what's going to be presented today.

2 So going back to last year in
3 November when you all were here, there was a
4 long discussion actually on this drug. The
5 FDA presentations included clinical trial
6 safety data by Dr. Linda Lewis. There was
7 also pediatric post-marketing adverse event
8 review by Melissa Truffa and literature review
9 as well.

10 Presentations from CDC and Roche
11 were also done at that time. The consensus in
12 the room was that it was really unclear if
13 these neuropsychiatric adverse events
14 represented a safety signal specific to the
15 drug or a drug overlay on top of the disease
16 manifestation.

17 And there was a lot of discussion
18 regarding this issue of the Japanese
19 reporting. Most of the events were from Japan
20 and the drug, you know, for whatever reason is
21 used in a tremendous amount in Japan. And
22 there was also a lot of discussion about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether there were some specific flu disease
2 manifestations to the Japanese that's
3 different than the rest of the world.

4 There was a discussion about the
5 severe skin reactions and that was thought by
6 the Committee to be more likely drug-related
7 than the neuropsychiatric discussions that
8 were ongoing.

9 So the charge last year was that
10 we, the FDA should come back after an extra
11 flu season and just to give you guys an
12 update. I mean, it was kind of like the
13 discussion that went on right now. If there
14 wasn't much to update, then we can just kind
15 of say that or if there was something to
16 update, then you wanted to hear it.

17 But what you really wanted was
18 after two years of flu season to really come
19 back to this Committee and give an accounting
20 for what has happened. And you also asked
21 that the company who has a variety of other
22 studies ongoing come back as well and present

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the Committee with an update on the safety.

2 And if there are other efficacy studies being
3 done.

4 Okay. So to start off with to
5 give you an update on the drug use data for
6 this drug, this is our assessment done by the
7 DCRCUS folks with us using that Verispan that
8 I talked about earlier. And this looks at
9 sort of the flu season one year span. So you
10 can see that. You saw this data last year for
11 2005 after the end of the 2004 flu season and
12 that's sort of the blue bar is the total
13 market use and then the pink, you know, the
14 dark pink bar is the pediatric use in this
15 country.

16 The last set of bars is,
17 obviously, our update to you from the
18 finishing of 2005 flu season. And basically,
19 I think, the take-home message is that there
20 is a slight, maybe tiny increase, you know,
21 for both the total and the pediatrics. And
22 maybe that probably has to do with the concern

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701