

1 DR. HAUPTMAN: Thanks, Bill.

2 I will just add to the chorus. I  
3 think it was an excellent presentation today  
4 and provocative data. I would like to get  
5 back in the trenches just for a minute and  
6 begin by asking again the question I asked  
7 earlier today about the non-heart failure  
8 cardiovascular hospitalizations and a  
9 clarification that when a patient had a lead  
10 revision, was that listed as a non-heart fail  
11 cardiovascular or a heart failure admission or  
12 hospitalization or event?

13 And, second, I wanted to  
14 understand whether or the degree to which  
15 patients may have crossed over to other device  
16 technologies, like CRT.

17 DR. ABRAHAM: Paul, the events  
18 that comprised the primary endpoint were  
19 either hypervolemic or hypovolemic dehydration  
20 events, did not include those  
21 rehospitalizations for device-related events.

22 And I'm sorry. The second

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question?

2 DR. HAUPTMAN: CRT, ICD  
3 placements, and so forth in the group  
4 following randomization, which would  
5 presumably be a non-heart failure  
6 cardiovascular event.

7 DR. ABRAHAM: Yes. Let's go ahead  
8 and have -- do we have the device slide? Try  
9 to get you those exact numbers. As you saw in  
10 the baseline characteristics, there were in  
11 excess of 40 percent of patients that had  
12 existing devices.

13 Let's have this slide up, which I  
14 think -- show the slide, please -- which shows  
15 the concomitant devices by type implanted  
16 during the randomization period. So you see  
17 that there were a small number of devices that  
18 were implanted after enrollment in the trial.

19 Remember, the intention here was  
20 to try to have patients on a stable and  
21 optimal heart failure regimen before  
22 enrollment in the trial so optimization of ACE

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 inhibitor, beta blocker, use of a CRT for at  
2 least three months if a patient was indicated  
3 for a CRT, for example. So I think, as we  
4 would expect given those enrollment criteria,  
5 the number of concomitant devices subsequently  
6 implanted after randomization is relatively  
7 small.

8 DR. HAUPTMAN: Okay. Thanks.

9 This question may seem a bit dense  
10 at first, but I'll explain why I'm asking. Do  
11 you have any data about the time from, let's  
12 say, a phone call in either group to the  
13 subsequent admission or event?

14 And the reason why I ask that is  
15 I'm wondering whether to some degree we have  
16 an artifact of detection in the control group  
17 because a call, random or otherwise, that is  
18 made to a patient might not ordinarily have  
19 been made.

20 So while you might think that,  
21 "Well, this will mean that the clinician will  
22 be able to treat something if they hear about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it, it's also conceivable that they detect  
2 something that they otherwise would not have  
3 detected. And then that patient ends up with  
4 an ED visit or a hospitalization.

5 However, if the time between the  
6 phone call and the event is prolonged, then I  
7 don't think you can draw that conclusion.

8 DR. STEVENSON: We don't have that  
9 information, Paul, but I think this may be  
10 helpful to look at how urgent events were  
11 initiated and show this slide, please.

12 When we look at the two groups, in  
13 fact, what led to the urgent events was  
14 surprisingly similar in both groups in terms  
15 of the majority of the events were actually  
16 initiated by the patient and not by a  
17 clinician, either by a phone call or by clinic  
18 visits. So about 60 percent the patient came  
19 and said, "I need to be taken care of," rather  
20 than somebody called them.

21 DR. HAUPTMAN: Okay. That's very  
22 helpful. Thanks.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Just two brief comments, then, one  
2 I guess more about the labeling. I would  
3 suspect that this is a device that should not  
4 be put in someone who has new onset heart  
5 failure but has established heart failure.  
6 Otherwise you'll be in a position of finding a  
7 number of patients who have improved their  
8 rejection fractions no longer have heart  
9 failure.

10                   Second is just a brief comment  
11 about the post-approval study. I am a little  
12 concerned about one part of the design. I  
13 guess it's in your pack on page 8-3. And that  
14 is that the centers not using Chronicle will  
15 have control group patients only. And the  
16 question is, why are you designing it in that  
17 way?

18                   If you want a little more insight  
19 into long-term follow-up, real world use, and  
20 so forth, you would probably want a  
21 non-Chronicle center to start using it and  
22 seeing what kind of effects you get in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 hospital that doesn't have the clinical trials  
2 experience in COMPASS.

3 DR. STEINHAUS: We're certainly  
4 open to changes in that trial design, first  
5 of all, let me say. And I think it really is  
6 a question of numbers. I mean, you know,  
7 we're looking for 400 patients in each arm.  
8 And we assume that most of the Chronicle  
9 center patients will probably want to go into  
10 that trial, but we don't know that for sure.

11 So it's a good question. We don't  
12 really have a good answer for you other than  
13 we're open to consider changes in that design.

14 We have a slide. We can put the slide up if  
15 you would like, but I don't think it is  
16 terribly helpful to your question.

17 DR. HAUPTMAN: I understand there  
18 can be crossover, but, of course, if a center  
19 decides not to cross over, then you're going  
20 to have potentially some effects that are  
21 related to the hospital. And that I think  
22 adds to the complexity of the statistical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 analysis.

2 Thank you.

3 DR. BLACKSTONE: Not possible,  
4 Bob. In other words, if you have a systematic  
5 one institution does one thing and one thing  
6 the other, it is permanently confounded by  
7 institutional factors and never separable. So  
8 propensity scores won't help you.

9 CHAIRPERSON MAISEL: The panel has  
10 a question regarding the post-market study  
11 design. So we can all participate in that  
12 discussion a little later.

13 Paul, do you have any other  
14 questions?

15 DR. HAUPTMAN: I am set, Bill.  
16 Thanks.

17 CHAIRPERSON MAISEL: Okay. Dr.  
18 Fleming?

19 DR. FLEMING: First I also want to  
20 say what a very informative, excellent  
21 presentation this morning, made it simple for  
22 the lay person, like myself. Okay?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           As a consumer rep, obviously my  
2 concerns lean more toward direct patient care  
3 and the benefit to the patient of anything  
4 related to a medical device. So I want to ask  
5 a couple of questions that may seem a little  
6 bit off of what we have been talking about  
7 here for the moment, but I think they do  
8 impact directly on the panelists'  
9 deliberations today.

10           One question I have for the  
11 sponsor is, where do you see this device going  
12 in the future? In other words, I can see, for  
13 example, that a device of this sort could be  
14 integrated, as Dr. Page referred to earlier,  
15 into a unit that does more than just one  
16 thing, one or two things, but, secondly, that  
17 it might be able to be paired with some sort  
18 of an infusion pump, for example.

19           I'm just raising this issue as of  
20 interest because a patient is going to look at  
21 this. And they are going to say, "Well, I am  
22 still having to go to the hospital just as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 many times as I did before."

2 And that ties to my second  
3 question. I am wondering if you would mind  
4 for the panel's benefit and my own summarizing  
5 what you see as the benefits to patients, very  
6 simple, straightforward questions.

7 DR. STEINHAUS: Let me take the  
8 first question first. We are excited about  
9 this technology. We really are. In fact,  
10 those of you who know about Medtronic know  
11 that we have changed our name. We used to be  
12 Cardiac Rhythm Management for our division,  
13 and we now call ourselves Cardiac Rhythm  
14 Disease Management because we very much see  
15 this as the first in perhaps a sequence of  
16 other things we might be able to do to improve  
17 patient care.

18 If you think about it, we have  
19 been having the building blocks for that  
20 possibility for quite some time now. We have  
21 the system we call CareLink, which allows us  
22 to have remote access to the patient. We have

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 another system. Part of our telemetry is  
2 going to be the distance telemetry. So it  
3 takes compliance out of the picture.

4 Patients can have their values if  
5 they were values from the Chronicle or if they  
6 were from some other values from an ICD device  
7 or any other device you might have  
8 automatically sent to the secure Web site as  
9 well on a daily basis, if necessary, with  
10 alerts and all of that.

11 So we very much see as sensor  
12 development occurs, there has been a lot of  
13 work done in miniaturization of sensors. And  
14 we may be able to measure lots more things.  
15 How much of those will be valuable? We don't  
16 know at the present time, but we at least  
17 believe that some of them will be valuable.

18 After all, many of these patients  
19 that we deal with who have heart failure have  
20 a lot of other co-morbidities. They have  
21 diabetes. They have hypertension. They have  
22 obesity. They have hypercholesteremia, sleep

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 apnea. I mean, you can go down the list. In  
2 fact, it's really quite striking how many of  
3 them have these co-morbidities.

4 And if we can figure out more of a  
5 holistic approach so we can actually start  
6 managing the patients through these devices  
7 and improve their lives, we really think there  
8 is a huge advantage there.

9 And one of the things that you  
10 mentioned was, you know, perhaps delivering it  
11 through a drug pump. I mean, it might be that  
12 if we can have the appropriate sensor that can  
13 measure this and can measure cardiac output,  
14 you might have, for example, the machines  
15 adjusting themselves.

16 With CRT therapy, you might have  
17 VB timing or atrial ventricular timing  
18 adjusting itself in the machine or you might  
19 have a drug pump that's hooked up via  
20 telemetry to this machine. And it might give  
21 drug therapy at a certain time when the  
22 patient needs it or not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   This is all far out. I'm not  
2 saying we have this available right now.  
3 We're not asking FDA for approval of that  
4 right now. Let me make sure you understand  
5 that. But, I mean, it is true that that is  
6 sort of where we believe we are going. That  
7 is our vision for the future, and I think it  
8 is a really bright future. And I think it is  
9 going to be an interesting road getting there.

10                   Your second question related to  
11 about the patient.

12                   DR. STEVENSON: I am sorry. About  
13 the patient.

14                   DR. STEINHAUS: Yes. I was going  
15 to go there.

16                   (Laughter.)

17                   DR. STEVENSON: I am sorry. As a  
18 heart failure doctor, I want to tell you what  
19 this is about. So you have just been  
20 hospitalized with heart failure. You were  
21 really short of breath. You were scared. And  
22 you don't ever want that to happen again.

1                   And you come back to clinic. And  
2 I try to explain to you that it's because  
3 those filling pressures went up, that your  
4 lungs filled up with fluid and you got short  
5 of breath. And I explain to you that we are  
6 going to be adjusting your diuretics to try to  
7 keep those pressures low so this doesn't  
8 happen to you again and that I am going to be  
9 able to find out at home when we need to make  
10 those adjustments.

11                   But one of the things that we  
12 haven't even discussed at all today because  
13 the control group has a device in and, in  
14 fact, the majority of patients thought it was  
15 being monitored, so they got the benefit of  
16 this, which normal patients wouldn't, is that  
17 when you don't feel well and you wake up in  
18 the morning and it's a bad day, you think,  
19 "Oh, what if it is happening again? What if  
20 it is coming back?" that you can transmit  
21 immediately and you call the nurse and you  
22 say, "Look, I don't feel well. I just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transmitted," and she can say, "No. It's  
2 okay. Your filling pressures are all right"  
3 or she can say, "They're up a little bit.  
4 Let's try increasing your diuretic."

5 And this degree of reassurance  
6 that this provides to patients is tremendous,  
7 but it's not something that we can show you in  
8 a study because our control patients have that  
9 as well. But that is a major thing as a  
10 patient that you would derive from this  
11 technology.

12 DR. FLEMING: Yes. That's what I  
13 was trying to get at. I see something that  
14 goes beyond the studies that is of benefit to  
15 the patient that is frightened. This is  
16 happening to them so frequently. And God  
17 knows the cost to the health care system of  
18 all this sort of thing. So I felt it very  
19 important to address that question.

20 CHAIRPERSON MAISEL: Dr. Yaross?

21 MEMBER YAROSS: Thank you.

22 I would like to comment on a

1 couple of things that I have heard in the  
2 discussion thus far today. And one had to do  
3 with the fact that here we have a device  
4 system and we're not looking purely at the  
5 device alone but the interaction with the  
6 center, the interaction with the physician or  
7 other health care professional.

8 And what I would comment on is I  
9 think that this is truly a factor of many,  
10 many medical devices and clearly is one of the  
11 ways that devices differ from pharmaceutical  
12 research and, you know, part of the difficulty  
13 inherent in doing randomized studies, complex  
14 device systems.

15 I think that the sponsor made a  
16 tremendous effort to design the study to try  
17 and address some of the complexities around  
18 blinding, but I think we have to recognize  
19 that clinician skill as a factor is true of  
20 many devices and is part of the real world of  
21 medical devices.

22 There has also been quite a bit of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion about whether the sponsor was  
2 unlucky in a number of factors. And I think  
3 that it's unrealistic to expect a perfect  
4 study, especially when something is first of a  
5 kind, and that sponsors tend to do the best  
6 that they can. In this case, compliance was  
7 exemplary. I can't recall the last study I  
8 saw with 99.6 or whatever percent compliance.

9 You know, to the extent luck or  
10 unluck comes into play, to the extent that a  
11 bad statistical break can account for  
12 differences in baseline characteristics,  
13 sponsors shouldn't be penalized for random  
14 occurrences. So I would just ask that the  
15 panel as deliberations continue think about  
16 those points.

17 CHAIRPERSON MAISEL: Thank you.

18 I just have a couple of quick  
19 questions. One is related to slide C-49,  
20 where you showed the correlation of the  
21 estimated pulmonary artery diastolic pressure  
22 and the correlation between the device, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Chronicle, and the pulmonary artery catheter  
2 was not quite as good as systolic or diastolic  
3 pressure. In particular, there were some  
4 patients who have quite a significant  
5 difference between the two readings.

6 And I wonder if you might tell us  
7 what percentage of patients had more than a --  
8 you know, pick a number -- ten-millimeter  
9 difference between the actual value from the  
10 PA catheter and the Chronicle device and what  
11 explanations you have when those differences  
12 do occur.

13 DR. ADAMSON: This is from  
14 Magalski and colleagues, who published this in  
15 the Journal of Cardiac Failure. And,  
16 remember, these are comparisons between a  
17 fluid-filled catheter and the high-fidelity  
18 sensor in the right ventricle. So the  
19 Swan-Ganz catheter was meticulously calibrated  
20 and the transducer was set meticulously, but,  
21 as has been already mentioned, there are some  
22 vagaries about the transmission of pressure in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a fluid-filled catheter system comparing to an  
2 instantaneous sensor.

3 The ePAD studies early on  
4 actually, if I could show you just the next  
5 slide up, were done with high-fidelity Malar  
6 catheters, rather than the fluid-filled  
7 catheter system, to validate that the pressure  
8 in the right ventricle at maximum  $dp/dt$   
9 actually is equivalent to the pulmonary  
10 diastolic pressure.

11 And here you see a better  
12 correlation. And, in fact, here you see  
13 another study that is published that  
14 demonstrates an  $r^2$  value of 89 percent. And  
15 this is across multiple different type so  
16 interventions, rest, upright, valsalva,  
17 bike-exercised, dobutamine nitroglycerine.  
18 And you can see that in throughout those  
19 physiologic events, the correlation between  
20 high-fidelity measurements are very tight.

21 So a fair amount of that  
22 variability, I believe, came from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measuring technique that we called the gold  
2 standard, which I think was probably not as  
3 gold as the sensor itself.

4 CHAIRPERSON MAISEL: Okay. Great.

5 Thank you.

6 I was wondering if someone from  
7 the sponsor could comment on what I will term  
8 the biological plausibility of seeing New York  
9 Heart Association class III patients improving  
10 with the device and the observation that the  
11 class IV patients may do worse or we have  
12 observed that possibly that they do worse.

13 If we believe that to be true, how  
14 do we explain that observation from a  
15 biological perspective? And if we can explain  
16 it, should we be implanting the device in  
17 class III and then explaining it or not using  
18 the data when they get to class IV?

19 DR. ABRAHAM: You know, I am a  
20 little bit reluctant to draw any great  
21 conclusions on the data set in regard to the  
22 class IV subpopulation because it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relatively small. So with that sort of  
2 caveat, you know, starting off here, you know,  
3 I do think we need to explore the question a  
4 bit more going forward and find out who the  
5 best patients are.

6 You know, one concept, for  
7 example, may be that there are some patients  
8 who, you know, are so ill and prone to  
9 decompensation that may be managing their  
10 filling pressure, you know, won't keep them  
11 out of the hospital. Part of it may relate to  
12 how we manage their filling pressure.

13 When this study was begun, we  
14 pretty much only had diuretic therapy. And,  
15 as you notice, we had a creatinine cutoff as  
16 high as 3.5 as an eligibility criteria. So  
17 some of these patients may be  
18 diuretic-resistant.

19 Nowadays maybe there are  
20 alternative ways to remove fluid in those  
21 patients, but I think that there are a lot of  
22 insights that we might gain that are more

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hypothesis-generating about that class IV  
2 population than definitive.

3 DR. STEVENSON: I just want to  
4 address your question of the biologic  
5 plausibility of improvement in the class III  
6 patients. This is actually all patients, the  
7 slide I am going to show you right now.

8 Slide on, please. This data is  
9 not in your panel pack. Again, it's the first  
10 time that anyone will have seen it outside of  
11 our analysis. This is a very provocative set  
12 of data that really further supports the  
13 physiologic validity of this concept. And  
14 what we're looking at here is the difference  
15 between the right ventricle systolic pressure  
16 measured over time compared to baseline.  
17 We're looking at the changes in that.

18 In the Chronicle group, you can  
19 see that this pressure declines over time.  
20 This is six months at this point. In fact,  
21 this continues to decline over time. These  
22 patients are at a significantly lower volume

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 status by the end of the 12 months than they  
2 were at the beginning. This compares to the  
3 control group in which during the six months  
4 of the randomized time in which we did not  
5 have access to the hemodynamic information,  
6 they had no overall change in their filling  
7 pressures.

8           Once it was unblinded and we could  
9 see the filling pressures, we could begin  
10 intervention. And there was a trend by the  
11 end of that six months for them also to have  
12 what we might consider as a hemodynamic  
13 remodeling or a gradual return towards  
14 normalization of left ventricular filling  
15 pressures.

16           So I believe this gets to the  
17 biologic plausibility of why, in fact, we may  
18 see improvement in this population. And this  
19 improvement is something that is all patients  
20 over time, which is perhaps more relevant than  
21 just those patients who were hospitalized.

22           CHAIRPERSON MAISEL: Thank you.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Then I just have one question  
2 regarding a clarification. I was a little  
3 confused about which lead or leads are being  
4 asked for approval in the application. Are  
5 both leads, 4328(a) and (b), part of the  
6 application that we're reviewing today?

7           MR. MANDA: That's right. Both  
8 4328(a) and 28(b), yes, they are.

9           CHAIRPERSON MAISEL: And the  
10 4328(a) was the one that had the hermetic seal  
11 problem?

12           MR. MANDA: Yes. And then that  
13 subsequently was also changed as part of the  
14 manufacturing correction before the COMPASS-HF  
15 trial began. And so that is correct.  
16 4328(a), the device version, was what was  
17 credited in COMPASS-HF.

18           CHAIRPERSON MAISEL: And so the  
19 corrected version is the (b) version or --

20           MR. MANDA: Both of these, the  
21 corrections that you are referring to with  
22 respect to the hermetic seal, that was already

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incorporated before the beginning of the  
2 COMPASS-HF, which is 4328(a).

3 The 4328(a) and the (b) leads are  
4 essentially the same lead. We implemented a  
5 new class III through and essentially the lead  
6 body. The pressure sensing capsule, the basic  
7 functionality of the leads are identical.  
8 It's really to improve our manufacturability  
9 of the lead as well. And this has been part  
10 of the FDA's review as well. And their  
11 summary acknowledges that, too.

12 CHAIRPERSON MAISEL: If you needed  
13 one of the leads, which one would you have  
14 implanted in you?

15 MR. MANDA: 4328(b) or (a), either  
16 one.

17 (Laughter.)

18 CHAIRPERSON MAISEL: Good answer.

19 And then I have one observation, which is,  
20 you know, when we look at the number of  
21 reduced hospital equivalents, it appeared that  
22 at 6 months, there was a reduction in 29

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events in the Chronicle group among 124  
2 implants.

3 And so just my quick math suggests  
4 that that means that there are 4 implants to  
5 this device to prevent one hospital equivalent  
6 in 6 months or another way of looking at it  
7 would be 124 hospitalizations to prevent 29  
8 with a net negative of 90-something. So I am  
9 just trying to put a little balance onto the  
10 number of procedures versus the number of  
11 hospitalizations that we save.

12 So at this point I would like to  
13 move on to the FDA questions and give the  
14 panel to discuss or summarize some of the  
15 issues we have discussed at length already.  
16 So we will have opportunity to converse a  
17 little more.

18 So I would ask the FDA to put up  
19 question one, please. That's fine. I can  
20 read it. So question one is "Please provide  
21 your clinical and/or statistical  
22 interpretation of the results of the primary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effectiveness endpoint analysis in the entire  
2 study population."

3 And I think we can add question  
4 two to this at the same time, which is "Please  
5 provide your clinical and/or statistical  
6 interpretation of the results of the primary  
7 effectiveness endpoint analysis in the New  
8 York Heart Association class III patient  
9 population alone and in the New York Heart  
10 Association class IV patient alone."

11 So limiting our discussion to just  
12 effectiveness, we have heard a lot of  
13 conversation. Maybe, Dr. Teerlink, would you  
14 like to try to summarize both your feelings  
15 and the panel's, what you have heard from the  
16 panel regarding primary effectiveness for the  
17 device?

18 DR. TEERLINK: I don't necessarily  
19 feel comfortable, you know, kind of speaking  
20 for the rest of the panel, but I can summarize  
21 my opinions if you want me to discuss -- are  
22 you asking me to answer the questions now or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 --

2 CHAIRPERSON MAISEL: Yes. We are  
3 just talking. We have had a lot of  
4 conversation. We have looked at a lot of  
5 data. And now it is time to discuss the hard  
6 questions. Is the device effective at doing  
7 what the sponsor claims it does?

8 DR. TEERLINK: And so I think I  
9 presented my personal approach to that, which  
10 is that we have initial studies that looked to  
11 see, can it successfully measure right  
12 ventricular pressures and the hemodynamics  
13 related to that? And I believe it does. The  
14 evidence supports that it can measure those  
15 pressures.

16 The more important question as  
17 defined by the label -- and for me when I look  
18 at it from what is important to a patient is,  
19 does it, in fact, impact and improve, reduce  
20 patient hospitalizations for worsening heart  
21 failure?

22 And I see no evidence for that in

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any of the trial. You have the trial as it  
2 stands now cannot exclude a 25 percent in the  
3 hospitalizations. And I think we may be being  
4 prone to some wishful thinking here. We all  
5 wish to believe that the changes in  
6 hemodynamics directly correlate to our ability  
7 to reduce hospitalizations.

8 And it's a great hypothesis and  
9 one that I in my heart of hearts would love to  
10 believe in. Unfortunately, I don't believe  
11 that this trial has provided significant  
12 evidence or sufficient evidence for me to have  
13 that hypothesis verified.

14 There has not been a decrease in  
15 hospitalizations. And, in addition, we are  
16 asking every patient to undergo a procedure  
17 which then requires some patients to be  
18 rehospitalized for the device.

19 So if that is what you are looking  
20 for, that is my quick summary.

21 CHAIRPERSON MAISEL: Other  
22 thoughts? Dr. Brinker?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BRINKER: So I am a little bit  
2 confused. I tend to believe what you are  
3 saying because it is true except that you are  
4 using the terms "hospitalizations" and  
5 "hospitalization equivalents" simultaneously,  
6 I think.

7 DR. TEERLINK: We can speak solely  
8 about hospitalizations as well. There is even  
9 less of a statistical power showing a change  
10 in hospitalizations.

11 DR. BRINKER: So I would like to  
12 see. I thought from the panel pack that there  
13 was a statistically significant difference in  
14 heart failure hospitalizations. No?

15 DR. TEERLINK: No.

16 CHAIRPERSON MAISEL: So I will  
17 remind the panel that the primary  
18 effectiveness was reduction in heart  
19 failure-related hospital equivalents.

20 Dr. Borer?

21 DR. BORER: I agree with John, but  
22 I would say it slightly differently. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, intuitively I think that this kind of  
2 approach probably works. However, what we  
3 need to do is determine whether the system is  
4 sufficiently effective so that it is  
5 acceptably safe for its intended use given  
6 what we know or what we can infer about  
7 safety.

8 What I think I know about the  
9 pathophysiology of heart failure is entirely  
10 consistent with what Dr. Stevenson said and  
11 other consultants the company said, which is  
12 that if you're going to pick a single  
13 parameter most closely associated with symptom  
14 development, it's PA pressure. There are  
15 others, but this is the one that is most  
16 closely associated.

17 And a device that allows me to  
18 interrogate this is really tantalizing and  
19 intuitively very attractive, but the question  
20 is, does the system, including the M.D.  
21 component, the physician component, using the  
22 current algorithm improve the symptom status

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of patients with several heart failure? To  
2 this I have to say intuitively I believe it  
3 does, but I can't support my intuition with  
4 any rigor.

5 And, at best if I were going to  
6 say what I think, I would have to say I think  
7 that the improvement, as I see it right now,  
8 is only modest with the current algorithm and  
9 system.

10 And, most importantly, then, --  
11 and, again, we are supposed to be talking  
12 about effectiveness, but you can't divorce the  
13 one from the other. Most importantly, though,  
14 I can't say that the effectiveness I  
15 intuitively am willing to believe renders  
16 acceptable the safety that I think I can  
17 infer.

18 CHAIRPERSON MAISEL: Other  
19 comments? Dr. Somberg?

20 MEMBER SOMBERG: I think I am not  
21 going to try to speak for the panel either,  
22 but it's my sort of feeling that there is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general belief that this may work. And it's a  
2 very good study, and it's a very good  
3 hypothesis-generating study for future.

4 But as a pivotal study, you know,  
5 if you want to use the phase one, phase two,  
6 phase three clinical trial design, this may be  
7 an early phase two study. But as a pivotal  
8 study, I do not see statistically it did not  
9 meet its endpoint.

10 There is a lot of suggestive data.

11 I wouldn't go so far as to say I have any  
12 belief that there will be 25 percent harm. I  
13 think that confidence interval is possible but  
14 very unlikely. But I think given the risk of  
15 an implantable device and given the number of  
16 patients that need this, it has to be shown  
17 definitively that this is an effective agent.

18 And it may be that the algorithms  
19 used, the interpretations could be fine-tuned  
20 to even further improve this to demonstrate  
21 that or the patients that you're studying may  
22 be more adroitly selected. But at this point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think we do not have a statistical  
2 significance. And I think everyone would  
3 agree there.

4 And the clinical benefit is  
5 unproved, then. And, therefore, we really  
6 have to ask for another clinical trial to  
7 definitively determine this because this is  
8 not some sort of tangential unimportant  
9 question. And I think it is one of the core  
10 issues in CHF therapy, which is a large chunk  
11 of cardiology.

12 So I would hope that no one gets  
13 discouraged from my and other people's  
14 negative feeling, but at the same time, it  
15 would be a real reach to say this is  
16 clinically significant when we fail on  
17 statistical significance.

18 CHAIRPERSON MAISEL: Is there  
19 anyone on the panel who feels that  
20 effectiveness has been demonstrated? Dr.  
21 Zuckerman?

22 DR. ZUCKERMAN: I am not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 responding to that question.

2 (Laughter.)

3 DR. ZUCKERMAN: I have a follow-up  
4 question to the --

5 CHAIRPERSON MAISEL: Okay. Just  
6 wait for one minute. So we're not taking a  
7 vote, but is there anyone who would like to  
8 make the case that effectiveness has been  
9 demonstrated, clinical effectiveness? Dr.  
10 Borer, effectiveness with regard to the  
11 primary endpoint?

12 DR. BORER: I guess I have a  
13 comment, rather than specifically saying that  
14 clinical effectiveness has been proven if you  
15 want to say clinical effectiveness is a  
16 beneficial response of patients.

17 I would like to say that this is a  
18 diagnostic test. And because this is an  
19 implantable device, it has a higher standard  
20 than other diagnostic tests. This device in  
21 itself is non-therapeutic.

22 So we could look at BNP levels and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other in vitro diagnostic tests. And if they  
2 give you some idea of reality -- and we know  
3 we have accepted that this gives you the same  
4 quantitative data, hemodynamic data, that  
5 invasive cardiac monitoring does with the Swan  
6 or other catheter.

7 So I think that this is effective  
8 in telling you what the pressure is. The  
9 issue, really, that we are stuck with is,  
10 number one, while it intuitively is obvious  
11 that if we knew the pressures, we would be  
12 doing something about it, it hasn't been  
13 proven in the study to the degree at which  
14 most of us would feel comfortable, I believe.

15 However, I believe it is  
16 problematic for me because I could say that in  
17 individual patients, I know that this value  
18 would be important. And if it were available,  
19 I would use it. And I am torn between that  
20 issue and the concept that maybe this trial  
21 didn't fether out the best population and the  
22 best applicability.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But, again, this is a diagnostic  
2 test. And I have no doubt that this is a  
3 relatively safe diagnostic test and that it's  
4 effective as an invasive diagnostic test. And  
5 the real issue I would have is who should get  
6 it, rather than whether anyone should get it.

7 CHAIRPERSON MAISEL: Okay. I  
8 would also note that we are asked to comment  
9 on the clinical and statistical interpretation  
10 of the results of the primary effectiveness  
11 endpoint, which includes a reduction in heart  
12 failure regulated hospital equivalents.

13 Dr. Normand?

14 MEMBER NORMAND: I guess I am  
15 going to disagree with Dr. Brinker in the  
16 following aspect. If it is really viewed as a  
17 diagnostic instrument, I think we would be  
18 evaluating it on other criteria than those  
19 that you just proposed. We would be  
20 evaluating on not the accuracy as via a  
21 correlation coefficient, but we would be far  
22 more interested in the bid between clinician

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variability in decisions and things such as  
2 that.

3 So I guess from my standpoint, as  
4 a lay person, I am not convinced at all of the  
5 diagnostic accuracy of the device because  
6 there is a whole section in CDRH that knows  
7 how to analyze and design studies that way.  
8 So I guess I disagree with you on that  
9 particular point.

10 So I just wanted to raise that  
11 issue because this is what I started to say  
12 earlier. If it really was going to be  
13 assessed as a diagnostic tool, I think we  
14 would have had a different design. If it was  
15 going to be evaluated based on its patient  
16 endpoint, then those people who do diagnostic  
17 tests know that there have to be a lot more  
18 patients.

19 CHAIRPERSON MAISEL: So with  
20 regard to Sharon's comments, how does the  
21 panel feel about the effectiveness of the  
22 device for measuring pressures that it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 purports to measure? Does anyone have an  
2 issue with the device accurately measures the  
3 pressures based on the data that we saw,  
4 Sharon's comments notwithstanding? Dr.  
5 Somberg?

6 MEMBER SOMBERG: Well, I do not  
7 think this is as important as the comments I  
8 made earlier of the lack of statistical  
9 effectiveness and its true clinical  
10 effectiveness being in doubt. I was not  
11 convinced that this is measuring what it says  
12 it measures.

13 It may measure something that is  
14 useful. And it may turn out on the next  
15 randomized control trial that is designed  
16 differently highly statistically  
17 significantly, clinically benefit. But does  
18 it represent endiastolic pressure that is  
19 going to somehow be evaluated and treated and  
20 under all conditions.

21 And I think there are certain --  
22 what should I say -- validations that could be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 done in a cath lab situation of a catheter  
2 versus this device. So you didn't a fluid  
3 filled, and you had a gold standard.

4 You have different pressures.  
5 And, I mean, I could design these experiments.

6 You can do then in vitro. You can do then in  
7 vivo. Then you would give two beta blockers.

8 You could give inotropic agents, see it  
9 there is a dissociation or not.

10 So these types of things I did not  
11 see the evidence for. And I would say as a  
12 pharmacologist I would have demanded to  
13 validate my system if I was going back and  
14 validating the Walton Brody at a catheter.

15 But that may not be important. It  
16 may be more important to do a study and  
17 demonstrate clinical benefit. And I think you  
18 will find that in the end.

19 CHAIRPERSON MAISEL: Dr. Kato?

20 DR. KATO: I think one of the  
21 problems that we have faced as physiologists  
22 in cardiothoracic surgery in the whole idea of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pulmonary artery diastolic pressure.

2 The original studies that were  
3 done, actually, because the assumption behind  
4 this is that there is a Starling curve. And  
5 the Starling curve actually was developed  
6 using left ventricular enddiastolic volume.  
7 And that is very difficult to measure.

8 So we then estimate it as left  
9 ventricular enddiastolic pressure. Then we  
10 measure left atrial pressure. Well, that is  
11 difficult to measure. So then we measure  
12 pulmonary wedge pressure. Well, that's hard  
13 to do, too, with a certain risk. So what do  
14 we get? We have to use pulmonary artery  
15 diastolic pressure.

16 And, unfortunately, we can't even  
17 measure that here. We have to use this  
18 e-pulmonary diastolic pressure. So we're  
19 talking about a fourth or fifth order, maybe  
20 sixth order approximation of what the original  
21 observation was.

22 And that's where I also share Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Somberg's concern, is that is what we are  
2 measuring the right measurement? And maybe  
3 part of the problem in this is maybe that  
4 isn't the right measurement that correlates  
5 with these heart failure symptoms and  
6 hospitalizations, et cetera, et cetera.

7 CHAIRPERSON MAISEL: Dr.  
8 Zuckerman, did you want to comment from  
9 before? Okay.

10 Dr. Domanski?

11 DR. DOMANSKI: Yes. You know, I  
12 am impressed that this thing really is  
13 measuring pressures that are relevant to the  
14 ones that we try to measure when we assess  
15 heart failure.

16 The problem, you know, almost from  
17 my point of view almost from what has been  
18 presented today is if the FDA is going to  
19 require clinical trials, then it would be hard  
20 to come up with one more negative on its  
21 primary endpoint. I mean, if this isn't  
22 negative, what is?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   On the other hand, the device -- I  
2 am concerned that it is an artifact of the  
3 trial they did, rather than the device they  
4 developed. And I guess I am left wondering  
5 whether or not when you're looking at  
6 diagnostic devices a reasonable indication  
7 would be estimating these pressures without  
8 this particular endpoint being the most  
9 important thing.

10                   I mean, I could see these  
11 pressures being useful diagnostically and this  
12 trial not being particularly well-designed to  
13 demonstrate its utility.

14                   I understand we can't approve  
15 this. At least I don't think we can approve  
16 this thing to reduce hospitalizations with a  
17 trial that is negative. I mean, I don't know  
18 what to say, but I am concerned about letting  
19 this device go down when it might, in fact, be  
20 clinically useful for pressures.

21                   CHAIRPERSON MAISEL: Dr. Page?

22                   MEMBER PAGE: Just getting back to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your initial question and whether we thought  
2 the primary endpoint was reached, I think even  
3 the sponsor acknowledged it was not reached.

4 To answer your question number  
5 two, whether the class III and class IV  
6 distinctions are important, I consider those  
7 subgroup analyses without enough data to  
8 really say anything definitive. And for  
9 further research, I would still emphasize that  
10 class III and IV would likely be included in  
11 further studies.

12 But I don't think we can say that  
13 this helps one group and harms another group.

14 I don't think there are enough data there.  
15 The primary endpoint wasn't reached.

16 CHAIRPERSON MAISEL: Dr. Borer?

17 DR. BORER: Yes. I would like to  
18 respond to two issues here. First is what  
19 pressures were measured. I believe, just as  
20 Dr. Domanski just said, that pressures were  
21 measured that are clinically useful.

22 It is not true that we are trying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to measure the left ventricular and diastolic  
2 pressure. What this device is intended to be  
3 used for is to enable reasonable management to  
4 reduce symptoms or prevent symptoms, actually,  
5 in people with congestive heart failure.

6 The operative pressure there is  
7 the pulmonary capillary pressure, not the left  
8 ventricular enddiastolic pressure. It is what  
9 is the pressure that is pushing fluid out of  
10 the capillaries you have got to deal with.  
11 And, in fact, there are not five orders of  
12 measurement away from what they have got to  
13 measure. There may be one or two.

14 But in every situation of which we  
15 know from cath data, what they are measuring  
16 is a reasonable way to obtain the information  
17 they want. And you have five or six people  
18 who are sitting over there who are consultants  
19 to their company who can speak to this as  
20 well.

21 I think the pressures that are  
22 being measured are relevant and potentially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 useful. The big issue is, can they be  
2 applied? Have they been applied in a way that  
3 would allow them to be useful in enabling the  
4 prevention of symptoms? And I think what you  
5 have heard from everybody is, well, we don't  
6 think that has been demonstrated. But it  
7 could. It could. Maybe a different study.

8 With regard to this question here,  
9 I would echo what Dr. Page said. But, again,  
10 I would like to sort of say it in a slightly  
11 different way just so it's in the record  
12 somewhere because I know this is all  
13 transcribed.

14 The functional class III data are  
15 consistent with my bias that the system was  
16 effective, even if only modestly so so far  
17 from the data we have. But we are now looking  
18 at a data subset of a small data set that  
19 itself didn't show a very consistent set of  
20 results. And the subset data also aren't  
21 highly consistent. You can substitute the  
22 word p-values if you like, but consistency is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what we are talking about.

2 They just tend to go the way we  
3 hope they would. And this must mean, it must  
4 mean, that another subgroup goes the other  
5 way. And one did. It was the functional  
6 class IV subgroup. Now maybe we can explain  
7 away the functional class IV data.

8 But now we are down to very small  
9 numbers. And I need to use a lot more  
10 intuition than I am comfortable using in  
11 potentially voting to approve a device that,  
12 as a result, will be available for general use  
13 in a large population.

14 So I am not very happy with  
15 subgrouping for the class III data, the same  
16 thing for the class IV data, which is exactly  
17 what Dr. Page said. And I think the numbers  
18 are just too small to draw firm conclusions on  
19 either functional class III or IV.

20 CHAIRPERSON MAISEL: Dr. Hauptman?

21 DR. HAUPTMAN: I would certainly  
22 concur with that review. Of course, heart

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failure is much more complicated than just the  
2 filling pressure. And to some degree, we have  
3 to recognize that it is partly  
4 pathophysiologic and partly a surrogate for  
5 poor outcomes.

6 So, you know, a attractive an idea  
7 as it is that you can lower the filling  
8 pressures and your outcomes will be better,  
9 the fact is that if you look at all of the  
10 other endpoints, whether it is Minnesota  
11 living with heart failure questionnaire, a  
12 six-minute walk.

13 There really is no trajectory here  
14 that would allow us to say, "Well, the primary  
15 endpoint wasn't met, but everything else is  
16 pointed in a particular direction that gives  
17 us some comfort that the likelihood is that  
18 the way in which physicians are acting on this  
19 data is helping patients. So that is my  
20 concern.

21 There really is very little else  
22 to support this in terms of the secondary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data, which obviously is hypothesis-generating  
2 but certainly would be nice to have along for  
3 the ride.

4 CHAIRPERSON MAISEL: Dr. Normand?

5 Anyone else have a comment on those? Dr.  
6 Zuckerman?

7 DR. ZUCKERMAN: One follow-up  
8 question to Drs. Borer and Teerlink. This was  
9 a randomized controlled trial with the  
10 reserved treatment effect being approximately  
11 0.18 hospital equivalents. The sponsor has  
12 tried to indicate that a possible lack of more  
13 effectiveness may be secondary to the design  
14 of the trial, meaning that the control group  
15 received frequent communication in outstanding  
16 heart failure care. Did that argument impact  
17 on your calculations at all?

18 DR. BORER: Okay. I will. No.  
19 You know, if Cadillac care -- I'm sorry. I  
20 shouldn't use product names. If highest  
21 quality care was given to the people in the  
22 control group, that should be the standard of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 care for patients with heart failure.

2 If, in fact, there isn't a  
3 meaningful difference between device-guided  
4 therapy and best therapy without device, then  
5 the device isn't worth putting in. We should  
6 just mandate or try to teach everyone or  
7 advertise that best quality care, conventional  
8 care should be given.

9 So no, it doesn't affect the way I  
10 think about it.

11 DR. TEERLINK: Well, I am going to  
12 so no, but. And the "but" here is that, first  
13 of all, it does present some challenges for  
14 clinical trial design. And when I was trying  
15 to think of how I would go about, you know,  
16 with the crystal ball, knowing what we know  
17 now, what would I do, one possibility, which  
18 nobody would like to do because it markedly  
19 increases the cost, the trial is let's find  
20 out.

21 So we do a three-arm study, where  
22 you have the one arm with the device, one arm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the matching for the number of contacts,  
2 and then a third arm, where you say, "Okay.  
3 Let's see standard care."

4 And that way you can control for  
5 all three contributions to your treatment  
6 effect. And that would address the scientific  
7 question but make most sponsors miserable  
8 because now it's a much more complicated and  
9 expensive study.

10 So that would be possible. I  
11 think, though, that we don't -- and this is  
12 why I am -- contrary to how it may have come  
13 across, I am very conflicted on this inasmuch  
14 as I believe that this is very useful  
15 potentially.

16 And I am not sure that, you know,  
17 I would put Dr. Stevenson, Dr. Borer, Dr.  
18 Abraham, you know, this whole crew, Dr. Zile,  
19 up against any other heart failure specialist  
20 in the country and they will beat them.

21 You know, they will beat regular  
22 doctors. And the regular doctors and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regular nurse practitioners, you know, the  
2 family practitioners, and the regular primary  
3 care doctors are the ones who are actually  
4 taking care of most of these patients.

5 So yes, it would be in a perfect  
6 world everybody would have the opportunity to  
7 be cared for by these outstanding heart  
8 failure physicians. But that is not how the  
9 real world works.

10 So in some ways, you know, this is  
11 not to take back what I said earlier. This  
12 trial does not provide effectiveness, any  
13 evidence of effectiveness.

14 But I'm not sure if these folks  
15 are the right comparator group. And so you  
16 may need to do actually more community-based  
17 trials and see how things work in that  
18 setting.

19 CHAIRPERSON MAISEL: So at this  
20 point I am going to try to summarize what we  
21 have heard regarding effectiveness. And I  
22 would say the following, that my sense of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 panel is that the most feel that the primary  
2 effectiveness endpoint of reduced heart  
3 failure-related equivalents has not been met.

4 Many panel members feel that the device is  
5 effective at measuring pressures, although not  
6 all of us feel that way.

7 With regard to interpretation of  
8 New York Heart Association class III and IV,  
9 it sounds like most people feel that subgroup  
10 analysis of a primary effectiveness endpoint  
11 that didn't meet its endpoint is  
12 inappropriate, certainly provocative and  
13 hypothesis-generating but not enough to make  
14 any decisions on.

15 I would like to move on to  
16 question 3, which is "Please provide your  
17 clinical and/ statistical interpretation of  
18 the secondary endpoint results for the  
19 COMPASS-HF study."

20 Dr. Hauptman, you started talking  
21 about these. Maybe you can comment on your  
22 view of the secondary endpoints of this study

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and what your view of the results is, which  
2 are most important to you and which might be  
3 used in future trials.

4 DR. HAUPTMAN: Well, I think some  
5 very relevant secondary endpoints were  
6 measured. There is a difference in Minnesota  
7 in the scoring, but it is modest. It does not  
8 reach the threshold that people generally use  
9 to say it's a clinically meaningful  
10 difference.

11 It would be obviously helpful to  
12 see some of these endpoints pointing in the  
13 direction of the device. And, unfortunately,  
14 at this point, they're not. Whether that is  
15 an issue of power, it may very well be.  
16 Whether it is an issue of the fact that,  
17 again, the control patients are taken care of  
18 so well can't be determined.

19 CHAIRPERSON MAISEL: Any other  
20 comments regarding the secondary endpoints?  
21 Dr. Somberg?

22 MEMBER SOMBERG: Well, just in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thinking of the future trial -- and I hope  
2 people are thinking of a future trial -- the  
3 secondary endpoint is certainly appropriate  
4 here. But I think, instead of mortality being  
5 a primary endpoint, I would think mortality  
6 would be a secondary endpoint because it is a  
7 stretch to go.

8 You know, hemodynamics in and of  
9 itself will affect all-cause mortality. But  
10 if you improve symptomatology at the expense  
11 of mortality, then you might have an issue.

12 So I think mortality is something  
13 to be considered as a secondary endpoint and  
14 sort of a consideration but not as your  
15 primary endpoint.

16 CHAIRPERSON MAISEL: Dr. Teerlink?

17 DR. TEERLINK: So I had already  
18 discussed the secondary effectiveness  
19 endpoints in terms of them not hitting  
20 significance. But I think for future trials,  
21 for this kind of study, particularly where  
22 we're trying to look at the combination of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 causes and effects, days alive out of hospital  
2 is a very useful measure.

3 And the other thing to consider --  
4 and this is something that has been reported  
5 by -- John Cleland is probably the main  
6 proponent of this, but a lot of us have also  
7 been very interested -- is the kind of  
8 clinical journey of the patient, where you get  
9 serial measures.

10 I would fully expect that if, in  
11 fact, this works the way we think it does,  
12 there would be on a day-to-day basis overall  
13 globally an improvement in the patient's  
14 well-being over the six-month period that  
15 would go in. And you would be able to show  
16 that with that kind of analysis. That would  
17 be another suggestion for a secondary/maybe a  
18 primary endpoint.

19 CHAIRPERSON MAISEL: Other  
20 secondary endpoint comments?

21 (No response.)

22 CHAIRPERSON MAISEL: So I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we generally feel that certainly if the  
2 primary effectiveness endpoint had been met,  
3 it would have been nice to see supporting  
4 information or if the primary effectiveness  
5 endpoint had been close. This is sort of a  
6 mixed bag. Some of them point in the right  
7 direction. Some of them are not particularly  
8 in one direction or the other.

9 Dr. Zuckerman, before I move on  
10 from effectiveness, do you have any other  
11 comments or questions for the panel about  
12 effectiveness?

13 DR. ZUCKERMAN: No.

14 CHAIRPERSON MAISEL: Okay.

15 DR. HAUPTMAN: Bill, sorry. I  
16 want to add one other thing. I was expecting  
17 more of a discussion about the analyses that  
18 the sponsor did. The whole issue of using  
19 baseline variables is covariance in the  
20 analysis.

21 CHAIRPERSON MAISEL: Discuss away.

22 DR. HAUPTMAN: Well, Sharon-Lise?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER NORMAND: I didn't raise it  
2 because I thought we were sort of concluding  
3 with that business, but there is a long  
4 discussion about doing that. We had  
5 information in our panel packs about that.

6 I can state my opinion in terms of  
7 the information that was provided. Certainly  
8 the information when you adjust for the  
9 covariates when you use something other than a  
10 linear regression model, the sponsors did not  
11 provide the right estimate. And what I mean  
12 by that is you have got to average over the  
13 covariate effects for patients.

14 So when one gives you an adjusted  
15 estimate from, let's say, survival analysis or  
16 a logistic regression, when you adjust for a  
17 covariate, that's not at the outcome. That's  
18 giving you an odds ratio. That's not tangible  
19 in terms of a causal effect. You need to take  
20 that down back to the probability level.

21 I know you are staring at me. You  
22 are starting to go down a bit. But let me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tell you what I mean by that.

2 DR. HAUPTMAN: It wouldn't be the  
3 first time.

4 MEMBER NORMAND: But I think the  
5 issue really is that the distribution of the  
6 covariates are such that the effect size  
7 differs. If I had a covariate distribution on  
8 my x-axis, the size of that effect differs  
9 when it's nonlinear. And most of these  
10 outcomes are nonlinear.

11 And so in general, the general  
12 feeling that I have and maybe most  
13 statisticians have is the randomization should  
14 take care of it. If it didn't take care of  
15 it, one is suspicious of how the randomization  
16 was conducted.

17 Clearly it could happen by chance  
18 and you could be unlucky. But if that is the  
19 case, most people would say you shouldn't have  
20 to adjust by covariates. And if you do adjust  
21 by covariates, a lot of people won't like  
22 that. And even if you do, they need to be on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the same scale as the observations. And the  
2 sponsors did not provide that to us.

3 And so the effect sizes that the  
4 sponsor showed us I ignored because they are  
5 not on the right scale.

6 CHAIRPERSON MAISEL: So, once  
7 again, I mean, the pre-specified analysis was  
8 performed and was presented, both by the  
9 sponsor and the FDA. I will make the  
10 observation that there were more p-values than  
11 patients presented in the packet as well.

12 So let's move on to safety,  
13 question 4, which is "Please provide your  
14 clinical and/or statistical interpretation of  
15 the results of the primary safety endpoint  
16 analyses."

17 So do people have safety concerns  
18 about the device? Dr. Borer?

19 DR. BORER: I think that the  
20 safety of the device is reasonable within the  
21 context of what you would expect of an  
22 implantable device. Is it reasonable relative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to benefit is our question. And, again, I  
2 have said what I think about that.

3 But I think that it is important  
4 here to point out that the sponsor met its  
5 pre-specified safety criteria. That is good.

6 But they were arbitrary pre-specified safety  
7 criteria.

8 And in a small study with a  
9 relatively short observation period, some of  
10 the problems that we know historically can be  
11 associated with in-dwelling devices that Dr.  
12 Page alluded to earlier in sections, et cetera  
13 -- I mean, there are more -- that predictably  
14 will occur were not observed here. That  
15 doesn't mean they won't occur. It does mean  
16 that the upper bound of the confidence  
17 interval for those events is definable and  
18 probably relatively low, though we didn't see  
19 an estimate of that.

20 So my only point is that I don't  
21 think the adverse risks associated with this  
22 device have been completely defined. They

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been defined as well as they could be  
2 defined within the context of this trial. And  
3 that is what we have to balance against the  
4 effectiveness side.

5 But if further development is done  
6 -- and I, like everyone else, hope that there  
7 will be -- we need the larger data set to look  
8 at adversity.

9 I would like to make one  
10 additional point. I agree totally with John.

11 This is an all-star team over here. You  
12 know, who would not want people like these to  
13 be taking care of your patients? Don't  
14 forget. The same thing is true with the  
15 device. Doctors still have to interpret the  
16 data. This is an all-star team at  
17 interpreting the data from the device, too.

18 So my original answer to you still  
19 stands. No.

20 CHAIRPERSON MAISEL: Dr.  
21 Zuckerman, would you like to comment on Dr.  
22 Borer's description of the arbitrary objective

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performance criteria and maybe tell us about  
2 how the FDA arrives at such a number and  
3 agreement with a sponsor?

4 DR. BROCKMAN: Many of the device  
5 trials have safety endpoints analogous to  
6 this, system-related complication free rate.  
7 Others have major adverse events. Catheter  
8 ablation trials tend to be a little different,  
9 but all the implantable device trials use some  
10 subset of adverse events much like this  
11 generally compared against some objective  
12 performance criterion, either based on prior  
13 studies or based on published literature.

14 So while I wasn't part of the  
15 development of these particular endpoints,  
16 these are endpoints that are frequently used  
17 in implantable device trials.

18 CHAIRPERSON MAISEL: Thank you.

19 Dr. Page?

20 MEMBER PAGE: First of all, I  
21 would like to also comment that this is a  
22 dream team in terms of the consultants. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it gives me pause when I hear them so  
2 genuinely favorably disposed toward this  
3 device when the Committee obviously has its  
4 reservations.

5 Along the issue of safety, I agree  
6 that the endpoints were met. And these would  
7 be reasonable endpoints if the results were  
8 blockbuster, but they're not.

9 And, in addition to that, the  
10 issues of dislodgement at five percent and  
11 entrapment, which has happened with one lead  
12 in my entire career and there are either one  
13 or two events here, give me pause, especially  
14 if it was a dream team of implanters. And  
15 once this goes from the initial investigators  
16 into others' hands using a timed lead, I think  
17 you can predict problems because, again, as I  
18 mentioned, a timed lead will not lodge  
19 necessarily where you want it to but can  
20 sometimes lodge where you don't want it to,  
21 such as in the valve apparatus. So I wonder  
22 whether in further iterations, an active

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

1 fixation lead would be made available.

2 And, finally, what came up through  
3 discussion more recently today is the battery  
4 life of three and a half years gives me  
5 concern in terms of the frequency of  
6 change-out.

7 And, as I mentioned, once a  
8 patient who has a device in -- I would hazard  
9 that most patients who would receive this  
10 device already would have a pacemaker or  
11 defibrillator in place.

12 And if you're doing repeated  
13 change-outs every time you go in and operate,  
14 you're running the risk of infection, one to  
15 two percent, even in good hands. And then you  
16 go down a road of extraction of not just this  
17 lead but the leads that are already in place.

18 So I think that is an important safety issue  
19 as well.

20 CHAIRPERSON MAISEL: Dr. Teerlink?

21 DR. TEERLINK: Yes. So it did  
22 meet the safety endpoint. I was a little

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerned when I first saw that the sponsor  
2 felt that a 20 percent 6-month rate of  
3 system-related complications was acceptable.

4 Had it been close to that and we  
5 had other issues in terms of effectiveness to  
6 discuss, then that would have been raised.  
7 But in this case, it wasn't an issue.

8 I also think in terms of it's  
9 always a complication when we have a device  
10 for heart failure, what to do with the  
11 rehospitalizations for heart failure and the  
12 complications related to the device. Do the  
13 get counted against the primary endpoint? Do  
14 they get counted solely in the safety endpoint  
15 or do they get counted in both?

16 I think I showed the analysis.  
17 And I will publicly say that there was one  
18 flaw within the analysis that I didn't adjust  
19 for the number of patients in terms of the  
20 hospitalization. So the actual event rate was  
21 probably about half of what I presented.

22 Nonetheless, it's a considerable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of events for hospitalized for  
2 device-related complications. And I think  
3 when you're putting in a device to help with  
4 heart failure hospitalizations, it's a heart  
5 failure device. Those events should count  
6 against a heart failure hospitalization. But  
7 then you also need to count them down here.  
8 And it would have been nice to have seen some  
9 of those analyses incorporated.

10 CHAIRPERSON MAISEL: Dr. Borer?

11 DR. BORER: Yes. I just want to  
12 ask a question. And it follows on something  
13 John said before about the fact that you  
14 preclude the use of MRI if the device is in  
15 place.

16 You know, this is sort of in the  
17 gray zone between safety and efficacy, I  
18 suppose, but in the label that was proposed --  
19 we're not talking about the label here, but I  
20 picked it up reading the label -- in the  
21 section to be given to patients, it says that  
22 some precautionary measures have to be taken

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if ultrasound studies are performed.

2 Now, I don't know what that means,  
3 but if it means that people shouldn't have  
4 echocardiograms done, that is going to be a  
5 problem in the current state of the art of  
6 taking care of patients. I am sure that is  
7 not what it meant.

8 But I would like for safety  
9 purposes a clarification if that is all right  
10 at this point of why there is a precaution in  
11 the use of ultrasound measures if this device  
12 is in place.

13 CHAIRPERSON MAISEL: My read of  
14 the technical manual was simply that the  
15 ultrasound had to be remote from the device by  
16 a certain distance. That would not preclude  
17 routine cardiac echocardiography from being  
18 performed. Is that an answer -- the sponsor  
19 is shaking their head yes, that that is  
20 accurate.

21 DR. BORER: Okay. Thank you.

22 CHAIRPERSON MAISEL: Any other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety issues?

2 MEMBER SOMBERG: Bill, is there  
3 any reason that it has to be remote?

4 CHAIRPERSON MAISEL: I mean remote  
5 by a short distance. I mean that the probe  
6 needs to be --

7 MEMBER SOMBERG: No. I  
8 understand. But I mean just for my own  
9 edification, I mean, I am not an ultrasound  
10 engineer, but I could not see a reason. You  
11 know, a device is shielded. Why is that the  
12 case?

13 CHAIRPERSON MAISEL: Would the  
14 sponsor like to just respond to that question  
15 regarding ultrasound near the device or other  
16 energy sources?

17 MR. MANDA: Actually, we haven't  
18 really done any studies trying to determine  
19 the appropriate distance. What we do know is  
20 that, you know, the heat transducer will be  
21 replaced. Because this is also a pressure  
22 transducer, the general recommendation is not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to place the ultrasound probe right directly  
2 over the pressure sensing lead. But we don't  
3 have any data to show you right now as to the  
4 relative distances.

5 CHAIRPERSON MAISEL: Thank you.

6 Other safety comments or concerns?

7 (No response.)

8 CHAIRPERSON MAISEL: So at this  
9 point I think most of the panel feels that  
10 they have demonstrated safety. We obviously  
11 have some concerns about some rare events and  
12 ongoing issues that would need to be studied  
13 in a post-approval study if approved or  
14 studied further in an additional study if it's  
15 not approved.

16 Question five is to "Provide" our  
17 "clinical or statistical interpretation of the  
18 survival analysis." This gets back going  
19 backwards a little bit to effectiveness  
20 discussed in the panel pack. Specifically we  
21 were presented with six-month, one-year, I  
22 think even two-year follow-up at one point.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Blackstone, you seemed quite  
2 passionate about this issue. So maybe you can  
3 just summarize your thoughts again.

4 DR. BLACKSTONE: Yes. It's a  
5 non-issue. What you see in the panel pack is  
6 the patients were followed for up to six  
7 months. What is presented in panel pack are  
8 those few events that happen after six months.

9 So that you have very few patients  
10 followed up at six months. You have the  
11 classic Kaplan-Meier completion effect that  
12 everybody knows about. That stuff should be  
13 ignored. There is no question about that.

14 CHAIRPERSON MAISEL: So patients  
15 crossed over at six months. So I think we  
16 would have trouble interpreting --

17 DR. BLACKSTONE: Should be  
18 truncated is what I am saying. And it would  
19 pose no problem.

20 CHAIRPERSON MAISEL: Certainly  
21 with regard to primary effectiveness endpoint  
22 I think I agree and most of the panel would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agree. I think there is some value in looking  
2 at the curves and deciphering some other  
3 things, like Dr. Somberg was teasing out. But  
4 I think most of us feel once the crossover  
5 occurs, it's game over.

6 Now we move on to question six,  
7 which is the labeling, "The sponsor has  
8 proposed the following indications for use for  
9 this device, "The Chronicle Implantable  
10 Hemodynamic Monitor System I indicated for the  
11 chronic management of patients with moderate  
12 to advanced heart failure who are in New York  
13 Heart Association class III or IV to reduce  
14 hospitalizations for worsening heart failure  
15 in these patients. Please discuss whether the  
16 proposed indications for use adequately define  
17 the patient population studied an for which  
18 the device will be marketed.

19 "Please discuss whether the  
20 labeling accurately informs patients of the  
21 risks of the device.

22 "Please discuss whether there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any other issues of safety or effectiveness  
2 not adequately covered in the labeling."

3 And I will comment we do always  
4 discuss labeling, whether or not the device is  
5 approved, because it helps the FDA and the  
6 sponsor.

7 So let's start with the  
8 indications for use. Anyone have any comments  
9 about the indications for use? Dr. Yaross?

10 MEMBER YAROSS: I think we have  
11 had a fair amount of discussion about  
12 potential other uses of this, in addition to  
13 what the sponsor had proposed. And perhaps if  
14 given the answers that I heard to questions 1  
15 and 2, it might be appropriate to help the  
16 sponsor if you see indications in the current  
17 data set of efficacy for a different  
18 indication.

19 CHAIRPERSON MAISEL: Dr.  
20 Blackstone?

21 DR. BLACKSTONE: Yes. In a way,  
22 you would like to see the period after the IV.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If you listen to what these folks are saying,  
2 they are saying they believe there is efficacy  
3 when you are looking at individual patients.  
4 The trial wasn't designed for that kind of  
5 endpoint.

6 So that having the indication is  
7 something that we have clearly said hasn't  
8 been shown to be efficacious. I think that  
9 shouldn't be part of the labeling.

10 CHAIRPERSON MAISEL: Other  
11 comments?

12 DR. HAUPTMAN: Bill, if I can, I  
13 would just reiterate one point that perhaps  
14 the language should say "established heart  
15 failure of more than 6 or 12 months duration."

16 And ideally indicate perhaps because there is  
17 no clinical trial data to support this at all  
18 that an inotrope-dependent patient or truly  
19 end stage patient would not benefit from the  
20 implantation of the device.

21 CHAIRPERSON MAISEL: So you have  
22 raised those points before, which are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 obviously important, defining a more chronic  
2 heart failure population, not someone  
3 presenting with acute heart failure.  
4 Obviously these patients in the clinical  
5 trials needed to be on a stable medical  
6 regimen for at least three months but maybe  
7 teasing out exactly which population would be  
8 most appropriate for the device.

9 What other labeling comments? Dr.  
10 Teerlink?

11 DR. TEERLINK: Well, I think this  
12 is a general problem for the FDA. Overall as  
13 devices begin to move more and more into  
14 making therapeutic claims, like to reduce  
15 hospitalizations for worsening heart failure,  
16 we currently have the same therapeutic claim  
17 being made by some drugs and the same  
18 therapeutic claim being made by devices. Yet,  
19 we have markedly different standards of  
20 evidence for the two.

21 So you can basically get the same  
22 claim with very different standards of

1 evidence. And I think this presents a major  
2 problem. And it's a more general discussion.

3 But I think that flag is raised any time the  
4 label includes such a therapeutic claim and I  
5 think leaves open interpretation what level of  
6 evidence is actually required and would be  
7 accepted by a committee or panel to define  
8 effectiveness in that setting.

9 CHAIRPERSON MAISEL: This panel  
10 has considered other heart failure devices  
11 before. We have approved devices that use the  
12 term "reduced first hospitalizations," terms  
13 like that. This may be an appropriate area  
14 for something like the Heart Failure Society  
15 or American Heart Association to take on and  
16 help standardize some of these definitions  
17 perhaps.

18 What other labeling issues? Dr.  
19 Normand?

20 MEMBER NORMAND: I'm sure this is  
21 -- I can't recall if this is done or not. I  
22 know that in the description of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population, the population was I think a lot  
2 younger.

3 And so is there a place that says  
4 somewhere the characteristics of the trial  
5 that's enrolled in the population? It's not  
6 an indication per se, but I think it is  
7 important that when we look at this, we know  
8 that the trial population if it were to be  
9 approved was a much younger population than is  
10 typically seen in practice. Is that something  
11 that we can do, at least list the age ranges  
12 of the study?

13 CHAIRPERSON MAISEL: Dr. Somberg?

14 MEMBER SOMBERG: Dr. Normand, I  
15 would just say that it's asking a bit much for  
16 a trial to perfectly represent the universe  
17 that it is going to treat. And even with  
18 drugs, you see -- I mean, I remember a lot of  
19 the best studies in the early days were done  
20 in the VA system. And that is a very  
21 unrepresentative population of the general  
22 universe of heart failure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think we have to be careful.  
2 You know, certainly the study will be  
3 described someplace.

4 MEMBER NORMAND: That is all I am  
5 asking.

6 MEMBER SOMBERG: And, you know,  
7 there will be a publication. And there will  
8 be some data on that. But to say that because  
9 the mean age here was -- I don't know -- 50  
10 and the mean age in heart failure is going to  
11 be 70 is a reason not to do this, if this had  
12 an adequately valid study with a good p-value,  
13 et cetera, I would be very happy to go ahead  
14 and apply this to the 89-year-old patient as  
15 well as the younger ones. So from a clinical  
16 standpoint, I don't think it's that critical.

17 I have another suggestion for us.

18 MEMBER NORMAND: If I could just

19 --

20 MEMBER SOMBERG: Yes? Go ahead,  
21 Doctor.

22 MEMBER NORMAND: Because I know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how to disagree with you, but you are  
2 extrapolating way beyond the clinical data.  
3 And that is okay if you want to. And I would  
4 say we shouldn't repeat mistakes that we have  
5 made in the past if in the past that we  
6 extrapolated from the VA to the whole world.

7 Again, I am not saying that they  
8 have to write down everything. I am just  
9 hoping somewhere, not in an academic  
10 publication, but somewhere, it would be  
11 useful. And typically this is done that the  
12 trial population has described.

13 CHAIRPERSON MAISEL: Typically  
14 that is in the instructions for use where the  
15 study population is described where the  
16 indications and exclusion criteria for the  
17 study are included in the label. And I am  
18 sure that would be the case here.

19 Dr. Somberg, did you want to  
20 follow up?

21 MEMBER SOMBERG: I just wanted to  
22 mention the words "systolic" and "diastolic"

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heart failure." And I think that has to be  
2 looked at a little bit more carefully,  
3 properly discussed, and also maybe the times  
4 because there is going to have to be some  
5 recommendation of how often we have to look at  
6 this data. And it may differ between the two  
7 groups from some preliminary data here, but  
8 it's very preliminary. So these are just some  
9 things to consider but, again, not as critical  
10 as the overall efficacy endpoints.

11 CHAIRPERSON MAISEL: Dr. Brinker?

12 DR. BRINKER: It is difficult to  
13 suggest recommendations based on a study that  
14 we didn't feel was adequate in the first  
15 place. However, if one were to take these  
16 indications as a format for improving a study,  
17 I would focus on what heart failure  
18 specialists call frequent fliers; that is,  
19 require more than one hospitalization for  
20 heart failure within the time period that was  
21 selected. And they probably would get more  
22 bang for the buck per patient enrolled if that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were possible.

2 CHAIRPERSON MAISEL: Dr. Borer?

3 DR. BORER: Yes. Just sort of a  
4 note about labeling. And I want to pick up on  
5 what John Somberg said because I think he is  
6 right. And the implications of it are  
7 correct. That is that, you know, it is  
8 difficult to be very restrictive.

9 I think it is inappropriate to be  
10 very restrictive and very prescriptive in a  
11 label about an approvable therapeutic if we  
12 had an approvable therapeutic when there are  
13 so many questions that haven't been asked or  
14 answered.

15 This study was done in a  
16 population of patients with heart failure,  
17 most of whom were on multi-drug therapy. But  
18 not all of them were. Most of them were on  
19 all the drugs that we include in the current  
20 cocktail that's included in various  
21 guidelines, but not all of them were.

22 How do you tease out what the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device did for the ones who were and the ones  
2 who weren't? Well, the answer is, of course,  
3 we can't. And I think the reason that the  
4 label can't be so terribly prescriptive is  
5 that we don't know what to say.

6 So I think that the best one can  
7 do is to give a general recommendation of what  
8 we believe is true if we have an approvable  
9 therapeutic and then to provide as much  
10 information in the label about what is known  
11 or what was done to provide the information  
12 that maybe we know as we can.

13 I would be a little hesitant about  
14 putting in a lot of contraindications and  
15 whatever when we have so little information.  
16 I think that presumes knowledge that doesn't  
17 exist.

18 CHAIRPERSON MAISEL: Other  
19 labeling comments? Dr. Ewald?

20 DR. EWALD: I just wondered, too,  
21 if there should be some statement about  
22 utilizing this in the context of a heart

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failure management program. I think Dr.  
2 Stevenson actually made that comment at one  
3 point, that -- you know, obviously implanting  
4 the device is not going to prevent the events.

5 It's really what we do with the information.

6 And so I think trying to marry  
7 those two to some extent, that there is at  
8 least a baseline infrastructure that is set up  
9 to manage the patients is probably an  
10 important issue.

11 CHAIRPERSON MAISEL: Other  
12 comments?

13 (No response.)

14 CHAIRPERSON MAISEL: So let's move  
15 on to question 7, which is physician training.

16 "The sponsor has provided a general overview  
17 of their plan for training physicians on the  
18 use of this device. Please comment on the  
19 adequacy of the training plan given the range  
20 of expertise of the physicians who may access  
21 the device and use the data in patient care."

22 Now, the sponsor in their data pack did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 include training description.

2 I don't know if anyone has any  
3 comments. My comment is mainly a point of  
4 clarification maybe. It wasn't clear to me  
5 that there was specific implant training  
6 provided. Certainly it included implanting  
7 physicians and heart failure physicians. And  
8 I'm sure the company would be more than happy  
9 to provide any appropriate implant training.

10 Dr. Page, what do you think would  
11 be appropriate implant training for physicians  
12 learning to implant this device?

13 MEMBER PAGE: Well, I think that  
14 technical expertise is probably managed by  
15 most people who are putting in pacemakers. I  
16 think there are nuances here that I'm sure the  
17 operators have learned and are beyond the  
18 description today but perhaps either some sort  
19 of proctoring but ideally we wouldn't mandate  
20 that but some sort of clear  
21 operator-to-operator educational materials in  
22 terms of the pitfalls, how to get this lead to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 stick in the outflow track, how to avoid  
2 getting it trapped in the tricuspid apparatus.

3 But, again, I think, as was  
4 mentioned earlier, this is an early  
5 generation. I think the next lead, especially  
6 if it's incorporated in ICD, may have other  
7 things that need to be learned.

8 CHAIRPERSON MAISEL: Dr.  
9 Steinhaus, you have the unique position of  
10 having been a principal investigator and a  
11 Medtronic employee. How many implants do you  
12 think are required by a physician before they  
13 can implant it unproctored and without anyone  
14 there?

15 DR. STEINHAUS: First of all, let  
16 me say that we do plan to have physician  
17 training. It is a little different. And,  
18 really, the difference is the stylette doesn't  
19 extend all the way to the end. So what one  
20 has to do is essentially put a little bit  
21 larger curve in the stylette to get the thing  
22 to curve up toward the outflow tract and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lodge it either in the outflow tract or  
2 actually mid-septum is also completely  
3 adequate in this situation. And I think  
4 basically it really doesn't take very long.

5 There is clearly a learning curve.

6 If we look at dislodgements, you can see over  
7 time -- and we had a slide to show that --  
8 that there is a little bit of a learning curve  
9 involved, which is not a surprise. But I  
10 think you get a physician who is used to  
11 putting in a number of leads like this. And I  
12 think five, ten leads is certainly adequate to  
13 learn how to do this.

14 CHAIRPERSON MAISEL: Okay. Thank  
15 you. Certainly it doesn't seem like there are  
16 any huge hurdles here with regard to physician  
17 training. Obviously training the ancillary  
18 staff based on the size we saw regarding who  
19 is actually caring for these patients is going  
20 to be probably the most critical component  
21 focusing on the heart failure, less so even  
22 the physicians than the nurse practitioners

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and other support staff.

2 Dr. Somberg?

3 MEMBER SOMBERG: To follow up what  
4 he was saying, I think there needs to be some  
5 sort of prescribe algorithm for sensing or  
6 interpreting the information and then acting  
7 on it. It may not be the only one. Certainly  
8 you are not going to write it in.

9 But I would say whatever the group  
10 comes up with for anew evaluation and  
11 determination of efficacy, the way if that is  
12 effective, the way to actually get that to be  
13 effective in the general population is to have  
14 some simple, easy document for people to  
15 understand. And if it's what Dr. Stevenson  
16 mentioned about just changing diuretics, then  
17 that should be known because my first  
18 inclination is to modify a whole series of  
19 drugs.

20 And that might be the wrong  
21 approach. It may be -- so I think it has to  
22 be clear what to do because that is what the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 host study interprets, is what you did on  
2 response to the information.

3 CHAIRPERSON MAISEL: Any other  
4 training comments? Dr. Hauptman?

5 DR. HAUPTMAN: Just a brief  
6 question. Since some of these patients, about  
7 50 percent of the patients in the trial, had  
8 another device in, I didn't see in the  
9 reference manual any discussion about what  
10 happens to the device or what kind of  
11 programming is necessary after an internal  
12 defibrillation. That probably should be  
13 clarified unless I missed it.

14 CHAIRPERSON MAISEL: There was a  
15 recommendation for interrogation of the device  
16 following defibrillation.

17 DR. HAUPTMAN: I saw the external.  
18 I'm not sure if there is a difference between

19 --

20 CHAIRPERSON MAISEL: I would think  
21 it should apply for both. Yes? We are being  
22 told by the sponsor yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Other physician training issues?  
2 Dr. Zuckerman?

3 DR. ZUCKERMAN: Can I ask Dr.  
4 Ewald to again expand upon his point? The  
5 real challenge here is with this type of  
6 transforming technology. How do you train the  
7 average physician to use this technology well?

8 The training program for  
9 interpretation of hemodynamic data -- I may be  
10 misreading it -- consists of a one-day program  
11 right now. If you were designing the program,  
12 what comments do you have to the sponsor? Is  
13 that enough? How do you get them to really  
14 understand this device?

15 DR. EWALD: Well, I guess my  
16 initial comment was to at least -- and I think  
17 the sponsor spoke to this earlier -- initially  
18 target places that are used to taking care of  
19 heart failure patients that are in the  
20 advanced stages.

21 And I think that, you know,  
22 certainly, you know, a day of training, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, may not be completely adequate. But I  
2 think if you already have a little bit of the  
3 infrastructure in place and the nursing  
4 support to do that, the physicians, then you  
5 will really I think be able to kind of show  
6 them how, you know, the data has been used in  
7 clinical trials, show them how you have  
8 applied the data in the real world to some  
9 extent, and give them scenarios, maybe for  
10 management.

11 I think it comes back, too, to  
12 kind of saying, you know, here is a  
13 prescription for how to manage various  
14 scenarios. I think that has come up a couple  
15 of times.

16 I think it is a useful  
17 consideration but not necessarily -- you know,  
18 we don't really want to go from a cookbook  
19 because I think some of the risks of  
20 over-diuresis and things we have talked about  
21 today potentially could be more concerning in  
22 that situation where we just have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 prescription to double the Lasix for a given  
2 pressure increase, those kinds of issues.

3 Does that answer your question?

4 DR. ZUCKERMAN: Partly. But  
5 realistically a great number of centers will  
6 potentially want to utilize this technology  
7 where structured heart failure programs aren't  
8 available. How are you going to train those  
9 physicians and nursing staff? What  
10 recommendations do you have?

11 DR. EWALD: Yes. Well, I think  
12 that has been a concern kind of all along, you  
13 know, through discussing this, that once it's  
14 approved or if it were approved, then it could  
15 be implanted. And if there's really no  
16 stipulation or no suggestion that there is the  
17 infrastructure in place, either advanced  
18 practice nursing or someone to really gather  
19 the data, respond to the data, stay in contact  
20 with patients, a lot of the things that we do  
21 in a heart failure management program, then I  
22 think the effectiveness is going to plummet

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 even further.

2           So I think that, you know, it has  
3 to be not only showing people how to use it  
4 but I think trying to show a program that once  
5 you start implanting the device, here are the  
6 features of a program that you really have to  
7 have in place to make this device work most  
8 effectively.

9           CHAIRPERSON MAISEL: Dr. Borer?

10           DR. BORER: Yes. I think one of  
11 the reasons that this is such a difficult  
12 question to answer is that the data don't  
13 exist to tell people how to use the results of  
14 this monitoring to best manage patients.

15           What is known in general is how  
16 the team that did the study did it. And that  
17 could be easily described within a day's  
18 training. I mean, it's not a complicated  
19 algorithm that they use. Whether it is right  
20 or not, I don't know.

21           In order to be able to read and  
22 interpret the implications of pressures,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presumably anyone who is a cardiologist went  
2 through a fellowship training program and was  
3 in a cath lab and should be able to understand  
4 the fundamentals. But the best way to apply  
5 the information once you have it isn't known  
6 yet. So how can you tell people how to do it?

7 I think what is going to probably  
8 happen if this were approvable at this point,  
9 which I don't think it should be, but if it  
10 were proved at this point, what we would have  
11 would be the algorithm that was used described  
12 as best that can be described from the  
13 relatively small set of patients in whom it  
14 was applied.

15 And then people will know that.  
16 And they will gain their own experience. And  
17 they will alter it if they think it needs to  
18 be altered. That is what is going to happen.

19 How can anything else happen? Because we  
20 have no data.

21 CHAIRPERSON MAISEL: Dr. Page?

22 MEMBER PAGE: I would just like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 amplify on what has been said about the  
2 follow-up and the infrastructure. I think  
3 from what I am hearing, the implantation of  
4 the device is all too easy.

5 And to have the device placed  
6 without the infrastructure, without the  
7 training of the person who is going to manage  
8 the patient, the disconnect here is that the  
9 operator may not have any of the skills to  
10 manage heart failure.

11 And the heart failure expert may  
12 not have the skills to put in this device.  
13 But the person putting it in and billing for  
14 it is going to be a pacemaker-implanting  
15 cardiologist presumably or a surgeon.

16 So, one way or another, it should  
17 be really emphasized that it is not just  
18 putting in the device. This is a covenant  
19 between the cardiology establishment and the  
20 patient. Once this is in, you are exposing  
21 someone to a procedure with no value.

22 CHAIRPERSON MAISEL: It does speak

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a little bit to training programs for  
2 electrophysiologists and heart failure  
3 doctors. And whether this gets approved today  
4 or a month from now or a year from now, it's  
5 coming.

6 And so both the heart failure  
7 training programs should start thinking about  
8 training heart failure doctors to implant  
9 these or similar devices. And  
10 electrophysiologists should be better trained  
11 in heart failure management, as should all  
12 cardiologists.

13 Dr. Normand?

14 MEMBER NORMAND: This is sort of  
15 what I was relating to when I was trying to  
16 say if this is assessing a diagnostic tool,  
17 then we would have had more information  
18 regarding the algorithm in place. So I'm sure  
19 people will correct me if I am wrong. Right  
20 now that information is obtained except not  
21 with this new device. And so the information  
22 that we are getting from the new device is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more continuous, more time points.

2 I am being gross about it. I  
3 mean, you could have a visit to the doctor and  
4 you get some of the information. But with the  
5 new device, then you have this curve that  
6 people were saying about the trends. You look  
7 at the trends. And that is going to be  
8 predictive of something.

9 Well, we haven't assessed that.  
10 We haven't assessed how predictive that is.  
11 You have done it retrospectively. But when  
12 you are teaching somebody to look at this  
13 information, presumably they know how to do it  
14 now, but they don't know how to do it now with  
15 much more data, where they may feel much more  
16 certain about how to react or not react.

17 And so I am just emphasizing the  
18 fact that I don't think we have the  
19 information because I, again, view this as a  
20 diagnostic tool that we did not assess as we  
21 would normally assess a diagnostic tool.

22 CHAIRPERSON MAISEL: Dr. Hauptman

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and then Dr. Teerlink.

2 DR. HAUPTMAN: I would just try to  
3 summarize to Dr. Zuckerman. You called this a  
4 "transformative device." It sounds like we  
5 need transformative training and labeling,  
6 too, to accompany it.

7 CHAIRPERSON MAISEL: Dr. Teerlink?

8 DR. TEERLINK: So I would also  
9 emphasize that this does require serial  
10 training. So I think you need kind of the  
11 introductory course and then the refresher  
12 buff-up. And I think this is something, an  
13 area where our nursing colleagues are so far  
14 in advance of us.

15 In terms of having studied, how do  
16 you actually teach people and physicians or  
17 nurses or patients how to do something? And  
18 so I would encourage sponsors to look at that  
19 literature, which is I think very  
20 under-utilized, actually, in these kinds of  
21 approaches?

22 But any kind of program should be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a serial program involving at least two  
2 different contacts with the care providers to  
3 assure kind of the initial preparation and  
4 then a follow-up.

5 CHAIRPERSON MAISEL: Okay. At  
6 this point I would like to move on to  
7 discussion of the post-approval study should  
8 the device be approved. "Discussion of the  
9 post-approval study is not meant to imply that  
10 the device will be approved, but, once again,  
11 this information helps both the sponsor and  
12 the FDA.

13 "Based on" our "review of the  
14 device, please comment as to the suitability  
15 of the proposed post-approval study and, if  
16 applicable, please discuss any other elements  
17 that should be included in the post-approval  
18 study." Dr. Somberg?

19 MEMBER SOMBERG: Can I make a  
20 suggestion that we amend this question to the  
21 effect that I don't think we should talk about  
22 a post-approval study if we're sort of, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, from one to five or one to six. We sort  
2 of haven't gone that direction. If the Dr.  
3 Zuckerman so pleases, we should talk about  
4 what we might recommend as a follow-up study  
5 that might optimize things.

6 CHAIRPERSON MAISEL: We had a  
7 discussion prior to the meeting about the best  
8 timing of this question. We decided we would  
9 discuss a post-approval study now. If the  
10 product is not approved, we will help the FDA  
11 and the sponsor answer the question of what  
12 needs to be done to get to the end line.

13 So right now we are going to  
14 discuss a post-approval study as designed.  
15 Dr. Normand?

16 MEMBER NORMAND: So I think that  
17 one thing that definitely has to happen is  
18 that the information here that is utilized is  
19 really clustered. And you definitely have to  
20 take that aspect into account in your study  
21 design.

22 And so that actually makes you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 need to enroll more patients because you no  
2 longer have independent observation. So I  
3 don't think we can ignore the fact that this  
4 is a technology where the information by a  
5 group of people treated within the same  
6 institution or by the same physician or group  
7 of nurses is not independent. And that needs  
8 to be accounted for in the analyses. And so  
9 it would be incumbent upon the design of the  
10 study to include that.

11 That also relates to the fact that  
12 your endpoint, the analysis that was proposed  
13 originally used a negative binomial because  
14 you found over-dispersion. I would bet my  
15 life it's because of the clustering. There is  
16 more variance. And that is due to the fact  
17 that there is clustering. And normally that  
18 would have been something that you would have  
19 done at the beginning. So I recommend that.

20 The second thing is I think it is  
21 probably -- I don't know how my colleagues  
22 feel, but what is the right endpoint to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measuring with this diagnostic tool. Is it  
2 really heart failure, reduction in heart  
3 failure equivalents? Is it successful use of  
4 the information?

5 Again, that is not something that  
6 I would know exactly the answer to, but  
7 certainly in these types of studies, one would  
8 want to know that: a) the information is  
9 being used appropriately. And to go down line  
10 to say that actually impacts heart failure  
11 hospitalizations might be too far from the  
12 intent of the device. So I raise that as a  
13 question in terms of an endpoint.

14 CHAIRPERSON MAISEL: Dr. Borer?

15 DR. BORER: Yes. A couple of  
16 thoughts. And I think that Sharon has raised  
17 a key issue here. This is a post-approval  
18 study, which presupposes that the FDA has  
19 determined that the device is effective for  
20 whatever the use is expected to be, which, as  
21 we have heard it, is to reduce heart failure  
22 hospitalizations or their equivalents, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701