

U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

PEDIATRIC ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

OPEN SESSION

+ + + + +

WEDNESDAY

APRIL 11, 2007

+ + + + +

The meeting came to order at 4:00 p.m. in the Advisory Committee Conference Room, Room 1066, 5630 Fishers Lane, Rockville, Maryland, Marsha Rappley, M.D., presiding.

PRESENT:

MARSHA RAPPLEY, M.D.	Chair
CARLOS PENA, Ph.D., M.S.	Executive
	Secretary
AVITAL CNAAN, Ph.D., M.S.	Member
ROBERT S. DAUM, M.D.	Member
LEON DURE, M.D.	Member
MICHAEL E. FANT, M.D., Ph.D.	Member
RICHARD L. GORMAN, M.D.	Member

PRESENT (CONTINUED):

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

MELISSA MARIA HUDSON, M.D. Member
KEITH KOCIS, M.D., M.S. Member
JACK STAPLETON, M.D. Member
ROBERT WARD, M.D. Member
MARILYN EICHNER Voting Consultant
PAULA KNUDSON Voting Consultant
SAMUEL MALDONADO, M.D. Voting Consultant
GEOFFREY L. ROSENTHAL, M.D., Ph.D. Voting
Consultant

ALSO PRESENT:

SURESH KAUL, M.D., M.P.H.
THERESA KEHOE, M.D.
ROSEMARY JOHANN-LIANG, M.D., F.A.A.P.
LISA MATHIS, M.D.
ANDREW MOSHOLDER, M.D., M.P.H.
DIANNE MURPHY, M.D.
HARI CHERYL SACHS, M.D., F.A.A.P.
AMY M. TAYLOR, M.D., M.H.S., F.A.A.P.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

C O N T E N T S

	<u>PAGE</u>
Welcoming Remarks	4
Introductions	4
Meeting Overview	7
Open Public Hearing	
Adverse Event Reporting as per Section 17 BPCA	
Fluvastatin	15
Octreotide	21
Updates to the Committee	
Orlistat	70
Oxybutynin	74
Adjourn	93

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

4:09 p.m.

1 CHAIR RAPPLEY: Thank you and we're
2 beginning our meeting of the Pediatric Advisory
3 Committee. My name is Marsha Rappley and I'm Chair of
4 the Committee. And I want to welcome everyone and
5 welcome the audience as well. And apologize for my
6 back being to you.
7

8 I think it might be helpful if we
9 introduce ourselves just briefly, very briefly. Say
10 our name, where we are from, and the discipline we
11 represent.
12

13 I'm from Michigan State University. And
14 my discipline is pediatrics and developmental and
15 behavioral pediatrics.

16 Bob, do you want to start?

17 MEMBER WARD: I'm Bob Ward from the
18 University of Utah, a neonatologist and pediatric
19 pharmacologist.

20 DR. ROSENTHAL: My name is Geoff
21 Rosenthal. I'm from the Cleveland Clinic and I'm a
22 pediatric cardiologist.

23 DR. MALDONADO: Sam Maldonado. I'm from
24 Johnson & Johnson. My specialty is pediatric
25 infectious diseases. I represent industry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER KOCIS: I'm Keith Kocis from the
2 University of North Carolina at Chapel Hill. And I'm
3 a pediatric cardiologist and pediatric intensivist.

4 MS. KNUDSON: I'm Paula Knudson from the
5 University of Texas Health Science Center. I'm really
6 an IRB person but I'm your consumer representative.

7 MEMBER GORMAN: I'm Rich Gorman, a general
8 pediatrician from Ellicott City, Maryland, who
9 represents professional healthcare organizations.

10 MEMBER HUDSON: I'm Melissa Hudson. I'm a
11 pediatric hematologist oncologist from St. Jude
12 Children's Research Hospital in Memphis.

13 MEMBER FANT: I'm Michael Fant from the
14 University of Texas Health Science Center in Houston.
15 I'm a neonatologist and biochemist.

16 MS. EICHNER: I'm Marilyn Eichner from
17 Rockville, Maryland. And I am an acting family
18 representative.

19 MEMBER DURE: I'm Leon Dure from the
20 University of Alabama at Birmingham and a child
21 neurologist.

22 MEMBER DAUM: I'm Robert Daum. I'm a
23 pediatric ID guy from the University of Chicago.

24 MEMBER CNAAN: I'm Avital Cnaan. I'm a
25 biostatistician from the University of Pennsylvania

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and Children's Hospital of Philadelphia.

2 DR. MURPHY: Dianne Murphy, Director,
3 Office of Pediatric Therapeutics and previously
4 pediatric ID person.

5 DR. JOHANN-LIANG: I'm Rosemary Johann-
6 Liang from the Office of Surveillance and
7 Epidemiology.

8 DR. MATHIS: And I'm Lisa Mathis with the
9 Office of New Drugs, Pediatric and Maternal Health
10 staff.

11 CHAIR RAPPLEY: Thank you.

12 So just a couple of housekeeping things.
13 If you're not speaking, it helps if you turn off your
14 mic.

15 And then second, when we get into the
16 discussion section or if at some point in time people
17 wish to make a comment, you can signal me. I'll try
18 to watch and keep us in order that you indicate you
19 would like to speak.

20 We're going to try to keep on schedule and
21 break at six. We have four medications to review.
22 The first two come to us to discuss adverse events
23 reports for these two medications granted in the
24 pediatric exclusivity period of time. That's for
25 Fluvastatin and Octreotide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And then the last two we'll receive
2 updates for both Orlistat and Oxybutynin as requested
3 by previous Pediatric Advisory Committee sessions.

4 I'd like to turn this over to Dianne at
5 this point in time.

6 DR. MURPHY: This is the sad part. We get
7 all you excellent individuals to commit time to us and
8 then we get you all trained up and you get experience
9 under your belt and then it is time to say goodbye.
10 So I'd like to do that today.

11 This is going to be the last official
12 meeting where these individuals will be members of the
13 Pediatric Advisory Committee. We may call people back
14 as SGEs if we need additional expertise in that area.

15 But would Dr. Fant please come up?

16 As a neonatologist, he's been with us, I
17 think, for three years, Dr. Fant, has been in on some
18 of our more controversial meetings. And we very much
19 thank him for his expertise and time.

20 And we wanted to present him with a little
21 plaque and a letter from us for the time that he has
22 participated and contributed to our Committee. Thank
23 you very much.

24 (Applause.)

25 DR. MURPHY: Do you want to say anything?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER FANT: Yes, I'll make this brief.
2 Of all of the sort of Committee assignments you get
3 assigned to, I really -- you know, to be honest, this
4 has been the most enjoyable and fulfilling of any that
5 I've been involved with. I think we all have busy
6 schedules. And any time we can devote a significant
7 part of our time to something that at the end of the
8 day you can feel has some real significance and
9 consequence, it is very fulfilling.

10 And in that sense, I'll always remember
11 this experience as one of the ones I look back on very
12 favorably. And I want to take a brief moment and just
13 thank the FDA staff, particularly Dianne, Jan, Monica
14 Spence who sort of, you know, really kept the
15 logistics simple for us. But the FDA staff -- you
16 know I knew very little about the FDA workings before
17 joining the Committee.

18 But since then, I've really come to
19 appreciate the complexity of the information that you
20 have to sift through to come to some meaningful
21 decisions, policy decisions. And as one of my old
22 mentors said when I was in graduate school, it is much
23 more difficult to be able to ask the right questions
24 than it is to find the right answer to a question.

25 And one thing that you guys have always

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been able to do is to be able to sift through some
2 very complex information, massive information,
3 sometimes inadequate information, and bring to us some
4 very decent workable questions that allows us then to
5 give you the kinds of input that you are seeking from
6 us.

7 And so I want to thank you for that. And
8 the professionalism of the staff at the FDA, you know,
9 and sometimes the courage that some of the staff have
10 shown in some of their analyses and making some
11 points, will stick with me.

12 So, again, thank you.

13 DR. MURPHY: The next individual we have
14 to say goodbye to is really particularly sad because
15 he's been with us for how long now, Rich?

16 MEMBER GORMAN: `99.

17 DR. MURPHY: Since 1999 on and off. And
18 really one of our longest members. Can you come on up
19 here, Rich, please? Dr. Gorman. And he has been our
20 general practitioner, our Academy representative, what
21 other persona have you taken on, Rich, besides --

22 MEMBER GORMAN: Whatever has been
23 necessary.

24 DR. MURPHY: Yes, whatever has been
25 necessary. And, again, we'd like to give you this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plaque --

2 MEMBER GORMAN: Thank you.

3 DR. MURPHY: -- a small token of our
4 appreciation. And to thank you very much --

5 MEMBER GORMAN: Thank you very much.

6 DR. MURPHY: -- for your participation and
7 multiple contributions.

8 MEMBER GORMAN: I'd like to echo what Dr.
9 Fant had to say because I think he is much more
10 eloquent than I am. The staff here is wonderful. The
11 FDA has given us the opportunity to serve in a way
12 that has advanced the healthcare of children.

13 And that is an opportunity that we all
14 strive for as pediatricians and rarely get the chance
15 to accomplish. So it is really a pleasure for my
16 having the opportunity to serve on this Committee.
17 And I thank you all.

18 One of the things my early mentors told me
19 is you should learn something every day. And I can't
20 remember a day that I came home from an Advisory
21 Committee meeting where I didn't learn just one thing
22 but many things.

23 Thank you.

24 (Applause.)

25 DR. MURPHY: Rich? One last thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If you all go to your FDA website, you
2 click on Pediatrics. You will notice now under the
3 Safety, if you click on the Safety, we have posted up
4 on the web the outcomes of all of your deliberations.

5 So people can track whether we are following up with
6 your recommendations.

7 And I would like Barbara Gould -- would
8 she please stand up in the back? Barbara went through
9 -- please, Barbara, show everybody. She went through
10 every transcript, synthesized it, and made it fit that
11 little box of your entire deliberations.

12 So there are many people that have worked
13 putting this together and we really do take seriously
14 your recommendations. And with that, I will sit down
15 and turn the meeting back over to Marsha.

16 Thank you all very much.

17 CHAIR RAPPLEY: Thank you, Dianne.

18 Dr. Pena?

19 EXECUTIVE SECRETARY PENA: Thank you. And
20 good afternoon everyone.

21 My name is Carlos Pena. And I'm the Exec
22 Sec to the Pediatric Advisory Committee. I have a few
23 notes that I'd like to read before we begin our
24 presentations.

25 The following announcement addresses the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue of conflict of interest with regard to today's
2 discussion of a report by the Agency on adverse event
3 reporting as mandated in Section 17 of the Best
4 Pharmaceuticals for Children Act.

5 The Pediatric Advisory Committee will hear
6 and discuss a report by the Agency, as mandated in
7 Section 17 of the Best Pharmaceuticals for Children
8 Act, on adverse event reports for Fluvastatin and
9 Octreotide.

10 The Committee will also receive updates to
11 adverse event reports for Orlistat and Oxybutynin
12 which were requested by the Pediatric Advisory
13 Committee or its predecessor, the Pediatric
14 Subcommittee of the Anti-Infective Drugs Advisory
15 Committee when the reports were first presented.

16 This statement is made part of the record
17 to preclude even the appearance of such at this
18 meeting. Based on the submitted agenda for the
19 meeting and all financial interests reported by the
20 Committee participants, it has been determined that
21 all interests in firms regulated by the Food and Drug
22 Administration present no potential for an appearance
23 of a conflict of interest at this meeting.

24 In the event that the discussions involve
25 any other products or firms not already on the agenda

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for which an FDA participant has a financial interest,
2 the participants are aware of the need to exclude
3 themselves from such an involvement and their
4 exclusion will be noted for the record.

5 We note that Ms. Marilyn Eichner is
6 participating as the Temporary Pediatric Healthcare
7 Representative. Ms. Paula Knudson is participating as
8 the Temporary Voting Consumer Representative. And Dr.
9 Geoffrey Rosenthal is participating as a Temporary
10 Voting Member.

11 We would also like to note that Dr. Samuel
12 Maldonado has been invited to participate as the Non-
13 Voting Industry Representative acting on behalf of
14 regulated industry.

15 Dr. Richard Gorman is participating as the
16 Non-Voting Pediatric Health Organization
17 Representative acting on behalf of the American
18 Academy of Pediatrics.

19 With respect to all the other participants
20 we ask in the interest of fairness that they address
21 any current or previous financial involvement with any
22 firm whose product they may wish to comment upon.

23 We have an open public comment scheduled
24 for now 4:20 and I would like just to remind everyone
25 to turn on your microphones when you speak so the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transcriber can get all that is discussed at the
2 meeting today. Thank you.

3 CHAIR RAPPLEY: Dianne?

4 DR. MURPHY: Just one last comment and
5 that is as we go through this, as I mentioned earlier
6 in the closed session, the Committee has made many
7 recommendations to us.

8 One of the last recommendations is that we
9 not read the slides -- all the cases to you. But that
10 we try to focus you by in some way prioritizing, if
11 you will, the cases. So we have tried to do that for
12 you today. And we'll be interested in your feedback
13 if you think it is helpful or not.

14 Thank you.

15 CHAIR RAPPLEY: Now we move into our
16 public hearing. Do we have participants for that?
17 Okay, seeing none, then we will move into our
18 presentations for our four medications.

19 And I'd just like to add that in each set
20 of slides for each particular drug that is presented,
21 towards the end we will be asked a specific question
22 to consider. And that is where we will focus our
23 discussion.

24 Thank you.

25 EXECUTIVE SECRETARY PENA: The first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presenter is Dr. Amy Taylor. Amy Taylor is a
2 pediatrician with the Pediatric and Maternal Health
3 staff in the Office of New Drugs. The division
4 representative is Dr. Eileen M. Craig, Medical Officer
5 in the Division of Metabolism and Endocrinology
6 Products.

7 DR. TAYLOR: Okay. I will be presenting
8 information on Lescol. And I thought I would press
9 this and it would move it. But it doesn't seem to be
10 doing it.

11 Fluvastatin or Lescol and Lescol XL is
12 indicated in the pediatric population as adjunct to
13 diet to reduce total cholesterol, LDL-C and Apo B
14 levels in adolescent girls who are at least one year
15 post menarche and boys ages 10 to 16 years with
16 heterozygous familial hypercholesterolemia.

17 Lescol received market approval in
18 December 1993. And Lescol XL received market approval
19 in October 2000. Pediatric exclusivity was granted on
20 December 15th, 2005.

21 Pediatric use of this drug is limited. It
22 represents less than one percent of all prescriptions.

23 The exclusivity studies were two open-labeled,
24 uncontrolled dose-titration studies in pediatric
25 patients with heterozygous familial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypercholesterolemia.

2 These studies resulted in labeling with a
3 pediatric indication, dosing information, adverse
4 event information, and a description of the clinical
5 study results. In the one year post-exclusivity
6 period, no pediatric adverse events were reported.

7 Seven adverse events in the pediatric
8 population were reported since market approval. They
9 were leukopenia and neutropenia, chorioretinitis, in-
10 utero exposure, intentional overdose, and three
11 reports of accidental overdose.

12 The review of adverse events since market
13 approval did not identify any safety signals unique to
14 the pediatric population.

15 In summary, the exclusivity studies
16 resulted in labeling with a pediatric indication,
17 dosing information, adverse event information, and a
18 description of the clinical study results. Pediatric
19 use of Lescol is limited.

20 No pediatric adverse events were reported
21 in the one-year post-exclusivity period. There were
22 no safety signals identified since market approval
23 unique to the pediatric population.

24 This completes the one-year post-
25 exclusivity adverse event reporting as mandated by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 BPCA. FDA recommends routine monitoring of adverse
2 events for Fluvastatin in all populations.

3 Does the Advisory Committee concur?

4 And I wish to thank the people listed here
5 for their help with this presentation.

6 CHAIR RAPPLEY: Thank you. So this
7 question is open for discussion.

8 DR. MURPHY: No questions? I need to ask
9 the Division and the Safety Reporter if there are any
10 questions. If there aren't, that's fine. I just want
11 to make sure. You can ask either of them.

12 Otherwise, I guess, Marsha, the thing is
13 if everybody agrees with the recommendation or not, if
14 you could just get some sense of the Committee.

15 CHAIR RAPPLEY: So the recommendation is
16 that this be returned to routine monitoring. We were
17 informed earlier in the day that we can return it to
18 routine monitoring or we can ask for it to be
19 specifically monitored in pediatric patients.

20 What would be the desire of the Committee?

21 Yes?

22 MEMBER CNAAN: Routine monitoring means
23 when do we see it again?

24 DR. MURPHY: You wouldn't unless there was
25 a specific problem that would come up. What this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 means is that, as you noticed, this was one of the
2 abbreviated ones that we did not find anything in the
3 review. You -- just to reiterate for the newer
4 members, we did provide you the entire review packet,
5 the use packet, but instead of going over it in
6 public, we've summarized it because we didn't think
7 there was a signal.

8 However, if the Committee thinks there is
9 something that they are concerned about from reviewing
10 the packet that they want us to come back to them
11 about, we would. Otherwise it goes back to the
12 routine process that all products at FDA would, as you
13 heard earlier, be subject to.

14 CHAIR RAPPLEY: And that means it will not
15 come back unless there is some additional signal that
16 is noted.

17 DR. MURPHY: A request by the Committee --

18 MEMBER CNAAN: Okay.

19 DR. MURPHY: -- like the other two
20 products coming back.

21 Bob? Michael?

22 MEMBER FANT: Yes, is there -- since this
23 is a statin, is there any information that is known in
24 the database in kids with signals with other statins?
25 I mean is there a class issue -- not specific for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this drug but is there a class issue that we might
2 want to look at this drug more specifically.

3 DR. JOHANN-LIANG: Jo Wyeth, who presented
4 to you before, is the safety Representative for the
5 statins. But as far -- and you can chime in if you'd
6 like -- but as far as I know, we don't have an active
7 pediatric issue -- safety issue regarding statins. I
8 mean the use in kids is very small. And we have not
9 been working up any safety issue, right? Am I --

10 DR. MURPHY: Can you come to the table and
11 say that please, Jo, so we make it for the record?

12 DR. WYETH: We reviewed atorvastatin and
13 simvastatin at the last Pediatric Advisory Committee
14 meeting. And there were no signals noted. And that
15 was returned to routine monitoring.

16 CHAIR RAPPLEY: Bob?

17 MEMBER WARD: I just want to recommend
18 that we move it back to routine monitoring. And that
19 we try to avoid bringing it back for special pediatric
20 review just out of sort of a hypothetical concern.

21 MEMBER DURE: Can I just ask one question
22 because the early afternoon session was very helpful
23 but to give Jo sort of the marching orders to keep an
24 eye out for pediatric, is that more work? Does that
25 mean it has to come back to us? Or I mean --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. JOHANN-LIANG: In this case, I think
2 returning to the routine monitoring as 71 percent of
3 the outcomes in the past is probably appropriate in my
4 opinion. So it is okay.

5 DR. MURPHY: But if you wanted her -- I'm
6 just saying because -- I'm not suggesting we do that
7 but for the future, if someone wanted to do that, if
8 that's what you are bringing up, you could do that.

9 MEMBER DURE: Right. And I guess where
10 I'm trying to go here is that -- when would we -- what
11 are the criteria when we would not refer it back for
12 further pediatric monitoring?

13 I mean it is more just to get an idea in
14 my own head because we don't know how many people will
15 be using statins I mean with the rise in Type II
16 diabetes in kids and things like that. We don't know
17 what it is going to be like in ten years.

18 DR. JOHANN-LIANG: Right. So at that time
19 if -- the Safety Advisor who monitored the drug, like
20 with any other drug, and we're hoping that with all
21 the mechanisms in place, if there is a signal with
22 children, that will come up.

23 And also if you folks or any other things
24 in the literature -- I mean because we do, you know,
25 screen -- signal hunt in other places besides AERS,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we collectively would be able to bring it back if
2 need so, okay?

3 DR. MURPHY: So what you are saying, we
4 would return it to routine monitoring with the
5 understanding that we will continue to look at
6 pediatrics and bring anything back that we saw to you
7 all. Is that a summary of what I'm hearing? Thank
8 you.

9 CHAIR RAPPLEY: Does that sound agreeable
10 to people? Do I see agreement around the table?
11 Would you like to make a comment? Or you are
12 agreeing? Okay, very good. Okay, thank you.

13 We'll move on to the next medication. And
14 that is Octreotide.

15 EXECUTIVE SECRETARY PENA: Dr. Amy Taylor
16 is also presenting Octreotide. The Division
17 Representative is Dr. Triskey Hill, Medical Officer in
18 the Division of Metabolism and Endocrinology Products.

19 DR. TAYLOR: This presentation will focus
20 on background information, including drug use and
21 exclusivity studies, serious adverse events focusing
22 on the gastrointestinal, respiratory, and cardiac
23 systems, the reported deaths since market approval,
24 and concerns pertaining to the off-label use of
25 Octreotide in infants. At the end, we will have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions for the Committee to consider.

2 Octreotide, or Sandostatin injection, and
3 LAR depot are somatostatin analogues. Sandostatin
4 injection was approved in October 1988 and the LAR
5 depot formulation in November 1998. Pediatric
6 exclusivity was granted in January 2006.

7 The adult indications for Octreotide are
8 for the treatment of acromegaly in patients who have
9 had an inadequate response to or cannot be treated
10 with surgical resection, pituitary irradiation, and
11 bromocriptine mesylate for the symptomatic treatments
12 of patients with metastatic carcinoid tumors, to
13 suppress or inhibit severe diarrhea and flushing
14 episodes, and for the treatment of profuse, watery
15 diarrhea associated with vasoactive intestinal
16 peptide-secreting tumors. There are no pediatric
17 indications.

18 Drug use information is difficult to
19 obtain since 54 percent of the use of Sandostatin LAR
20 Depot is in the outpatient clinic setting.

21 The data resources available to the Agency
22 do not capture this use. In addition, we have no way
23 of knowing the age of the patients for whom it was
24 prescribed in the outpatient clinic setting.

25 The premier database revealed pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use in .9 percent, or 156 discharges, between July
2 2005 and June 2006. Sandostatin LAR Depot was
3 associated with a total of seven pediatric discharges
4 for the same 12-month period.

5 Sandostatin LAR Depot was studied in the
6 pediatric population, a randomized, double-blind,
7 placebo-controlled, fixed-dose six-month study in 60
8 patients, age six to 17, with hypothalamic obesity
9 resulting from cranial insult, was conducted.

10 Thirty patients received Sandostatin LAR.
11 The study included a six-month open-label extension
12 study.

13 The primary efficacy endpoint was the mean
14 change in body mass index from baseline. Patients
15 treated with Sandostatin LAR had a mean change in BMI
16 from baseline of .1 kilogram per meter squared versus
17 0.0 kilogram per meter squared with placebo. This was
18 not significant. Efficacy was not demonstrated.

19 This slide presents the most frequent
20 adverse events during Sandostatin LAR treatment in the
21 study. Patients treated with Sandostatin LAR had
22 higher rates of diarrhea, cholelithiasis, and
23 abdominal pain compared to placebo.

24 There was a higher incidence of new
25 cholelithiasis in this pediatric population compared

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to adults with acromegaly and malignant carcinoid
2 syndrome treated with Sandostatin LAR.

3 The dosing for this pediatric population
4 was 40 milligrams once a month compared to 10 to 30
5 milligrams once a month in the adult studies.

6 The open-label extension study was
7 terminated due to lack of efficacy and a high risk of
8 gallstone formation.

9 Labeling changes which resulted from the
10 exclusivity study include data from the PK portion of
11 the study in the clinical pharmacology section of the
12 labeling and efficacy and adverse event information in
13 the pediatric use section.

14 There were two adverse event reports in
15 the pediatric population during the post-exclusivity
16 period. Both were serious. One was fatal.

17 There were a total of 52 adverse event
18 reports in pediatric patients since market approval.
19 Thirty-three of these reports were coded as serious.
20 There were 11 deaths.

21 Multiple off-label uses were reported.
22 The most common of these reported uses were fistula,
23 hyperinsulinemia, neisidioblastosis, diarrhea, and
24 chylothorax. These are additional reported off-label
25 uses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Although 33 of the 52 adverse event
2 reports were coded as serious adverse events, a hands-
3 on review demonstrated that there were 36 reports
4 which met our regulatory definition of serious adverse
5 events. Twenty-five of the reports were non-fatal.
6 And 11 were fatal.

7 Normally once a hands-on review is
8 conducted, we see the number of serious adverse event
9 reports decrease because of duplicate reports. This
10 time, however, we found additional serious adverse
11 event reports to those coded as serious.

12 I will start with a presentation of the
13 non-fatal serious adverse events and I will focus my
14 remarks on cases that are possibly related.

15 There were two reports of serious
16 gastrointestinal adverse events which were possibly
17 related to Octreotide use. The first case was a
18 literature report of a newborn male on Octreotide for
19 post-surgical chylothorax which occurred 14 days after
20 surgery to correct a coarctation of the aorta.

21 The patient had been on intral feedings
22 since post-op day eight. Three days after starting
23 Octreotide, the patient developed clinical signs of
24 necrotizing enterocolitis with abdominal distention,
25 fever, vomiting, and bloody stools. Octreotide was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discontinued.

2 Antibiotics and parental nutrition was
3 started. The infant did well and was discharged at
4 two months of age.

5 The second case involves an 11-year-old
6 male with a germinoma on Octreotide, ifosfamide, and
7 cisplatin. Two days later, the patient developed
8 pancreatitis. The pancreatitis resolved after the
9 medications were stopped. Pancreatitis is a rare-
10 labeled adverse event with Octreotide.

11 There were four reports of serious
12 respiratory adverse events. Three of the reports had
13 a temporal relationship to Octreotide administration,
14 including one case with a positive rechallenge.

15 The first case involves a three-month-old
16 premature infant with a fistula secondary to short gut
17 syndrome. The patient became hypoxic after one dose
18 of Octreotide. The patient was rechallenged with a
19 lower concentration infusion and became hypoxic again.

20 The second case is of a two-year-old male
21 with HIV associated diarrhea, AIDS, congestive heart
22 failure, and numerous other medical problems.

23 After being on Octreotide subcutaneously
24 for two months and then intravenously for two weeks,
25 the patient stopped breathing after administration of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an IV dose. Breathing resumed with stimulation and
2 oxygen.

3 The third case is a literature report of a
4 six-month-old premature infant with bronchopulmonary
5 dysplasia and a history of necrotizing enterocolitis.

6 The infant was treated with Octreotide for a fistula.

7 During treatment, the infant had multiple
8 episodes of hypoxia occurring within 30 minutes of
9 Octreotide administration. The patient developed
10 severe pulmonary hypertension and the infant was
11 discharged home on oxygen.

12 There is one case that is difficult to
13 assess due to underlying condition. This case is an
14 11-month-old with a history of GI motility problem and
15 other unknown medical history.

16 While on Octreotide for two months, the
17 patient developed cataracts and pneumonia and a
18 subsequent persistent hypoxia.

19 There were four reports of serious cardiac
20 adverse events. Two cases reported bradycardia, which
21 is a labeled adverse event. The first case involved a
22 13-year-old male with a cranial hemorrhage secondary
23 to an arterial venous malformation. The patient
24 developed chylothorax and was treated with Octreotide.

25 The patient developed sinus bradycardia to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 42 during an Octreotide infusion. The bradycardia
2 resolved minutes after the infusion was stopped.

3 The second case involves an 11-year-old
4 male with bradycardia to 40 during an Octreotide
5 infusion for a bleeding gastric ulcer. The
6 bradycardia was successfully treated with atropine.
7 This report contains limited information.

8 There were two cases which were difficult
9 to assess. The first case involves a 15-year-old male
10 with Noonan Syndrome who developed sudden chest
11 tightness, difficulty breathing, and pain within 30
12 minutes of receiving 35 micrograms of Octreotide. The
13 report states that blood pressure and other
14 observations were normal.

15 The second case involves an eight-month-
16 old male with eosinophilia, dysrhythmia, palpitations,
17 and heart rate change with Octreotide. The patient
18 was treated with defibrillation and drug
19 cardioconversion. No additional information is
20 available.

21 The next several slides contain
22 information on additional serious adverse event
23 reports. I will not present each case here but if you
24 have any questions regarding a particular case, I will
25 be happy to answer them for you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There were three reports of serious
2 neurological adverse events. There were three reports
3 involving serious adverse metabolic, nutritional
4 adverse events. There was one case of a medication
5 error and two cases of fever. There were two cases of
6 reported sepsis. There was one case of an abnormal
7 laboratory measurement and one case of dependency.
8 There was one report of a serious blood disorder
9 adverse event and one report of in-utero exposure.

10 There was one additional published report
11 of a serious adverse event. This case was not
12 reported to AERS. This is a premature infant with
13 hyperinsulinism on Octreotide for five weeks. The
14 patient developed cholestatic jaundice and
15 cholelithiasis. The patient improved with a decrease
16 in Octreotide.

17 There have been 11 deaths reported in the
18 pediatric population since market approval. One
19 death was reported during the post-exclusivity period.
20 The reported uses associated with these deaths are
21 listed here. I will focus my remarks on cases that
22 are possibly related.

23 This first case involves a 16 day old on
24 Octreotide for hyperinsulinism. Six days after the
25 start of his second course of Octreotide, the patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developed clinical signs of necrotizing enterocolitis.

2 During surgery, the patient was found to have
3 extensive NEC. The patient died two hours later.

4 The second case is a one-month-old female
5 with transposition of the great arteries, status post-
6 surgical repair on Octreotide for chylothorax. She
7 developed abdominal distention and an atrial thrombus
8 after one week on Octreotide. The patient died from
9 necrotizing enterocolitis, sepsis, respiratory
10 failure, and hepatic necrosis. No thrombus was noted
11 on autopsy.

12 Now that you've heard about three reports
13 of neonates with NEC, two which were fatal and one
14 nonfatal, I want to pause here and present some
15 information on necrotizing enterocolitis and two
16 common off-label uses of Octreotide.

17 Population-based studies have reported an
18 incidence of NEC of .5 to five per 1,000 live births.

19 Approximately 90 percent of cases are pre-term with
20 six to seven percent of cases occurring in very low
21 birth weight neonates.

22 Among term neonates, the incidence is .05
23 to .4 per 1,000 live births. Two-thirds of term
24 neonates with NEC have congenital diseases, for
25 example, congenital heart disease or endocrine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 abnormalities.

2 A study of cardiac intensive care unit
3 admissions found that 3.3 percent of neonates develop
4 NEC. This was independent of whether the neonate had
5 undergone surgery or not.

6 Among neonates with hypoplastic left heart
7 syndrome, the incidence of NEC was 7.6 percent. Risk
8 factors associated with NEC were hypoplastic left
9 heart syndrome, truncus arteriosus or aortopulmonary
10 window, younger gestational age, and episodes of low
11 output or shock.

12 The pathophysiology of NEC is poorly
13 understood. Contributing factors include impaired
14 motility, hypoxic-ischemic injury, breakdown of the
15 epithelial barrier, abnormal colonization, and an
16 immature or abnormal inflammatory response.

17 Overall mortality was reported as 15 to 35
18 percent. Twenty to 40 percent of neonates require
19 surgery with a 50 percent mortality.

20 A common off-label use of Octreotide in
21 neonates is for the treatment of chylothorax. It is
22 usually used after a failure of conservative
23 management. There are two types of chylothorax,
24 primary or congenital and secondary resulting from
25 trauma or obstruction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Some of the risks associated with
2 chylothorax include immunodeficiency, malnutrition,
3 and electrolyte disturbances. Doses reported with
4 Octreotide use for chylothorax are .3 to 10 micrograms
5 per kilogram per hour as a continuous infusion but
6 also subcutaneously or by IV bolus.

7 Octreotide was used for three to 27 days.
8 Resolution is reported to occur in three to 15 days;
9 however, five to six days is usual. This information
10 is based on the reported experience with 65 patients.

11 Octreotide is also used off label for
12 congenital hyperinsulinism in neonates. It is
13 usually used for short-term management of
14 hypoglycemia. Due to tachyphylaxis, Octreotide has
15 been effective as a chronic treatment in only a
16 limited number of infants with severe hyperinsulinism.

17 Reported doses are one to ten micrograms
18 per kilogram per day, subcutaneously in three to four
19 divided doses or by continuous IV infusion. However,
20 doses as high as 40 micrograms per kilogram per day
21 have been used in infants and children.

22 I will now continue on with the reported
23 deaths since market approval. This next case reported
24 in the literature involves a 15-year-old male with
25 dyskeratosis congenita who underwent a stem cell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transplant.

2 He developed graft versus host disease on
3 day 15. Two weeks later, he was started on Octreotide
4 for diarrhea. Seven days later, he developed severe
5 hypotension, respiratory distress, and abdominal pain
6 with septic shock.

7 He died ten days later from diffuse
8 hemorrhagic necrosis of the GI system and diffuse
9 capillaritis of the brain and gut.

10 The next case involves a three-year-old
11 male with nephrotic syndrome on prednisone. One day
12 after the start of Octreotide, the patient developed a
13 bleeding duodenal ulcer and died the next day.

14 This case, which was reported in the
15 literature, is a three-week-old premature male with
16 respiratory distress and a history of NEC who was
17 started on Octreotide for an intracutaneous fistula.
18 The patient experienced repeated episodes of hypoxia
19 and was subsequently diagnosed with mild pulmonary
20 hypotension.

21 The patient improved on supplemental
22 oxygen and was later tolerating room air one week
23 after Octreotide was discontinued. The patient died
24 at six months from liver and renal failure secondary
25 to short bowel syndrome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This first case is a one-year-old male
2 with a history of hypertrophic nonobstructive
3 cardiomyopathy. The patient received Octreotide for
4 chylothorax. Three days later, the patient developed
5 intermittent second degree AV block which improved
6 after discontinuation. The patient died several
7 months later from underlying cardiac and metabolic
8 storage disease.

9 The next case was reported during the
10 post-exclusivity period. This was a literature report
11 of a four month old with Noonan Syndrome on
12 Octreotide. Two weeks later after the patient
13 developed abdominal distention and hypoglycemia after
14 Octreotide was stopped. The patient died a few days
15 later from cardiac arrest after pneumothorax, anuria,
16 and hypoglycemia.

17 The next two slides contain information on
18 additional reports of death. I will not present each
19 case here but if you have questions regarding a
20 particular case, I will be happy to answer them.

21 There were two cases, not three as you see
22 on the slide, related to hepatobiliary disorder.
23 There were two cases related to miscellaneous
24 disorders.

25 This review has revealed that Octreotide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is used for a variety of unapproved indications in the
2 pediatric population. Despite the lack of controlled
3 trial data, off-label use of Octreotide in the
4 pediatric population is published widely.

5 Almost 50 percent of the reports of
6 adverse events received by the FDA occurred in
7 children less than two years of age. The majority of
8 deaths reported occurred in children less than two
9 years of age.

10 From these reports, we have seen that a
11 wide range of Octreotide doses are used in the
12 pediatric population. Sandostatin injection is used
13 in the majority of the cases. In six reports,
14 continuous infusion was used and this is not an
15 approved method of administration in any population
16 for any indication.

17 In summary, adverse events seen with
18 Octreotide are serious and not limited to a particular
19 System Organ Class. There was a potential temporal
20 relationship since 27 percent of the reported adverse
21 events occurred within 24 hours of starting
22 Octreotide.

23 Since market approval, there have been 36
24 reports of serious adverse events, 25 nonfatal, and 11
25 deaths. Most cases are difficult to assess due to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 underlying condition, concomitant medication, or
2 insufficient information.

3 There are eight cases that are possibly
4 related to Octreotide use; three reports of
5 necrotizing enterocolitis, which is unlabeled, one
6 report of repeated episodes of hypoxia, one report of
7 repeated hypoxia with rechallenge, and hypoxia is an
8 unlabeled adverse event, one report of pancreatitis,
9 which is a labeled adverse event, and two reports of
10 bradycardia, which is a labeled adverse event.

11 Almost 50 percent of reports were in
12 children less than two years using Sandostatin
13 injections. The majority of deaths were in children
14 less than two years. Overall, there was no
15 discernible trend between pediatric Octreotide use and
16 reports of death.

17 This completes the one-year post-
18 exclusivity adverse event reporting as mandated by
19 BPCA.

20 Before I get to the questions for the
21 Committee, I want to review the information currently
22 in the Sandostatin and Sandostatin LAR labeling. I
23 will start with how they are different.

24 Sandostatin LAR labeling contains
25 information in the precautions pediatric use section

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the exclusivity study and has limited information
2 on use of Sandostatin in hyperinsulinism.

3 The Sandostatin injection labeling has no
4 information on the exclusivity study. Remember that
5 the exclusivity study was conducted with Sandostatin
6 LAR. The Sandostatin injection labeling has an in-
7 depth description of use in hyperinsulinism in the
8 precautions pediatric use section. Neither labeling
9 has information on NEC or hypoxia.

10 This is a slide which was shown earlier in
11 the presentation but I repeated it here to remind you
12 of the information which is in the labeling for
13 Sandostatin LAR.

14 The next two slides review the information
15 currently included in the precautions pediatric use
16 section of the Sandostatin injection labeling. The
17 labeling states that there have been no formal
18 controlled clinical efficacy safety studies.

19 The labeling includes a description of
20 efficacy and safety data derived from literature
21 reports of 49 neonates and infants with congenital
22 hyperinsulinism. The information includes the doses
23 used, efficacy reported, and adverse events noted.

24 The majority of short-term adverse events
25 were gastrointestinal. Long-term adverse events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reported included poor growth, poor weight gain, and
2 gallstones.

3 We have the following questions for the
4 Committee. Do you recommend changes to the labeling?
5 To the pediatric use sections? Any additions or
6 deletions?

7 Should the labeling be updated to include
8 information presented on post-marketing adverse
9 events? Should changes be made to both labels? Or
10 just one?

11 How can this information be disseminated
12 outside of the labeling?

13 Does the Committee have any other
14 recommendations or comments?

15 And I wish to thank the people listed here
16 for their assistance.

17 CHAIR RAPPLEY: These questions are open
18 for discussion.

19 Bob?

20 MEMBER WARD: Well, let me just mention
21 some things about NEC and how we think that this drug
22 could be related physiologically or
23 pathophysiologically to NEC.

24 Mike and I talked about this earlier. We
25 don't fully understand the pathophysiology of NEC. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have, instead, knowledge about associations. The
2 putative mechanism leading to NEC is that there is
3 mucosal damage and then invasion of bacteria. And the
4 associations with NEC suggest that under-perfusion to
5 the body and to the GI tract may contribute to it.

6 Octreotide can cause vasoconstriction.
7 And so there is a hypothetical way that the two might
8 be linked. The cases presented though, at least two
9 of them had cardiovascular disease that would also
10 reduce blood flow to the intestinal tract. So they
11 are significantly confounded.

12 And so to attribute it to the Octreotide
13 as opposed to the underlying cardiovascular disease to
14 me is quite unclear. And there is a significant
15 association between these cardiac malformations and
16 the development of NEC in term babies that illustrates
17 really the clinical condition of these patients.

18 So I think it is very difficult to know --
19 the other thing I would like to mention is that there
20 were a couple of cases in which the drug was started
21 and the child died within a day. And it simply
22 illustrates intensive care medicine. I mean these are
23 often very sick patients.

24 And chylothorax, the one that is not on
25 there, is if you don't treat it, they develop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respiratory failure and they die, okay, from the
2 plural effusion. And so we feel somewhat pressed to
3 treat them either with drainage of the fluid and after
4 a period of time with a drug that we think may
5 decrease production of the lymphatic fluid.

6 So I'm really on the fence about what is
7 the appropriate amount of cause and effect to
8 attribute to the Octreotide in these cases, especially
9 of NEC.

10 MEMBER FANT: Yes, I agree with that. You
11 know I think we clearly don't have enough information
12 to draw any firm conclusions. But I think what we do
13 know would suggest that, you know, from the standpoint
14 of biological and clinical plausibility, and the risk
15 factors for NEC in the neonatal population, and
16 actually one thing that I was, you know, just sort of
17 -- my concern was heightened for was just the episodes
18 of oxygen desaturations that were occurring and the
19 risk of pulmonary hypertension and, you know,
20 especially kids with chronic lung disease that may
21 have reactive pulmonary vasculature anyway, you know,
22 may suggest that this is a particularly at-risk
23 population.

24 In reviewing one of the studies that
25 looked at NEC in kids with congenital cardiac

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 malformations, when they looked at that, you know one
2 of the -- when they did their statistical analysis,
3 you know it seemed that the cardiac defect was
4 independently associated. Again, prematurity was
5 independently associated. And there was an
6 interaction between the two.

7 And so all of these things in totality
8 would suggest that at least -- oh, and one more thing,
9 you know, there is an abundance of -- I mean I
10 wouldn't say an abundance but there is a lot of random
11 off-label use in the neonatal community, you know. I
12 personally surveyed my own division and, you know, we
13 don't use it that often but when I surveyed a division
14 I used to be a part of, and, you know, it is not used
15 that often but when it is used, it is used for a
16 variety of indications.

17 And there is not much guidance in terms of
18 the dosing. So, you know, I came to the conclusion
19 that when it is being used, it is being used with, you
20 know, you are sort of walking down -- you are walking
21 through the FDA complex with nothing but a dim
22 flashlight to sort of guide you.

23 So it's not -- we're often really not
24 walking on sure footing when we are using this drug.
25 And I think the wording probably should be -- or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeling should be I guess modified or whatever to
2 just alert the clinical community to what may be
3 signals in certain high-risk babies, you know, kids
4 with heart defects, a lot of whom are slightly
5 premature to begin with, kids who may have underlying
6 lung disease, and alterations and abnormalities in
7 their pulmonary vasculature. They may be more
8 susceptible to the vasoactive activity of Octreotide.

9 So I think something in the labeling that
10 kind of conveys, you know, a heightened level of
11 alertness on the part of practitioners and perhaps
12 sends a subtle message that we need to be a little
13 extra thoughtful when we use this drug.

14 CHAIR RAPPLEY: Melissa?

15 MEMBER HUDSON: Actually I would echo some
16 of what Michael said, too, because it seems like from
17 my perspective, being so distant from neonatology,
18 that these are medically compromised populations for
19 which there is really not an appropriately-labeled
20 use.

21 And that there should just be a warning
22 that these compromised populations that are prone to
23 hypoperfusion for a variety of reasons, you know, one
24 should be particularly careful because these specific
25 adverse events have been described. And that may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you are sort of figuring out what to do as that
2 baby is taking the long trail down, they might
3 actually look at the label and see -- that will alert
4 them to these specific cases.

5 CHAIR RAPPLEY: Geoff?

6 DR. ROSENTHAL: I also agree with what my
7 colleagues have said and would just like to reiterate
8 or raise my observation that I think it is being used
9 increasingly. And that it is being used for
10 increasingly obscure indications. And that those
11 indications are, from where I sit, are ones that tend
12 to be indications that don't have other good options.

13 So chylothorax following heart surgery can
14 be, you know, just a very difficult problem to deal
15 with. And this is one of the Hail Marys.

16 And I do think it makes sense to somehow
17 let practitioners know that it is not a freebie. That
18 there may be issues with using this in that kind of
19 context.

20 CHAIR RAPPLEY: Yes, Robert?

21 MEMBER DAUM: So I guess I'll sort of make
22 a comment on the other side to at least hear more
23 discussion. This is a passive reporting system. And
24 we have a few reports of neonates who got NEC. And
25 premature babies who got hypoxic. And anybody who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sets foot in a nursery will know that both of those
2 things occur in the absence of Octreotide 24/7.

3 And so I'm not really convinced listening
4 to this that there is an outpouring of concern on the
5 part of the reporters to the system about a particular
6 excess of either of those things. And I have no way
7 to even begin separating normal occurrences in what
8 are probably very sick patients otherwise from the
9 influence of this drug.

10 And so I guess my position is an agnostic
11 one. I can't tell a thing from what I've heard. And
12 to then turn around and say we're going to change the
13 labeling based on this, I think is going two steps too
14 far out on a small limb.

15 CHAIR RAPPLEY: Michael?

16 MEMBER FANT: Interestingly, I agree with
17 what you said. But you have to remember this is a
18 drug that has no label use in the pediatric
19 population. And it is being used. And it is being
20 used for a variety of reasons that -- how did you put
21 it -- increasingly obscure reasons. I mean that is
22 the best way that I can put it.

23 And we don't have enough information to
24 really know what the truth really is. But I think we
25 have enough information that, I guess, in light of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinical and biological plausibility, again, we may
2 have some signals that practitioners need to think
3 about before they use this drug.

4 You know certain situations, you know,
5 like you mentioned, kids with protracted chylothorax,
6 you know when it doesn't resolve spontaneously, you've
7 got very few options to use. And, you know, sometimes
8 you are in a situation where okay, we'll try this or
9 the kid is going to die from something else anyway,
10 you know. And sometimes that is the situation we find
11 ourselves in.

12 But the notion that Octreotide is
13 necessarily a benign drug, you know, is, I think, it
14 is an inaccurate notion. And I think at least these
15 signals -- the potential signals that are starting to
16 show up that we need to look at and practitioners who
17 are taking care of kids who may be at risk -- at a
18 potential risk in terms of susceptibility to these
19 adverse events, they just need to have something to
20 think about.

21 Now if I'm a neonatologist and I have a
22 kid with malignant chylothorax, you know, that is not
23 resolving, you know, I really don't care what the
24 label says, I'll probably use it, you know, because
25 you don't have any other option. And I think any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 practitioner would.

2 So I really don't think it would change
3 the practice of thoughtful practitioners. But it may
4 give the non-thoughtful practitioners something to
5 think about.

6 CHAIR RAPPLEY: Keith and then Leon.

7 MEMBER KOCIS: Certainly these cases are
8 not going to be causal with regard to the fact
9 that these are extremely complex, extremely sick
10 children who have had a very high likelihood of death.

11 And I want to throw one other mechanism in there
12 which was the thrombosis that we've seen throughout
13 the autopsy findings and other things.

14 These children are at high risk for a
15 thrombosis, imbalance of coagulants and
16 anticoagulants, hypoproteinemia, catabolism, et
17 cetera, et cetera. So I think my point would be that
18 the combination of at-risk children and Octreotide
19 does, at least in my opinion, reach a certain level of
20 a concern that notification needs to go out there
21 because of one, an increasing use, two, lack of other
22 therapies that we might want to use in these children
23 in sort of a now a movement towards using this.

24 Certainly in one of my journals that is
25 published, some positive results which are concerning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to me. And certainly anecdotally I've used it for
2 secondary chylothorax in post-op cardiac kids with
3 unenthusiastic endorsement for the drug. I really
4 haven't seen it work all that well. So, of course, we
5 need randomized control studies. We'll say that.

6 But I think at this point, there is an
7 increasing use in at-risk children and there are very
8 serious lethal, fatal complications from that. And I
9 think we need to put that warning out there. If you
10 are going to use it in these children, you need to be
11 aware of that, a heightened early warning to that.

12 And also one other thing, particularly
13 with the chylothorases issue, we have other therapies
14 that many times do work. And if you wait long enough,
15 temporally related, they do resolve, at least in the
16 secondary chylothorax issue.

17 And I think the sense is, and certainly if
18 you were to read this paper, you might be moving
19 towards Octreotide before basic therapy of MPO, TPN,
20 and other things that have lower risk -- not zero risk
21 but lower risk -- until we have better data.

22 CHAIR RAPPLEY: Leon?

23 MEMBER DURE: Yes, first of all, Dr.
24 Taylor, I wanted to applaud you because you summarized
25 some pretty complicated stuff there. But one thing I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 didn't hear explicitly was in all these adverse
2 events, were the patients being treated specifically
3 for chylothorax? Because is there some other reason -
4 - you've alluded to it but I don't see these patients
5 so I don't know.

6 And just -- and I'll finish up -- then the
7 other question is is there is a labeling issue here
8 because the labels are different. And what are you --
9 do you have a suggestion for how to address that? Now
10 I'll stop.

11 DR. TAYLOR: Well, I'll answer the first
12 question. And then maybe have Theresa address the
13 second question.

14 You may recall there was a slide that had
15 the uses reported for the 52 adverse event reports.
16 And there was a nice long list. And so those were
17 actually why Octreotide was used in each of those
18 reports.

19 CHAIR RAPPLEY: That's Slide 13 and 14.

20 PARTICIPANT: Did they all have
21 chylothorax?

22 DR. TAYLOR: No, that's what I'm saying.
23 Chylothorax was just one of the reported uses among
24 the many.

25 MEMBER KOCIS: Hyperinsulinism is one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the ways we use it, probably almost as frequently as
2 we do for chylothorax.

3 DR. TAYLOR: Avital?

4 MEMBER CNAAN: I wanted to go a little bit
5 actually on the label. I would like to suggest that
6 on the injection label, the exclusivity study
7 description does need to be added because I think it
8 is a little bit misleading that that information, that
9 negative information essentially is missing from the
10 injection description.

11 Otherwise I agree with the statement about
12 causality is not there. It is association and
13 concurrent things. And we also don't have a true
14 denominator. From everything I'm hearing here, the
15 denominator is increasing even as we speak. So we
16 have to be careful how we put that language.

17 DR. KEHOE: I'll address the question of
18 adding the LAR exclusivity to the injection label.
19 One of the difficulties with that is what actually
20 drove the LAR study was two smaller studies with
21 Sandostatin injection that showed that it did achieve
22 weight loss in hypothalamic obesity subjects.

23 So we have a little bit of disparity
24 there. And I'm not sure it would be appropriate to
25 put the Sandostatin LAR data in the Sandostatin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 injection label since there are differences in how the
2 drugs, you know, appeared to achieve efficacy.

3 And I think as far as making the labels
4 identical, it will be difficult if not impossible to
5 do because the drugs are used for, you know, very
6 different conditions.

7 I think most of what we are talking about
8 here in the critical care setting is the injection
9 formulation. And so, you know, changing the
10 precaution section of the injection formulation which,
11 right now, gives a lot of information that is very old
12 and has probably been there since possibly 1988. And
13 not really been updated.

14 But to take out some of that and then put
15 in some of the precautions that you are suggesting, I
16 guess one of the questions I would like to hear from
17 the Committee is when you talk about warnings and
18 precautions, which section of the label do you think
19 this warrants?

20 Does it warrant talking about it in the
21 pediatric section of the precaution section of the
22 label? Or does it actually warrant a warning, which I
23 heard the word warning used from somebody. But that
24 would be an important distinction.

25 CHAIR RAPPLEY: Bob?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER WARD: I really have trouble though
2 with concluding cause and effect at this point in
3 time. There certainly is a putative mechanism that
4 would fit, a vasoconstriction added on to other
5 factors contribute -- that also have produced
6 hypoperfusion. And so I just think we have to be
7 careful at how much confidence we put in cause and
8 effect.

9 I think putting something in there that
10 warns about the association that has been observed,
11 especially in patients who have other factors,
12 predisposing to hypoperfusion, makes sense. But to
13 conclude that it is cause and effect, I just don't
14 think we have the data to do that.

15 CHAIR RAPPLEY: Rich? And then Robert.
16 And then Michael.

17 MEMBER GORMAN: This is one of the times
18 when the emerging worries section on the FDA's, that
19 whole concept of being able to express ambiguity or
20 not having reached a conclusion from the FDA has been
21 so wonderful in terms of what we can do because I
22 think this is exactly the kind of thing where this
23 would fit well into that emerging concern section that
24 you have.

25 I don't think this rises to the level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 changing labeling because I don't think causality is
2 and I don't think frequency is known. And I'm not
3 sure then what I would say in a label that I would
4 then want to be relatively factual. I think this goes
5 into the emerging worries section.

6 And I think that the pediatric intensive
7 care, pediatric surgery, and pediatric neonatology
8 community is fairly good at communicating things
9 internally.

10 And I think that this risk could be
11 communicated internally to them -- disseminated in
12 means that are not labeled and would probably be much
13 more effective both at their national meetings and in
14 those Dear Doctor letters that you love to send. We
15 do read them, by the way, occasionally.

16 CHAIR RAPPLEY: Robert?

17 MEMBER DAUM: That approach sounds like
18 fresh air to me, to be honest. And I guess I want to
19 come back to your comment because I certainly defer in
20 expertise in this. But you said the association that
21 has been observed. And I guess what I'm trying to say
22 is I don't think any association has been observed.

23 If we want to have -- I mean these are
24 passive reports where you are trying to dissect out
25 what they may have been related to. So I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 support a call for research to look into this. But I
2 don't think that belongs on the package label.

3 I would certainly support a concern about
4 off-label use but I'm always concerned about off-label
5 use. But the idea of an emerging problem note is, I
6 think, probably about all this amounts to right now.

7 I honestly don't think the data we've
8 heard could say anything more than that.

9 CHAIR RAPPLEY: Michael?

10 MEMBER FANT: Again, I agree with
11 everything that has been said. You know I think some
12 of the, you know, at least when I and probably others
13 on the Committee use certain words they may carry
14 different significance with people in the Agency. So
15 when you say, you know, warnings and things like that,
16 you know, sometimes we are thinking more generally
17 than some specific mechanism that you guys have worked
18 out.

19 But I think some wording within the drug
20 information section of the PDR that everybody looks at
21 when they look up a drug, you know, whether that is a
22 little short narrative under the pediatric section,
23 whether it is an emerging concern within the pediatric
24 section, something that just sort of communicates some
25 concerns that have been raised.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And you can even add that, you know, no
2 clear association has been determined. I mean you can
3 do that. But I think it is -- for the reasons
4 articulated before, I think it is important
5 information to get out.

6 CHAIR RAPPLEY: Yes?

7 DR. KEHOE: Then would a statement in the
8 precaution section under pediatrics that Sandostatin
9 LAR or Sandostatin injection is not indicated for use
10 in children -- would that be sufficient to -- and then
11 go on, you know, to describe -- to be sufficient to
12 put the brakes on uses that you may think -- the ever-
13 expanding uses?

14 CHAIR RAPPLEY: No, I'm going to respond
15 to that -- that we see that all the time and we still
16 use drugs off label because we can't wait for the
17 studies to be done. So I don't think that would be
18 adequate.

19 MEMBER WARD: You know one of the case
20 reports came from one of my colleagues in which
21 treated with Octreotide, the chylothorax went away.
22 Stopped the Octreotide, the chylothorax came back.
23 Started the Octreotide, the chylothorax went away
24 again. He concluded that it was effective for -- and
25 this was a child with congenital chylothorax rather

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than acquired.

2 So, you know, I have trouble with saying
3 that it is not effective. But in the context of -- we
4 have no studies, however, to meet the level of a
5 regulatory endorsement or labeling for it. And I
6 think we need that. We need to establish a dose and a
7 dose response -- the basics.

8 PARTICIPANT: She didn't say it was not
9 effective. She just said that there was no
10 indication.

11 CHAIR RAPPLEY: Dianne?

12 DR. MURPHY: I'm trying to sort through
13 what we're hearing. So I thought maybe that might be
14 -- and maybe -- is Tony still in the audience?
15 Because we need to talk about what would get on an
16 emerging -- I don't know that this would -- you know
17 what I'm saying is the whole other stuff where you
18 don't put anything in the label, often you are doing
19 that because you are working towards something. And
20 you want people to know before you get to the final
21 point.

22 And, you know, so I think we'd have to
23 separate out that that would mean you are not going to
24 put anything in the label, what are you going to wait
25 for, okay? Then the Committee could say come back.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I'm trying to lay it out. That's one -- what I
2 hear one category is.

3 We wish there were studies, you know,
4 maybe make that known, try to communicate internally.

5 See if it would merit going forth. Again, because of
6 the paucity of data, I'm not sure how that would be.
7 But I don't want to rule that out.

8 I'm just trying to lay out what would go
9 up on the website as an emerging issue. And then the
10 Committee could say come back after X amount of time
11 and we look at this again. That is one thing I'm
12 hearing. And people please add on after I get
13 through.

14 The second is that I think it has been
15 made clear because we were passing notes back and
16 forth over here, you are not suggesting a warning,
17 okay. You are suggesting that -- the other voices
18 that we are hearing is that we put something in the
19 label that informs the physician that these events are
20 occurring. And in a certain population that is maybe
21 more susceptible but we have no causality
22 relationship.

23 And we do put that in -- somebody brought
24 that up -- we do put that in the label that we are not
25 saying that this is cause and effect. And those who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 went through some of our other products, you know,
2 that we -- so your decision here, I think, is are you
3 at the level yet where you think just informing
4 physicians about this is something the Division should
5 do in the precaution section is what it sounds like
6 thus far or should you wait?

7 Are there other categories that are coming
8 out here?

9 CHAIR RAPPLEY: I've heard today then two
10 positions. One is that there is enough information
11 presented that we need to communicate a concern to the
12 profession. And the second is that it is still just
13 speculative. And that it doesn't reach the threshold
14 to communicate through a label or otherwise.

15 Can we have a decision at that point if we
16 -- depending on how we decide whether or not we need
17 to communicate more information to the profession,
18 then we can decide how best to do that.

19 Avital?

20 MEMBER CNAAN: Can I add one more thing?
21 I'm also hearing that the dose is unknown since it is
22 not indicated. Is there any room to do at least a
23 small dose response study? This is not a population
24 for a big Phase III study but possibly a small dose
25 response that might help us?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR RAPPLEY: Just to follow up on that,
2 are we in a position to ask the sponsor to do further
3 studies?

4 DR. MURPHY: There is no exclusivity. So
5 I guess this might fall into our category of if the
6 Europeans want to get exclusivity, if they want
7 exclusivity in Europe, would they be willing to answer
8 a question that the people across the pond, their
9 colleagues across the pond have?

10 I don't know. There's no leverage to go
11 to the sponsor and ask them to do another study at
12 this point. I mean I guess -- and I'm just thinking
13 off the top of my head here -- I mean if this got to
14 be such an issue it might be to their benefit to go
15 out and do something to try to answer that question.

16 Any other thoughts from the Division?

17 DR. KEHOE: You know I guess it also
18 doesn't necessarily have to be done by the sponsor.
19 It could be driven by the neonatal community, the
20 physicians saying we need this data to be able to make
21 informed decisions of a drug that is already being
22 used.

23 And maybe then it is the professional
24 society that will drive this study being done, not
25 necessarily the company.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR RAPPLEY: But realistically, that
2 message goes out and then there has to be funding
3 appropriated for that kind of a study. And somebody
4 has to submit a proposal to study it. So that is a
5 very long pipeline.

6 So I'd like to go back to the point at
7 which we need to decide whether or not the level of
8 concern is great enough that we need to alert the
9 profession.

10 Yes, Keith?

11 MEMBER KOCIS: As I said before, I think
12 we've reached threshold. We are using a drug that has
13 maybe benefit, maybe not. It has -- it is being used
14 in extremely high-risk patients, which is how it is
15 going to be used, except for the chubby kids that want
16 to lose some weight.

17 And then it is being used with concomitant
18 drugs and other things. And these are serious, fatal
19 side effects that I think need to be reported as not
20 causative but associated with its use and blah, blah,
21 blah, blah, blah, certainly disclaiming any causation
22 because there is definitely no data to prove that.
23 But these are occurring.

24 CHAIR RAPPLEY: Does somebody want to
25 summarize the other opposite position? Yes, Melissa?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER HUDSON: Do we have any way to know
2 if you are disseminating information through the
3 website versus a label, which is likely the more
4 effective route to reach clinicians? Like do you have
5 any metrics on that? Because I feel strongly that
6 this information needs to be disseminated even though
7 there is no causality that has been demonstrated.

8 DR. JOHANN-LIANG: I mean I think there
9 has been a number of different types of surveys and
10 studies that have been done trying to look at, you
11 know, what did the box achieve, what did medication
12 guides achieve. But I don't think there has been any
13 direct comparisons of all those different metrics to
14 see which is better than the other.

15 So generally if there is a very concerned
16 concern, we try to go multiple routes obviously. And
17 then we try to think about who would be most important
18 to reach. Is it the clinician? The provider writing
19 the script? Is it the healthcare provider in the
20 hospital? I mean there are different situations. Is
21 it the parent?

22 Is it the -- so -- and these metrics have
23 different populations that it is reaching. So that is
24 something to consider.

25 I just wanted to add one thing in regards

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to this labeling issue. For something for you all to
2 consider. As I discussed during the training time, in
3 regards to safety, you know we don't have to have
4 absolute proof and substantial evidence to say we are
5 concerned. I mean it is usually -- you know we look
6 at reports in AERS and obviously so many of these
7 things have, as Amy had pointed out, they are
8 difficult to assess. They are sick babies. And et
9 cetera.

10 So however often when there are -- when
11 you go through the case series from AERS, and that is
12 all you really have, and you are concerned, we very
13 often say this has been observed. You know there is
14 no -- we're not saying this is cause. We can't
15 establish that. We're just saying there is enough of
16 a concern. This is something that people need to
17 know.

18 Usually they don't go to the section of
19 warnings as regulatory. But in the post-marketing
20 this has been observed. And this is something we want
21 the clinicians, the healthcare providers to know.
22 That is for the labeling section. So I hope that's
23 helpful.

24 CHAIR RAPPLEY: Thank you.

25 So I think we need to bring this question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to closure. And do we need to take a vote on this?
2 Do we have -- let me just say that -- yes, okay, go
3 ahead, Robert.

4 MEMBER DAUM: The people who are pushing
5 for notifications, would they be able to say what it
6 is that we are going to disseminate because, again,
7 looking at this list of things, there are about 20
8 different ones. One of them has one case of Noonan
9 Syndrome with sudden chest tightness. I mean what is
10 it that we are going to say if we did say something?

11 CHAIR RAPPLEY: If you would allow me to
12 summarize and then add or contradict if I don't
13 portray this correctly, that the people who have
14 expressed concern is that there is a particular risk
15 for certain high-risk infants and for certain age
16 groups. And that it is a level of concern that is
17 high enough that we should be getting this information
18 out to those who take care of these children.

19 MEMBER DURE: I don't understand this. I
20 mean to say there is a risk for certain infants, which
21 ones? I mean if you only name the ones --

22 CHAIR RAPPLEY: Specifically they said --

23 MEMBER DURE: -- yes, but if you only name
24 the ones that we have here, you may miss some. I mean
25 it's almost too specific what you are saying.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR RAPPLEY: Oh, I thought you were
2 telling me it was too general. Sorry.

3 MEMBER DURE: Well, no, I mean because you
4 are enumerating certain conditions. And I mean if the
5 goal is to heighten awareness here, then I just like
6 the idea of saying it has been observed that, you
7 know, there have been bad outcomes in, you know,
8 neonates with bad disease.

9 MEMBER WARD: Let me just support what you
10 said, Leon. I think saying that we have observed this
11 in high-risk infants who have disorders,
12 cardiovascular disorders and prematurity associated
13 with hypoperfusion, systemic hypoperfusion, to me is
14 an objective description of what we have seen and
15 heard.

16 But, again, I just can't buy yet the cause
17 and effect. Maybe it is there. Maybe it is not.

18 CHAIR RAPPLEY: So then the suggestion is
19 that we add to the label the observation that these
20 outcomes have been observed in children with these
21 certain conditions.

22 Yes, Avital?

23 MEMBER CNAAN: We've replaced one because
24 we had a term baby with congenital, not pre-term, with
25 it being either pre-term or congenital, as was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 described, not the combination. Just to be clear.

2 CHAIR RAPPLEY: Yes.

3 DR. MALDONADO: This is just a question
4 for the experts in neonatology or anybody else. If
5 that text goes in the label, how do you think that
6 text will modify behavior? Because I just don't know
7 how -- I cannot picture that.

8 CHAIR RAPPLEY: Well, I think, you know,
9 we've had the discussion in this Committee before
10 about using the label to modify behavior. And the
11 point of the label is to provide information rather
12 than to try to modify behavior. That's my
13 understanding.

14 MEMBER FANT: Yes, and to specifically
15 address it, I really, you know, I don't think it will
16 modify behavior any more than any good information or
17 any information would influence one's decision-making.
18 And that is all it is is providing information.

19 CHAIR RAPPLEY: We do need to come to
20 closure. So, Bob, if you want to add one more
21 comment?

22 MEMBER WARD: I just think it may modify
23 behavior. One of the principles of managing NEC is to
24 stop feeding as soon as there are symptoms. And
25 noting that there may be an association with treatment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with Octreotide, as soon as an infant exhibits
2 symptoms that you have usually better outcomes -- not
3 always but usually better outcomes, more bowel
4 preserved. But that is the only thing I can imagine,
5 Sam.

6 CHAIR RAPPLEY: So then if I can summarize
7 again, that we want to add the information that these
8 outcomes have been observed in children who have
9 cardiac disease and other predisposition to low-
10 perfusion states.

11 MEMBER KOCIS: I just don't want to narrow
12 it just to low cardiac output, too, because I mean we
13 can probably come up with a summary based on the case
14 reports -- I wouldn't focus it --

15 CHAIR RAPPLEY: Okay.

16 MEMBER KOCIS: -- just to that.

17 CHAIR RAPPLEY: And can rely then on the
18 Agency to draft that language?

19 DR. MURPHY: I was going to say I think
20 we've got a feel for -- and believe me, they'll go
21 back and forth between the Office of Safety and the
22 Division and try to come up with something.

23 And I guess the only other -- the last
24 thing then is the consideration of trying to make the
25 labels -- rather than negative information on the one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exclusivity study should go into the injection even
2 though it wasn't studied in that population. And that
3 is just something we'll have to discuss internally.

4 Because we have to deal with this from a
5 moiety point of view, too. But to be fair to the
6 sponsors, you know, your product wasn't studied.
7 Then, you know, why is that information going on that
8 label. It gets back to the whole concept of we have
9 to assess what is important information we think to
10 the populations using those labels.

11 CHAIR RAPPLEY: So that is an Agency
12 decision.

13 DR. MURPHY: I think we've heard some
14 people think that we should and that we should at
15 least reassess the situation.

16 CHAIR RAPPLEY: Okay.

17 DR. MURPHY: And then I think we can say
18 that we will reassess the situation and consider it.

19 CHAIR RAPPLEY: Are people agreeable with
20 that?

21 Keith, did you want to add?

22 MEMBER KOCIS: Yes, going back to the
23 obese child study, and it is sort of a segue into
24 another drug we will be looking at, but is there
25 enough warning based on that study where there was 33

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent cholelithiasis in those children, if we
2 identified that at-risk, I mean higher than what it
3 was in the adult studies for that drug and that
4 indication, I mean it's outside my area of expertise,
5 but that struck me that we are looking at another drug
6 with a similar profile that maybe in children there is
7 a higher -- while it is clearly known that
8 cholelithiasis occur, have we identified in the label
9 under the pediatric labeling for that indication sort
10 of a higher level of risk?

11 And I know that is a little different than
12 what we have discussed but that did come to mind when
13 I was reviewing all this.

14 CHAIR RAPPLEY: Okay.

15 DR. MURPHY: I think we just got that
16 added to our list of things to look at, too.

17 CHAIR RAPPLEY: All right. Very good.

18 One more comment, Geoff?

19 DR. ROSENTHAL: My only other point is
20 that I, for one, would like to hear more about this
21 drug in the upcoming meetings.

22 CHAIR RAPPLEY: When should we bring it
23 back?

24 DR. MURPHY: That was my next question.

25 CHAIR RAPPLEY: Okay, great.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Did you want us to just send
2 you the label or whatever is the decision? Or did you
3 want us to bring it back? So --

4 CHAIR RAPPLEY: I heard your questions as
5 wanting more information about say a year from now?

6 DR. ROSENTHAL: That sounds right, yes.
7 That sounds great.

8 CHAIR RAPPLEY: Okay. Rather than
9 approving any label change. We leave it to the Agency
10 to draft the language.

11 DR. MURPHY: Okay.

12 CHAIR RAPPLEY: Unless I hear other -- no?
13 Okay. Very good. Thank you.

14 And thank you, Dr. Taylor, that was very
15 good.

16 So we move to orlistat.

17 EXECUTIVE SECRETARY PENA: Presenting for
18 orlistat is Dr. Hari Sachs. Dr. Sachs is a Pediatric
19 Medical Officer with the Pediatric and Maternal Health
20 Staff in the Office of New Drugs.

21 DR. MURPHY: Actually, don't go away,
22 Division, please. Before we go to the next drug, our
23 Office of Safety actually pointed out that we wanted
24 to make sure you all address the very last question.

25 CHAIR RAPPLEY: I'm sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: That's all right.

2 CHAIR RAPPLEY: Were there any other
3 comments or suggestions? It gets back to did we wrap
4 up? Were there any other kind of studies? You know
5 we heard some information on that, that people wanted
6 some dose -- to try to at least -- that was getting at
7 the efficacy-type of issue. So --

8 DR. JOHANN-LIANG: Yes, this is a
9 discussion with, you know -- and I know, Dr. Rappley,
10 we have brought out the issue of how it is going to
11 take time, funding for clinicians to get together.
12 But something to consider, something that may be very
13 simple, and this really has to do with a registry-type
14 of issue, maybe as I think the doctor over there -- my
15 eyes can't -- had pointed out about getting clinicians
16 together.

17 And something very simple, like a network,
18 by internet, whatever, but just to start -- if there
19 is increasing use of this product for all sorts of
20 off-label use, it would be nice to know for what, what
21 doses are being used, you know is it helping the kids?

22 For what indications?

23 CHAIR RAPPLEY: So you are suggesting that
24 that recommendation from this Committee carries some
25 weight and helps make that happen? Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: We can work on that.

2 CHAIR RAPPLEY: Yes.

3 PARTICIPANT: There are several contacts
4 that can help.

5 CHAIR RAPPLEY: Okay. So I think you can
6 take it from this Committee that we would like to see
7 carefully controlled studies that examine both
8 indication, efficacy, and dosage, as well as safety.

9 DR. MURPHY: Sorry.

10 CHAIR RAPPLEY: No, that's fine. No,
11 please do that, yes. Right. And I did not mean to
12 imply that we shouldn't do more studies. I didn't
13 mean that at all.

14 Okay, thank you.

15 DR. SACHS: Okay, we're going to move from
16 the littlest guys to the adolescents. And I'll
17 present an update on orlistat and cholelithiasis.

18 Some of you may recall that at our
19 Advisory Committee in February of 2005, we concluded
20 that reports of cholelithiasis during the pediatric
21 trial and the incidence of cholelithiasis in post-
22 marketing surveillance warranted continued monitoring
23 although the relationship to the therapy versus the
24 underlying obesity and rapid weight loss was unclear.

25 Just to refresh your memory, orlistat is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lipase inhibitor that is marketed by Roche. It was
2 approved initially in 1999. Pediatric exclusivity was
3 granted in 2003. And an over-the-counter switch has
4 occurred just a few months ago.

5 Orlistat inhibits the absorption of
6 dietary fats which may be related to gallstone
7 formation. Orlistat is one of several products now
8 that has dual marketing status. That is both as a
9 prescription product and an over-the-counter product.

10 Xenical is the prescription product and it
11 is indicated for adolescents and adults over 12 years
12 of age for obesity management in conjunction with
13 weight loss. And this is predicated on having a
14 certain body mass index, depending on the presence or
15 absence of risk factors.

16 The dosage is the same in adults and
17 adolescents. It is 120 milligrams three times a day.

18 As the nonprescription product, Alli, is indicated
19 just for adults for weight loss when used with a
20 reduced-calorie or low-fat diet and the dosage is half
21 of that of the prescription product.

22 This is an update from the use from the
23 last meeting. The dispensed prescriptions for all age
24 groups have continued to decrease. And orlistat is
25 still prescribed mainly in adults with pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients accounting for less than one percent of all
2 prescriptions.

3 Since our last meeting, the Office of
4 Surveillance and Epidemiology has performed an
5 analysis of the AERS database to look at cases of
6 cholelithiasis and acute cholecystitis and identified
7 37 domestic cases of which only one was a pediatric
8 case.

9 And that was the case we did describe at
10 our last meeting, an adolescent who had been receiving
11 treatment as part of one of the trials. There were no
12 additional pediatric cases since February of 2005.

13 OSE has concluded that there may be an
14 association between cholelithiasis and orlistat use in
15 all populations and has initiated a review of all
16 weight loss products to get some perspective on this
17 risk. And there does not actually appear to be a
18 safety concern specific to children or adolescents.

19 Since our last meeting, the labeling has
20 been updated as well. To the precaution section has
21 now been added the statement that substantial weight
22 loss can increase the risk of cholelithiasis and that
23 came from a trial of Type II diabetes prevention in
24 which the treated group had a greater incidence of
25 gallstones. However, that incidence was really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 similar if the degree of weight loss was accounted
2 for.

3 The post-marketing section now also
4 includes reports about pancreatitis, which, as you
5 guys know, may or may not be related to gallstones.

6 So in summary, there is still actually
7 minimal use in pediatric patients. There were some
8 additional cases of cholelithiasis identified in
9 adults but none in children. Our labeling does
10 reflect an increased risk of gallstone formation with
11 substantial weight loss.

12 And the FDA, at this point, recommends a
13 return to routine monitoring of adverse events for
14 this drug in all populations. But just so you know,
15 that now will include monitoring for nonprescription
16 products, which is a requirement for the future
17 although the implementation and format for that has
18 not yet been determined.

19 What do you guys think?

20 CHAIR RAPPLEY: Thank you. Open for
21 discussion. Does the Committee concur with the
22 recommendation? Very good. We concur.

23 DR. SACHS: I'd just like to acknowledge
24 all these folks that actually were behind the scenes
25 for this slide presentation as well. Thank you guys.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR RAPPLEY: Thank you very much.

2 So we'd like to move on to oxybutynin.
3 And Dr. Maldonado will be recusing himself from this
4 discussion. Thank you.

5 EXECUTIVE SECRETARY PENA: The presenter
6 for oxybutynin is Dr. Andrew Mosholder. And he is a
7 Medical Officer with the Division of Drug Risk
8 Evaluation. The Division Representative at the table
9 is Dr. Suresh Kaul, Medical Officer in the Division of
10 Reproductive and Urologic Products.

11 DR. MOSHOLDER: Good afternoon everyone.
12 In the next few minutes, I'm going to summarize our
13 review of central anticholinergic effects with the
14 drug oxybutynin.

15 All right, just by way of background,
16 oxybutynin, which is marketed under the trade name
17 Ditropan or as a transdermal patch as Oxytrol is a
18 tertiary amine anticholinergic. It is an
19 antimuscarinic compound that was approved for
20 marketing about 30 years ago, available in several
21 formulations, orally in tablets, syrup, and extended
22 release tablets. All of those are available
23 generically as well. And also transdermal patch,
24 Oxytrol, which is not available generically.

25 The indications briefly are bladder

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 instability, neurogenic bladder, overactive bladder,
2 and detrusor over-activity. And pediatric exclusivity
3 was granted about five years ago, February 2002.

4 In June 2003, pursuant to the pediatric
5 exclusivity, there was a review of pediatric adverse
6 event reporting. And there were actually very few
7 reports for analysis. I believe there were only five.

8 So this Committee requested additional
9 monitoring. And there was a follow-up review pursuant
10 to that request completed last September for the
11 November meeting of this Committee.

12 And it was noted that CNS adverse event
13 reports were being reported proportionately more
14 frequently for the pediatric group than for the
15 adults. And so the Office of Pediatric Therapeutics
16 asked us to do an in-depth review of this and a
17 comparison of the pediatric and adult CNS event
18 reports.

19 Just to go over what is in the labeling
20 currently. For pediatric labeling, first of all,
21 Oxytrol, the transdermal preparation, is not labeled
22 for pediatric use. Ditropan is labeled for patients
23 five years and above with dosage specified for
24 patients over five years. And the Ditropan Extended
25 Release XL is labeled for patients six years and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 above.

2 There are some statements in the current
3 labeling about CNS effect. The drug may produce
4 drowsiness. Several adverse reaction terms are
5 listed. And in the overdose section, CNS excitation
6 is one of the adverse events. But nothing more
7 elaborate in the current labeling.

8 And to review the use of the product, in
9 the United States, there is over a million patients
10 who received Ditropan or the generic versions in 2006.

11 And this is about 4.9 million prescriptions dispensed
12 last year.

13 Almost two-thirds of the prescriptions
14 were for patients aged 60 and above. And in terms of
15 pediatric use for the years for which we have data,
16 going back to 2002, from the Verispan source, which is
17 where these data are from, consistently it has been
18 about four to five percent of the total use in the
19 United States.

20 And of the pediatric use, if we look at
21 that, we find that about 12 percent of pediatric
22 prescriptions were off label by virtue of either the
23 age of the patient being under six years or for the
24 patch, any pediatric use. I should add this
25 calculation was revised somewhat from what appears in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the document in your briefing package.

2 So we conducted an AERS search January
3 12th of this year. We selected adverse event terms
4 which were thought to represent the central
5 anticholinergic syndrome as has been described in the
6 literature. And the complete list of terms is in the
7 briefing document.

8 This search returned a total of 347
9 reports, 145 of them were excluded either by virtue of
10 being duplicates or for other reasons -- if there was
11 another obvious cause of central nervous system event
12 such as illness or perhaps another drug. This left
13 202 cases, of which 180 had age information. And
14 there were 37 pediatric cases and 143 adult cases that
15 served as our case series for analysis.

16 Now if you go back and look at all AERS
17 reports for oxybutynin, you find that 12 percent of
18 them involve a central nervous system event of some
19 type. But if you look at all pediatric AERS reports
20 for the drug, almost one-third of them involves a
21 central nervous system event compared to 11 percent of
22 the adult AERS reports.

23 Looking further at the 37 cases, most of
24 these were from the U.S., I should add, 33 of them
25 were reported by healthcare providers. The age range

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was rather broad but the median was around six years.

2 The most common AERS preferred term, or PT
3 as we refer to it, was hallucinations followed by
4 agitations, sedation, confusion, amnesia, which is a
5 term for memory impairment. And as we described in
6 the briefing document, two of those memory impairment
7 cases actually were very well documented with
8 neuropsychological evaluations and abnormal dreams.

9 And 23 of these reports reported positive
10 dechallenge, meaning that the symptoms abated when the
11 drug was discontinued. In four cases, there was a
12 positive rechallenge. Six involved a hospitalization.

13 Fourteen of the 37 had a past psychiatric or a
14 neurological history of some type.

15 And 17 were judged to be off-label either
16 by virtue of the patient's age, indication of
17 nocturnal enuresis, which is not one of the labeled
18 indications, or dosage above 20 milligrams.

19 To give you an example of some of the
20 cases, this is just briefly some of the more better
21 described or persuasive cases. First there was a
22 four-year-old boy who received a single dose and
23 developed vivid hallucinations.

24 A six-year-old girl with incontinence from
25 trauma who, following a dose increase, became

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agitated, developed hallucinations, a personality
2 change, and these symptoms went away when the drug was
3 discontinued.

4 An eight-year-old girl with overactive
5 bladder, treated for a few weeks, who developed
6 confusion, hallucinations, mood changes, delusions,
7 and so forth. Again, these symptoms resolved within a
8 few days of discontinuation.

9 And the final case is a literature case,
10 the citation is in the briefing document, but this was
11 a four-year-old girl who received a ten milligram dose
12 for enuresis, again, off-label use, developed visual
13 hallucinations and the following day the
14 hallucinations returned after the next dose. And then
15 when the drug was discontinued, they did not recur.

16 So we were curious as to how the pattern
17 of age distribution among the AERS reports for CNS
18 events matched the use of the drug by age. And what
19 this displays is in the row -- the front row is the
20 percent of prescriptions by age for the most recent
21 year. And in the row behind is the percent of the
22 total domestic CNS adverse event reports.

23 And I realize these are comparing
24 different time frames so this is really just to give
25 you an idea. You don't want to make too much of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but you see that they match up pretty well except
2 there is sort of a bump at the lower ages for the CNS
3 cases. So it is a discrepancy versus the amount of
4 use in that population.

5 So comparing the adult and the pediatric
6 CNS case reports, there were some qualitative
7 differences that we discovered. First of all,
8 hallucinations were the most common in the pediatric
9 group. And also were very common among the reports in
10 the 60 and above group. And relatively less
11 frequently represented in the reports from the ages in
12 between.

13 Agitation was reported more often among
14 the pediatric cases. And in contrast, sedation was
15 reported more often among the adult cases. And in the
16 60-plus age group, confusion was the most frequently
17 reported CNS event.

18 So in conclusion, we found that from AERS
19 we were able to identify case series which we feel
20 represents central anticholinergic effects of the
21 drug. A significant proportion of all the spontaneous
22 reports for oxybutynin do involve a CNS event.

23 The current labeling does not explicitly
24 describe the potential for central anticholinergic
25 effects or the qualitative differences in the types of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events that have been reported. And so my Division is
2 recommending that the labeling for CNS effects be
3 strengthened.

4 And here let me skip ahead to acknowledge
5 the many people who assisted with this. In
6 particular, Paula Gish, who couldn't be here today,
7 but who was the primary analyst for the AERS case
8 series.

9 So the questions for the Committee, first
10 does the Committee recommend that the labeling include
11 more information for prescribers about adverse CNS
12 effects? And also any other comments or suggestions,
13 we'd be glad to hear from you. So thank you very
14 much.

15 CHAIR RAPPLEY: Open for discussion.

16 Thank you.

17 MEMBER WARD: Could I ask, well, first,
18 Andrew, is there an association between dose and the
19 hallucinations? Because I don't think I saw that but
20 maybe I missed it.

21 DR. MOSHOLDER: Well, not per se, although
22 some of the cases did involve either super therapeutic
23 or actual overdosage.

24 MEMBER WARD: Okay.

25 DR. MOSHOLDER: So I think we would sort

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of presume this is dose-related. And in particular,
2 it is well known, overdosage of anticholinergic
3 compounds would create this effect. But we didn't do
4 really -- there wasn't really enough to work with for
5 formal dose-relatedness. And it is very hard to get
6 that kind of data out of spontaneous reports, too.

7 MEMBER WARD: Yes, I was looking for it
8 and I couldn't find it -- a recommendation that the
9 label say if the CNS adverse events occur that the
10 drug should be stopped. And this particular
11 population benefits from being dry. And not from
12 voiding.

13 And it seemed to me reasonable that it say
14 instead that the dosage be reduced, you know, rather
15 than stopping the drug.

16 And for the urologists, could you clarify
17 this issue about overactive bladder versus detrusor
18 instability because on page 14 under 3.2, they look
19 like they are interchangeable under Bullet No. 3.

20 DR. KAUL: Before I do that, let me make
21 some comments for the members of the Committee. I
22 know we are running short of time but I will make it
23 fast.

24 We have to understand that it is an old
25 drug which has been marketed since 1975. And it has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been there for the last 32 years. And what we have
2 seen here is 37 cases. And out of 37, we have 19
3 cases which are off-label use. That makes it 51
4 percent.

5 And it is a pretty safe drug. And it is
6 also efficacious. It has been used by millions of
7 users, 1 point some million just last year. And we do
8 -- the Division acknowledges the fact that we do need
9 to strengthen the label.

10 We have -- out of the four which were just
11 presented here, hallucination, confusion, agitation,
12 and sedation, out of those four, we have three already
13 in the label in the adverse section. And the only one
14 missing out is agitation. And the Division is totally
15 in agreement to include that in the label.

16 What section? I cannot comment at this
17 time. But somewhere in the label, it will show up.
18 And any other strengthening of the label or the
19 language or the verbiage suggested by the Committee,
20 we will go with that.

21 CHAIR RAPPLEY: Yes, Geoff?

22 DR. ROSENTHAL: I have a more of a
23 methodological question. As we try and, you know,
24 find threads that would help us support causal
25 relationships, I think it is very helpful that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presented, you know, the number of patients who had a
2 positive dechallenge and a positive rechallenge.

3 Are there ever data available, not just in
4 this particular case necessarily but in other contexts
5 as well, for the negative rechallenges? You know if
6 we have positive rechallenge data, presumably the
7 observations would be available for other patients who
8 are rechallenged and for whom the response is not
9 positive.

10 Are there any data for this case? Or in
11 general?

12 DR. MOSHOLDER: I believe six of these
13 cases, the notation was that they did not discontinue
14 the drug. But I think the more -- so I think the more
15 extreme cases, usually the response is to discontinue
16 the drug.

17 And I don't recall seeing any cases where
18 a dose reduction was tried first. But, I mean, your
19 point is well taken. It is hard to develop precise
20 data from those type of case series.

21 CHAIR RAPPLEY: Leon?

22 MEMBER DURE: Yes, I guess what I'd say is
23 that it is not surprising to me that you have a fairly
24 high incidence of people with neurologic impairment.
25 At least the paradigmatic patient that I see who is on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ditropan is a myelomeningocele or spina bifida or
2 something like that. And they have central nervous
3 system issues, anyway.

4 It is a little counterintuitive, though,
5 because, as a neurologist, we typically think of
6 children being more tolerant, at least from a CNS
7 perspective of anticholinergics. I mean they can take
8 Artane and huge doses compared to adults.

9 But I don't have any problems with a
10 little bit of tweaking of the label. I mean I think
11 it is very reasonable to be more specific about some
12 of this.

13 DR. KAUL: We, as a Division, have no
14 problem with that. We are going to.

15 DR. MOSHOLDER: Yes, if I could follow up
16 on your comment, that's interesting because when we
17 looked at the literature, actually what you see in the
18 textbooks is that children are more sensitive to
19 anticholinergic effects. And, in fact, in the
20 atropine labeling, there is a statement to that
21 effect.

22 What we couldn't find was any systematic
23 data that really supported that. So that is why we
24 got curious to look at the reporting pattern.

25 MEMBER DURE: It is a big deal what age

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you are doing because there are so many changes in
2 acetylcholinesterase and butylcholinesterase from,
3 like, birth to ten. So it is very difficult to sort
4 out.

5 CHAIR RAPPLEY: Is there enough
6 information here to warrant a special comment about
7 very young children? Children less than five and
8 their being more prone to these hallucinations?

9 DR. KAUL: Below five is off-label use.

10 CHAIR RAPPLEY: Right.

11 DR. KAUL: And the current label says six
12 and above.

13 CHAIR RAPPLEY: Right. I am aware of
14 that. And that the information shows it is being
15 widely used off-label. And that those children, in
16 particular, had a proportionately higher reaction with
17 hallucinations. I'm just wondering if that is worth
18 mentioning in the label.

19 DR. MURPHY: I think that's what we are
20 asking you.

21 CHAIR RAPPLEY: Yes. And I'm asking the
22 group.

23 MEMBER DURE: I'll go out on a limb and
24 say no. I mean, I think -- I don't know what is
25 approved for children below five for detrusor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 instability. And this is a population that, you know,
2 that needs treatment. So these drugs are going to be
3 used.

4 And if we -- again, if you just tweak the
5 label some, I don't know if there is quite enough
6 there to say that it is a special population under
7 five.

8 CHAIR RAPPLEY: Yes?

9 DR. MURPHY: Can we get an idea of tweak?
10 No, I mean that's fine. If you want to leave it at
11 that, I just want to make sure because we've got --
12 this reminds me of the ADHD discussion, if you guys
13 will remember where the words are in the label. But
14 the question is do we want to add another word or do
15 we want to say more than that.

16 So I guess I'm just trying to get from
17 "tweak," do we mean just add another word or two or is
18 there anything else? We don't -- what we're also
19 hearing from you is that you have to look at the
20 benefits of having this drug available. And we don't
21 clearly want to put something such as stop the drug.
22 I think we've heard that.

23 But that this dose effect might be
24 something to think about. That if you are having --
25 something on the label about if you are having these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effects, particularly in younger children, consider
2 lowering the dose or calling your doctor or something
3 like that. Is that on the table?

4 Or you don't even want us -- I go back to
5 Dr. Dure, you don't even want us to do that?

6 CHAIR RAPPLEY: We have several people who
7 want to comment. So Avital, Robert, Melissa, and then
8 Bob.

9 MEMBER CNAAN: Let me put a suggestion
10 based on the almost last -- Slide No. 11 with the bar
11 graph which would be to group the entire less than 16
12 and not separate by five or six or anything. As a
13 group at risk a little bit for hallucination plus the
14 dose modification rather than dose discontinuation and
15 stop there. And not deal, for this Committee, with
16 the elderly side. I think it goes a bit out of our
17 purview.

18 CHAIR RAPPLEY: Robert?

19 MEMBER DAUM: So I like the language that
20 was in this memo that was circulated to us with an
21 executive summary at the beginning. I think the
22 tweaking is pretty good right there. And maybe I'll
23 read it very quickly.

24 DR. MURPHY: Sure, yes.

25 MEMBER DAUM: It's pretty short. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you, Dianne.

2 Oxybutynin has the potential to cause
3 anticholinergic central nervous system effects and
4 these adverse effects have been reported post-
5 marketing. Post-marketing reports describe a variety
6 of CNS anticholinergic effects with hallucinations and
7 agitation prominent among pediatric cases and
8 confusion, hallucinations, and sedation prominent
9 among reports involving geriatric use.

10 Patients should be monitored for signs of
11 anticholinergic CNS effects, particularly in the first
12 few months after beginning treatment or increasing the
13 dose. If patients experience anticholinergic CNS
14 effect, drug discontinuation should be considered --
15 not done -- considered.

16 So we should bandy this around a little
17 bit. But that is pretty close to what I would like.

18 MEMBER WARD: I would argue for dose
19 reduction before dose discontinuation.

20 CHAIR RAPPLEY: Or you could have dose
21 reduction or discontinuation.

22 MEMBER WARD: Yes.

23 CHAIR RAPPLEY: And Melissa was next. And
24 then Richard.

25 MEMBER HUDSON: They have already made my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments.

2 CHAIR RAPPLEY: Okay. She said her
3 comments were already made.

4 Rich?

5 MEMBER GORMAN: I would also like to --
6 these patients are often on multiple medications. So
7 while they are changing their medications in
8 conjunction with their entire medical regime because
9 there may be other anticholinergic effective agents
10 that they are using at the same time.

11 DR. KAUL: Absolutely right, because some
12 of the cases we reviewed in this presentation, they
13 had confounding medications as well as a confounding
14 other co-morbid medical conditions.

15 CHAIR RAPPLEY: Any other comments or
16 suggestions?

17 Keith?

18 MEMBER KOCIS: I just would say, you know,
19 without going back and reviewing all the clinical
20 trials but with the information we have, we have no
21 dosing information, yea or nay. But it's, as we
22 talked earlier, it is pretty straightforward. It is
23 an anticholinergic drug. That is how it works.
24 Expect this to happen.

25 And then let the doctors do what is right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the patient. Be it stop it, be it reduce it, be
2 it alter their combination of medicines. But I would
3 try to not be too specific because that is not the
4 data we have been given. And there is 30 years of
5 data out there that I certainly haven't reviewed
6 before I'd make some statements about that.

7 CHAIR RAPPLEY: Okay.

8 DR. MURPHY: OSE Division? Okay? One
9 question?

10 CHAIR RAPPLEY: Yes?

11 DR. MURPHY: One of the things about the
12 dechallenge and rechallenge, that might be something
13 that we will look at maybe in some of the future
14 reports -- and maybe making sure we have those.

15 I think we have done that for you all in
16 the past. But we also mention if there are negative
17 dechallenges. And so I hear that might be something
18 that would be useful for you all to make sure we focus
19 on that.

20 DR. JOHANN-LIANG: I think negative
21 dechallenge, we sometimes have information. I mean,
22 patients are treated through that. But negative
23 rechallenge, it is very hard. To get positive
24 rechallenge, you know that's once in a while.

25 DR. MOSHOLDER: Yes, this is summarized on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 page nine of the --

2 DR. MURPHY: I know. And that's why --
3 what I'm saying, Andy, is that you all almost always
4 do it in your reviews. And I think what we are going
5 to need to do is focus -- make sure we put it in the
6 presentations because we give them so much material
7 that sometimes we just need to refocus it.

8 DR. MURPHY: Carlos, can you remind us
9 when we should report tomorrow morning?

10 CHAIR RAPPLEY: I was going to ask Carlos
11 because I didn't bring that with me. And I don't want
12 to tell you the wrong time.

13 EXECUTIVE SECRETARY PENA: The taxis will
14 be picking everyone up at seven-thirty in the morning.

15 DR. MURPHY: Okay.

16 EXECUTIVE SECRETARY PENA: The taxis are
17 waiting outside right now to take you back to the
18 hotels.

19 DR. MURPHY: But the meeting starts at
20 eight or eight-thirty? Because we've got eight-
21 thirty. So is that correct? Somebody is saying
22 eight-thirty, is that correct?

23 EXECUTIVE SECRETARY PENA: If it starts at
24 -- let me check --

25 DR. MURPHY: We will send you --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 EXECUTIVE SECRETARY PENA: -- I will check
2 it in two minutes.

3 DR. MURPHY: -- an email, I guess.

4 MEMBER WARD: That was actually -- the
5 last email was eight-thirty.

6 DR. MURPHY: Okay. We're going with
7 eight-thirty.

8 MEMBER WARD: I'll try to get the taxis
9 moved back a half an hour.

10 DR. MURPHY: Okay. Thank you all very
11 much. We appreciate your advice and see you tomorrow
12 morning.

13 (Whereupon, the above-entitled meeting was
14 concluded at 6:12 p.m.)

15

16

17

18

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

CERTIFICATE


This is to certify that the foregoing transcript
in the matter of: Pediatric Advisory Committee

Before: Marsha Rappley, M.D.

Date: April 11, 2007

Place: Rockville, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.



Christina Warner