

1 safety for the most part is safety of the
2 procedure, and you'll know that at the end of
3 six months.

4 DR. YANCY: A few comments have
5 been generated, I think.

6 Dr. Yaross, did you have your hand
7 up?

8 DR. YAROSS: I was just going to
9 close out before the discussion on OUS data by
10 remind the panel that the FDA regulations
11 clearly specify when U.S. data -- I'm sorry,
12 when outside-U.S. data are acceptable, and if
13 it meets those criteria, it needs to be looked
14 at in the same way.

15 DR. YANCY: Dr. Peters?

16 DR. PETERS: We're talking about
17 risks, and certainly there are definite risks
18 with the invasive procedure. We have to
19 remember, though, that if we don't step in now
20 and find out what the risks are -- people are
21 coming in. The problem they're having
22 recruiting is that all the patients want this

1 done.

2 And it's going to be done in the
3 community uniformly, unless we find out
4 exactly what the risks are. And it was great
5 -- you know, suppressing PVCs was terrific.
6 We had no idea that things like flecainide
7 were bad until we studied it in a very
8 scientific way.

9 I think we need to do that right
10 now and find out what the risks are.
11 Otherwise, it's just going to take over and
12 there may be a lot of harm done to a lot of
13 people.

14 DR. YANCY: Dr. Milan?

15 DR. MILAN: Yes, I agree with what
16 Dr. Morrison said, and that is that nothing is
17 completely efficacious, nor is anything
18 completely safe, and that you must always
19 consider the safety of an intervention in the
20 setting of what their perceived benefit is.

21 I want to emphasize also that
22 atrial fibrillation is not a life-threatening

1 arrhythmia and that you're really reducing
2 symptoms. That's what you're treating. And
3 the paradigm, at least in the selection of
4 anti-arrhythmic drugs, has always favored
5 safety over efficacy.

6 That's why we choose flecainide
7 before we choose amiodarone in the management
8 of atrial -- for atrial fibrillation.

9 DR. YANCY: Dr. Zuckerman?

10 DR. ZUCKERMAN: Good. I think
11 we're all in agreement that this is a risk-
12 benefit equation.

13 Now I'd like the panel, if
14 possible, with Dr. Yancy's concurrence, if we
15 could move back to one, because where the FDA
16 and industry is really stuck is what is a
17 clinically useful end point here that will
18 allow us to optimally see the best risk-
19 benefit profile.

20 DR. YANCY: So that's appropriate,
21 so in turn, then, you're suggesting to us that
22 FDA is satisfied that the panel has addressed

1 all the issues on question two. Thank you.

2 So in introducing our shift to
3 question one, there is a brief commentary that
4 the chair is permitting from Dr. Blackstone to
5 help us frame up our thoughts about measures
6 of effectiveness.

7 DR. BLACKSTONE: So what I wish to
8 do is to address items one A and one B where
9 you ask absence of A.F. -- in other words, a
10 binary type of response -- and B, A.F. burden.

11 First, in terms of A.F. burden,
12 there will be at some point -- let me just
13 keep that off for the moment. There will be
14 at some point perhaps implantable devices such
15 as the Medtronic device in which one can
16 actually continuously measure burden, and that
17 would be a very -- that would be an
18 implantable device. It would be less
19 burdensome in terms of transtelephonic or what
20 have you. And that might be ideal.

21 The other thing that is being
22 mentioned, of course, is the periodic

1 monitoring with wristbands or limited leads
2 that lead to snapshots in time.

3 Now, the importance of these
4 snapshots in time is that atrial fibrillation
5 is not a binary event in the same sense that
6 death is. It's not a terminating event. It's
7 an event that comes and goes, lasts for a
8 variable duration, and is a variable quality,
9 so that this has led to all sorts of rather
10 complex ways of trying to manage all this.

11 And I'd like to suggest at least a
12 simpler way that one can manage this, so let
13 me go to this one slide. This slide concerns
14 ablation of chronic, permanent, long lasting
15 atrial fibrillation by surgery.

16 Now, it is true that there are
17 several things about surgical ablation that we
18 have to remember, and that is it's not just
19 PVI. It's not just bi-atrial Maze. It's
20 actually -- probably affects the ganglia, the
21 left atrium is amputated, and so on, so -- and
22 mitral valves are repaired, large atria might

1 be reduced, and so on.

2 But what you see here is time, and
3 this goes now to the one-year point. And what
4 it displays is the prevalence of atrial
5 fibrillation in your population, so it's like
6 taking this trial that we've just heard today
7 in which there has been this wristband data or
8 leads on the patient for, say, weekly or bi-
9 weekly monitoring, and then looking at that
10 load or the impact of atrial fibrillation in
11 your entire population.

12 And what you see, of course, is
13 that there is an early phase of atrial
14 fibrillation that occurs, and this is why you
15 people talk about, say, blanking periods. But
16 who cares about blanking periods? You can
17 just draw the curves. And one can then take
18 into account the occurrence of atrial
19 fibrillation as it goes.

20 Now, these are complex models.
21 This happens to be a nonlinear mixed model
22 multiphase -- stuff, you know, that I write

1 about. But it -- but while the analysis is
2 particularly complicated, in fact, it
3 simplifies a lot of the issues. You don't
4 have to worry about blanking periods. You
5 actually are using all the data that you are -
6 - that you have.

7 And you can take a look at the
8 relative merits of various catheters or
9 various atrial fibrillation that is occurring
10 with medical therapy and the like.

11 And so it may just erase this
12 complexity of trying to decide what kind of
13 binary event -- and I'd sort of like to then
14 try to keep this event of whether or not
15 atrial fibrillation occurs rather separate
16 from the issue of quality of life and symptoms
17 and so on that seems to be in some strange
18 way, by placebo effects or some other effects,
19 to be rather dissociated from this rhythm
20 efficacy.

21 This also reduces sample sizes
22 because you're using so much more data than

1 you are with binary end points. And so it
2 might make efficacy studies rather smaller in
3 terms of number of patients one has to enroll,
4 but that then gets to my point that if you're
5 dealing with safety, which often is a binary
6 end point, you have -- it takes rather a large
7 sample size.

8 So maybe if you disconnect those
9 so that you're only monitoring efficacy in a
10 small fraction of the patients and then safety
11 in more, you might be getting a simpler
12 design.

13 DR. YANCY: Do panel members have
14 any questions for Dr. Blackstone?

15 Dr. Page?

16 DR. PAGE: If you could put that
17 back up, is that percent of patients or
18 percent of time in afib? What were your axes?
19 Time was one, but --

20 DR. BLACKSTONE: Okay. So along
21 the horizontal axis is time, and what you have
22 at -- this is a group of about 500 patients

1 with about six or 8,000 measurements of data
2 about what their atrial fibrillation is.

3 And so those particular
4 measurements are a bunch of binary
5 measurements, but what you're seeing here is
6 the prevalence in all 500 patients of atrial
7 fibrillation at any given slice in time, so at
8 six months what you see is about 20-some-odd
9 prevalence of atrial fibrillation.

10 It isn't saying who is in and out,
11 because patients are always in and out. It's
12 just saying that the prevalence in the
13 population looks like is leveling off at about
14 20 percent in one case and about 40 percent in
15 another case. That's the simple --

16 DR. YANCY: So it almost seems as
17 if you're counting episodes of atrial
18 fibrillation rather than patients, is that
19 correct?

20 DR. BLACKSTONE: Well, you're
21 counting episodes of atrial fibrillation.
22 You're getting slices in time. You're just

1 having every patient at any moment that they
2 have telephoned this in -- whether or not
3 they're in atrial fibrillation or not, and
4 then analyzing that data.

5 Now, I very well appreciate what
6 was said about the accuracy of these
7 telephonic things. You guys are saying that
8 you might be able to get it into near 90
9 percent. Ours is about 80 percent, but every
10 one also read by an electrophysiologist, and
11 I would say the reading is important.

12 That's another issue. I'm just
13 trying to think of ways that we can simplify
14 this particular efficacy and use all the data
15 that's available.

16 DR. YANCY: Well, that is, indeed,
17 very provocative.

18 Dr. Morrison?

19 DR. MORRISON: Unless I
20 misunderstand it, that's population data, and
21 that doesn't help us taking care of an
22 individual patient. It doesn't tell us are

1 any of the individuals better, are a lot of
2 the individuals better, or -- I mean, it
3 almost --

4 DR. BLACKSTONE: It is actually no
5 worse --

6 DR. MORRISON: -- it almost
7 doesn't make sense from a epidemiologic
8 standpoint or a clinical standpoint.

9 DR. BLACKSTONE: Well, from a pure
10 epidemiologic aspect, it's the same as a
11 Kaplan-Meier curve for death. People aren't
12 25 percent dead or 75 percent dead. That is
13 also a population estimate, but you can now
14 also take into account --

15 DR. MORRISON: But this is not
16 time-to --

17 DR. BLACKSTONE: -- patient
18 variables.

19 DR. MORRISON: This is not time-
20 to-event. This is a bunch of mean averages
21 where every --

22 DR. BLACKSTONE: Correct.

1 DR. MORRISON: -- mean average is
2 a mean average of lots of different patients.

3 DR. BLACKSTONE: Yes, so it might
4 say that you -- at six months you might
5 expect, say, for you, 10 percent probability
6 that you'd be in atrial fibrillation versus
7 someone else that might be 80 percent, and
8 that's actually what's going on for patients.

9 DR. MORRISON: But I mean, you --
10 it's really a unique thing. I mean, it's one
11 thing to give a vaccine to a whole population
12 and see if you reduce the prevalence of people
13 that don't have antibodies to something. But
14 saying that you've reduced the proportion of
15 time that the average person spends in afib is
16 -- that's not a clinically -- that's not
17 something clinically -- how to grasp, I mean,
18 and used to decide whether, in patient A or B,
19 we've had a success from this treatment.

20 DR. YANCY: Let's go to Dr.
21 Neaton.

22 DR. NEATON: Maybe you could put

1 it back up. I think actually showing the data
2 longitudinally over time, as opposed to a
3 single point in time at six months or 12
4 months -- that's kind of per-protocol for when
5 the sampling is done -- makes a lot of sense
6 to me, to understand kind of the profile of
7 kind of being able to suppress the arrhythmias
8 kind of at each point in time.

9 What's really important here, I
10 think, is the -- and I think Dr. Blackstone
11 alluded to it -- is that -- is reducing bias.
12 And so what you want to make certain is you
13 look for the arrhythmia at the same time
14 points, at the same frequency, in both groups.

15 And so I'm very concerned when I
16 heard things like outcomes that are symptom-
17 driven telephone calls in an unblinded study.
18 I would have a lot of concern about that, as
19 opposed to being able to make heads or tails
20 out of it, because if you look harder, you're
21 going to find it.

22 And so we heard a presentation

1 this afternoon about the error in just the
2 assessment of atrial fibrillation. And as
3 long as that's random by treatment group, you
4 can deal with it just by sample size or by
5 taking repeated measurements and kind of
6 averaging things like out over time that way.

7 But you can't deal with it if
8 we're looking at different points in time for
9 people in the two groups. And so a
10 longitudinal look at the data, but under the
11 same exact protocol for both treatment groups,
12 is very important.

13 DR. YANCY: Dr. Zuckerman?

14 DR. ZUCKERMAN: Yes. Let me
15 suggest that the modeling that Dr. Blackstone
16 is providing us with is extremely important,
17 and certainly from the agency's perspective we
18 look forward to following up with him.

19 I think that this might help the
20 industry in the following circumstances.
21 Number one, I do think this approach will
22 provide -- could potentially provide at least

1 supportive data.

2 Number two, when we talk about the
3 device product life cycle, as Marcia did
4 previously when we were talking about
5 iterative changes, if we've shown proof of
6 principle with our first-generation device,
7 this might be a very nice way to show, with
8 minor modifications, that we're really not
9 changing a device significantly from a
10 clinical perspective.

11 But with those caveats, I would
12 still indicate that the point of question one
13 from the FDA's perspective was really to ask
14 how do we convince Dr. -- someone like Dr.
15 Morrison, a non-E.P., that on a per-patient
16 basis we've come up with a clinically
17 significant result such that the risk-benefit
18 profile is favorable.

19 Now, I know that all of the
20 clinically end point variables that we talk
21 about have pluses and limitations, but the
22 agency needs to know, given your experience,

1 which ones would you go with, because patients
2 -- people like Dr. Morrison need to understand
3 this stuff on a per-patient basis.

4 DR. YANCY: So let's begin to
5 delve into question one. So the comments that
6 proceed should hopefully be focused on that.

7 Dr. Tracy?

8 DR. TRACY: Yes. I think that's
9 very important, and I think that's a great
10 model that would help make some sense out of
11 the burden, afib burden, portion of this
12 study.

13 I do think you need that binary --
14 looks at binary, primary end points, either
15 they have it or they don't, with the extent of
16 monitoring that you are capable of doing.

17 I actually prefer -- if you want
18 to get specific, on Slide 36 of the FDA
19 presentation, I prefer the HRS system of
20 monitoring as opposed to the FDA monitoring
21 because I don't know how many people have
22 tried to wear a Holter monitor, but the idea

1 of wearing it for seven days is just sort of
2 tragic to me.

3 So I think I think you want to
4 know at what points do you specifically assess
5 and what tools do you specifically use, I
6 think that those points and those tools are
7 the specific right tools to look at.

8 I do think you need a symptom
9 burden, a -- symptom-driven recordings as
10 well. It's no good for a patient to say I'm
11 just going to look at you at these times and
12 close my eyes to any other symptoms that you -
13 - any other times.

14 Obviously, you're treating the
15 person, and you need to know when they are
16 having symptoms. So you need symptom-driven
17 recordings as well.

18 DR. YANCY: So we're talking about
19 effectiveness, and what's on the table now is
20 how do we determine the binary end point
21 absent the presence of afib, perhaps
22 addressing the afib burden, and a symptom end

1 point which segues to C, a composite
2 functional end point which would include
3 symptoms plus other variables.

4 So let's frame our comments up in
5 that direction.

6 Dr. Page?

7 DR. PAGE: To answer your question
8 in number two there, Bram, I agree with Dr.
9 Tracy.

10 I think the reason we treat these
11 patients aggressively with a potentially
12 dangerous therapy is we want to change them
13 from coming into our office complaining of
14 symptoms of afib and they're in afib, to
15 coming into our office saying they feel much
16 better, thank you for changing this, and
17 indeed they're in sinus rhythm.

18 So to -- as an end point, I think
19 symptomatic recurrence has to be there.

20 We've also heard that the burden
21 of atrial fibrillation is difficult to define,
22 that there -- if you look really carefully,

1 you'll see little not-clinically-important
2 episodes of asymptomatic afib. But I think we
3 still need to look for it and measure it.

4 And as with Dr. Calkins' example,
5 if you have a patient saying they feel great
6 and they're in afib, that's not a success.

7 So I might just throw out as --
8 one possible way of doing this is symptomatic
9 recurrence with ECG confirmation, because, in
10 fact, patients sometimes say they've had
11 recurrence and they haven't, and we've
12 demonstrated that.

13 But in addition to that, if we
14 were doing an asymptomatic TTM, for example,
15 every two weeks, if they had one, doing a
16 repeat 24 hours later. If it's lasted 24
17 hours, then whether they're symptomatic or
18 not, I would consider that a failure.

19 So just some combination -- and we
20 can work out the numbers -- some combination -
21 - whether we've made them feel better -- they
22 come back in clinic, they feel well and,

1 indeed, they're in sinus rhythm -- plus some
2 surveillance for meaningful clinically
3 important asymptomatic events.

4 DR. YANCY: So let's continue
5 along this line of comment.

6 Dr. Yaross?

7 DR. YAROSS: Yes, I'd just like to
8 come back to the hypothetical, Dr. Page, that
9 you used earlier this morning, because you did
10 talk about the patient where there may be a
11 substantial success clinically, but that
12 binary method of presence or absence of afib
13 doesn't reflect that benefit.

14 So from that standpoint, you know,
15 to Dr. Morrison's comment, I think any kind of
16 burden needs to be translated to a within-
17 patient measure, because you need to be able
18 to tell a patient what to expect from a
19 procedure.

20 But I think it is valuable to
21 expand the discussion from a binary pass-fail
22 to a more nuanced measure to address the type

1 of patient you're talking about.

2 DR. YANCY: Dr. Somberg?

3 DR. SOMBERG: I'm hearing what
4 people are saying, but it's a very complex
5 issue, and I think we really should talk about
6 a symptomatic end point of atrial
7 fibrillation. It's -- and because you want
8 to, you know, prove things, say people live
9 longer or they feel better.

10 Yes, I heard the extreme example
11 of someone who's asymptomatic -- I guess he --
12 you have morphine at the end of the catheter,
13 and they're unaware that they're in rapid A.F.
14 most of the time. So you have to check on it.
15 It's sort of a check.

16 But what happens if someone goes -
17 - you know, it's not that they're always in
18 A.F. but they no longer have symptomatic A.F.
19 but they have -- 35 percent of the time
20 they're in A.F., or they're in A.F. 25
21 percent, or 20 percent, or 16 percent. You go
22 through these different -- and do we know

1 clinically if that's meaningful?

2 We're not going to change their
3 anticoagulation. This is for symptomatic
4 therapy so they don't go into the hospital,
5 they don't get cardioverted, they don't
6 inadvertently get murdered by someone in
7 training or what have you, if you do it enough
8 times.

9 So the -- I think the end point in
10 the study should clearly be defined as
11 symptomatic A.F. and there should be some way
12 to look at whether you have a problem that
13 you've turned all symptomatic into
14 asymptomatic.

15 And obviously, if someone came in
16 and they're all in atrial fibrillation, but
17 you did something to cool the nerves, that
18 would not be acceptable. But I don't know
19 what I'm going to do if they're in 50, 65
20 percent asymptomatic versus 15 percent
21 asymptomatic. What are you going to do with
22 that?

1 Is one good? Is one bad? Do we
2 have a judgment on that?

3 DR. YANCY: So tracking this so
4 far, we have opinions on addressing
5 symptomatic afib, but we also have opinions on
6 continuing to look at the afib burden.

7 We haven't heard from everyone.

8 Dr. Blackstone?

9 DR. BLACKSTONE: Let me now go to
10 the separable issue of symptoms. Even though
11 each of those may be binary, what we're really
12 talking about, I think, is again the burden of
13 symptoms.

14 And so the idea of either using
15 cumulative incident functions, where you have
16 repeated events and you're taking a look at,
17 again, what the prevalence is across time of
18 these symptoms -- that's going to be what the
19 final output will be, so I would -- I'd
20 suggest that it's not just one time that they
21 have symptoms, but what the actual symptom
22 burden is.

1 And perhaps also then the idea of
2 using quality of life instruments to try and
3 measure those at least at some periodic time
4 so that you have some cross-sectional data,
5 it's not just driven by the symptoms, would
6 make a lot of sense, too.

7 DR. YANCY: Dr. Morrison?

8 DR. MORRISON: Are there specific
9 quality of life metrics equivalent to, say,
10 the Seattle angina questionnaire for
11 arrhythmia that could be incorporated that
12 have been perhaps been incorporated into other
13 anti-arrhythmic arenas?

14 DR. YANCY: We certainly have
15 several skills for heart failure, but from our
16 E.P. colleagues, do you have such --

17 DR. MILAN: Yes, I know that there
18 are, and I've read them in the papers. I
19 don't know what their names are, but there are
20 --

21 DR. YANCY: So they do exist.

22 DR. MILAN: -- quality of life

1 scales that are specifically --

2 DR. MORRISON: Well, that's
3 something that could be repeated at the same
4 intervals as the TTM or whatever, and it would
5 add some degree of objectivity and
6 quantitation to this.

7 DR. YANCY: Are there other
8 comments, then, about question -- yes, Dr.
9 Zuckerman?

10 DR. ZUCKERMAN: Yes. If I could
11 get us back to one question I have about
12 question one, it looks like, as Dr. Page
13 pointed out, that we need a hierarchy of
14 evidence. Certainly, the primary end point
15 that might be most important is frequency of
16 symptomatic afib.

17 But I'm wondering if he can or
18 others can try to better define that. If you
19 only have a five-second symptomatic episode,
20 should that count, versus a 30-second, and we
21 can get a little bit more specificity. So
22 that's part A.

1 Part B is we really are looking at
2 a totality of evidence, so the more monitoring
3 data that one can accumulate, symptomatic,
4 asymptomatic, total burden, the ability to
5 model what you're doing to better understand
6 things will be helpful and supportive.

7 But I think I heard Dr. Page and
8 Dr. Somberg say symptomatic afib might be the
9 most important variable here.

10 DR. YANCY: Dr. Page, if you can
11 comment on that.

12 DR. PAGE: Yes. The question of
13 how much -- how long an afib episode matters
14 is a real question, and I don't think anybody
15 knows the answer to that.

16 But generally, if it has prompted
17 a visit to the doctor's office and it's -- and
18 indeed, they say I'm having symptoms, and
19 their electrocardiogram shows they're in afib,
20 that's been, I think, a clinically relevant
21 event.

22 If it's on transtelephonic

1 monitor, likewise. If they generated
2 symptoms, they get out the device, they've
3 been in it a minute or so and, indeed, it
4 shows atrial fibrillation, generally we
5 consider that to be a meaningful event.

6 A five-second episode of afib on a
7 Holter, for example -- and this is one of the
8 reasons why I don't necessarily favor Holters.
9 A five-second episode on a Holter I would not
10 consider significant.

11 So 30 seconds or more, but even
12 better is something that prompts them to get
13 a recording and, indeed, the recording shows
14 atrial fib.

15 DR. YANCY: Dr. Slotwiner or Dr.
16 Milan, any comment or agreement?

17 DR. SLOTWINER: Yes. My biggest
18 concern is having the objective information --
19 I realize the end point is making patients
20 feel better, but I think they'll tell us when
21 they're symptomatic.

22 I'm concerned about the placebo

1 effect of the procedure and I think some --
2 some definition can be created of regular
3 monitoring in the background in addition to
4 symptomatic events. I think patients will --
5 will report that.

6 DR. YANCY: So according to this
7 hierarchy of evidence scheme that you've
8 outlined, Dr. Zuckerman, it appears as if the
9 panel favors symptomatic atrial fibrillation
10 at the highest order, but then as a second
11 order initiative what is the absolute absence
12 or presence of afib by a monitoring technology
13 yet to be determined. Is that a fair
14 assessment?

15 DR. NEATON: You know, I'll just
16 say again I guess I'm concerned about the
17 symptomatic one. It sounds good, except that
18 what you're most concerned about in a trial is
19 making certain that your outcomes are
20 ascertained in an unbiased way in your two
21 treatment groups.

22 And I'm very concerned about that

1 in a situation where you'd be in a non-blind
2 trial here, one group that had ablation, one
3 group some medical therapy, and it's being
4 driven by something that the patient has to
5 report.

6 So I think the primary outcome is
7 better, is more objective, at standard
8 intervals, and as was suggested earlier, that
9 you collect data on symptoms and quality of
10 life also at standard intervals not driven by
11 certain things the patient is perceiving at a
12 point in time.

13 DR. YANCY: I think these are very
14 important conversations.

15 Dr. Peters?

16 DR. PETERS: One other thing that
17 might help is if we get data on the amount of
18 time that people are in atrial fibrillation
19 and don't report symptoms and make some sort
20 of ratio, because -- I mean, I see this all
21 the time with Holters and event recorders,
22 that they have palpitations. They're in sinus

1 rhythm.

2 DR. YANCY: Dr. Somberg?

3 DR. SOMBERG: There's an
4 assumption here that people, because they have
5 an ablative procedure, will under report, and
6 there may be some truth to that early on.
7 There's also -- the other side of the coin is
8 that people undergo procedures and they don't
9 get relief. They get angry.

10 And they, you know, get very upset
11 about that, too, so I'm not sure, you know,
12 that we have evidence that that is necessarily
13 the case that they'll miss that.

14 And we have to understand that the
15 reason we're doing the ablations is not that
16 they're going to live longer at this moment --
17 maybe some effect there may be -- and we're
18 not going to stop anticoagulation therapy,
19 which has a mortality effect, but we're doing
20 it for their symptoms.

21 And if you take symptoms and you -
22 - even if they have 10 seconds of A.F. and

1 they're symptomatic, and they pass out, for
2 instance, or something like -- that's very
3 significant to me. But if they don't have
4 symptoms, we -- from then on, we're embarking
5 upon science, research, here, because we don't
6 know what that means.

7 And I submit to you you'll have
8 one person who may have a burden of total A.F.
9 of 65 percent. Another one may have 15
10 percent. But they're both out of the
11 hospital. They don't need additional
12 cardioversions. They don't need
13 interventions. And they're happy. And I
14 think that's a success point.

15 And we've gone from -- what can I
16 say? -- looking at some sort of arbitrary
17 performance to now having a study, so I
18 wouldn't try to squeeze the system so much
19 that we can answer what does asymptomatic A.F.
20 mean.

21 DR. YANCY: Dr. Neaton, would
22 another approach -- what would be the risks or

1 the drawbacks to either a co-primary or a
2 composite that had symptomatic afib and burden
3 of afib treated equally?

4 DR. NEATON: Well, I mean, it very
5 well could be that with some clever thinking
6 one could define asymptomatic afib that you
7 were really confident about kind of it being
8 an unbiased assessment for your two treatment
9 groups.

10 I would be nervous about that, and
11 I'm not -- I don't know which way the bias
12 would go. I mean, it could -- it could vary
13 in ways that are not predictable right now.
14 But I think the idea that you're posing is not
15 a bad one, where you might have the objective
16 measure.

17 But I still go back to the idea
18 that it's -- I see the point in assessing
19 symptoms, but do that in a structured way in
20 both treatment groups at the same intervals
21 and make that your co-primary outcome, instead
22 of trying to put them both together and being

1 driven by an event that occurs at some point
2 in time.

3 That would be my -- from what I
4 understand right now, an approach that I would
5 consider.

6 DR. YANCY: Are there other
7 comments about these issues on question one?

8 Dr. Milan?

9 DR. MILAN: I just want to add my
10 voice to Dr. Page and Dr. Somberg that I think
11 that the reason we do these ablations is for
12 symptoms, that symptoms should be the primary
13 end point that we're using. I do understand
14 what you're saying about the biases, but I
15 just don't know how to make a composite end
16 point that includes asymptomatic and
17 symptomatic afib, because the symptomatic afib
18 is the reason we're doing it.

19 Also, for the purposes of labeling
20 eventually when you want to give instructions
21 to the physicians and eventually the patients,
22 what they want to know is what -- what are

1 their chances of being free of afib over
2 whatever time period.

3 DR. YANCY: But I do think Dr.
4 Slotwiner had a very good perspective. This
5 is an open label, unblinded circumstance, and
6 there is a placebo effect, and there may be --
7 and I respect Dr. Somberg's commentary, but we
8 have to account for the fact that bias is a
9 real possibility here.

10 Are there other statements that we
11 can make to the FDA?

12 Dr. Tracy?

13 DR. TRACY: It seems to me that we
14 have basically two different things we're
15 looking at. We're looking sort of at an
16 absolute you had it or you didn't have it, and
17 then you also, on a -- maybe on an equal
18 level, you're looking at symptomatic
19 recurrences. And you're -- and you need
20 clinical judgment in the study in both groups.

21 What do you do once you find one?
22 Do you automatically go back on an

1 asymptomatic patient that you've identified
2 afib? I mean, you have to define all that
3 stuff ahead of time, and that can easily be
4 done, but it sounds like you need to look at
5 both things, an absolute yes-no and
6 symptomatic-driven.

7 DR. YANCY: Any other input with
8 this regard?

9 Dr. Zuckerman?

10 DR. ZUCKERMAN: Yes, or to put it
11 another way -- question to Dr. Milan. I can
12 appreciate why he might want to choose as his
13 primary end point amount of or percent of
14 symptomatic A.F., but would you agree that as
15 a key secondary end point the measurement at
16 structured intervals would be key, and if the
17 two data sets don't correlate, you would have
18 trouble approving that device?

19 DR. MILAN: Yes. I mean, you said
20 it so well that I have nothing more to say but
21 just to agree with you.

22 DR. YANCY: Meeting adjourned.

1 (Laughter.)

2 So where we are to date, then --
3 we have suggested that the flavor of the panel
4 is in preference of randomized controlled
5 trials. We have continued to talk about, in
6 most regards, against medical therapy.

7 We've talked about addressing
8 potentially as primary therapy, but we've also
9 talked about it in more restrained terms at
10 secondary therapy.

11 We basically settle on duration of
12 at least 12 months or longer to address issues
13 of safety and effectiveness.

14 We've identified this hierarchy of
15 evidence where symptoms should drive the
16 indication for the procedure, for the device,
17 and so symptoms would be highest order, but a
18 key secondary end point would be the afib
19 burden.

20 We had a brief commentary about
21 composites.

22 Are there any other issues about

1 these incredibly important first two questions
2 that we've addressed? I say this because it's
3 about 4:00 p.m.

4 Yes?

5 DR. BLACKSTONE: We haven't
6 addressed the medical group and crossover, and
7 I think -- and crossover -- even though
8 someone has said that is perhaps an end point,
9 crossover is not protected by anything and,
10 you know, is -- is one of these medical
11 judgments that gets interjected in the middle
12 of everything, and there may be very different
13 biases for when you do and when you don't do
14 the crossover.

15 So I'd like to see at least that -
16 - if that's going to be a measure we use for
17 the medical group, as opposed to just plain
18 truncating their data at the point of
19 crossover, and ignoring what happens
20 thereafter -- some discussion of that.

21 DR. YANCY: Certainly we heard
22 earlier that it would have size implications,

1 but let's see if we can address this issue.

2 Dr. Morrison?

3 DR. MORRISON: Well, I'd like to
4 offer up what I would hope is a creative
5 possibility that might reduce some of the
6 statistical issues, and that is an
7 intermediary medical crossover.

8 If you're going to expand the
9 enrollment criteria to solve one problem by
10 taking people who've only failed beta blockers
11 and calcium blockers, how about as the first
12 crossover crossing them over to one of your
13 membrane-active drugs?

14 And then they really haven't
15 crossed over from the standpoint of the
16 randomized trial thing. It gives you an out,
17 but it doesn't do what crossovers usually do
18 to randomized trials, which is to absolutely,
19 as somebody put it, eviscerate the sample
20 size.

21 If most of your medical crossovers
22 got propafenone or amiodarone or something,

1 rather than going onto a procedure, you would
2 have that information for analysis, but it
3 wouldn't destroy the randomization. Is that
4 an option?

5 DR. YANCY: Dr. Page?

6 DR. PAGE: Yes, I think -- I think
7 the issue of going on with a drug strategy is
8 not unreasonable, Dr. Morrison, for example,
9 if this is a nuance at afib, starting with,
10 say, flecainide, if that didn't work, going on
11 to amiodarone.

12 The fact of the matter is, though,
13 once one drug fails, chances are either they
14 won't want amiodarone, if it's a young person,
15 or the next drug will fail, and you'll get
16 crossover again.

17 I think Dr. Blackstone does raise
18 a very salient point, though, in that
19 crossover will really hurt us in terms of
20 efficacy.

21 The one completed trial is the
22 CryoCor, and they can't share with us what the

1 crossover rate was, but I'm afraid it was very
2 high. And those patients might not have ever
3 made it into the trial -- this trial A or
4 modified trial A we're talking about.

5 So I think one way or another, if
6 we have a randomized trial, we'll end up
7 looking at what was the safety with historical
8 drug treatment, because at least we have
9 longitudinal data from large randomized trials
10 on drug therapy.

11 My fear is in this trial we're
12 going to have so much crossover it's not going
13 to be a meaningful comparison in terms of
14 safety between the two groups.

15 DR. YANCY: Dr. Somberg?

16 DR. SOMBERG: It is an important
17 detail, and I think one cannot be too cavalier
18 on saying why someone crosses over. But if
19 someone crosses over for a serious symptomatic
20 episode of atrial fibrillation, I think that's
21 an important clinical end point.

22 If we let -- if the study design

1 lets people cross over because they felt a
2 palpitation or something of that nature, then
3 you have a different -- so it's how you write
4 the protocol.

5 But then there's going to be the
6 other side of the coin -- is that you have an
7 ablation. They're off anti-arrhythmic
8 therapy. And then they have an episode, a
9 brief episode, when they need an anti-
10 arrhythmic drug. That's also an end point and
11 saying that that person was not that
12 successful.

13 So I think you can go down --
14 there's going to be an algorithm of sort of
15 end points here, and you know, an ablative
16 technique or catheter plus technique might
17 give you a certain efficacy, and you need
18 anti-arrhythmic drug in a certain number of
19 cases, and that might be acceptable.

20 And then you might have patients
21 who go on and -- you know, it could be to the
22 doctors. I can see a protocol permitting

1 another anti-arrhythmic agent in some patients
2 and then permitting you to cross over to
3 ablation.

4 And at the end of the day, you
5 will have a certain number of people
6 successful on anti-arrhythmic therapy, a
7 certain number of people successful on
8 ablative therapy. And the question is what is
9 that number, and do you have to be superior?
10 I mean, these are questions we have to ask
11 ourselves.

12 Do you have to be superior? Or
13 maybe ablation and anti-arrhythmics could be
14 equal, and that would be good. But if one is
15 decidedly different than the other, that may
16 favor one therapy over another, and you have
17 to weigh that against the risk, and that's why
18 all these PMAs are going to come to panel.

19 DR. YANCY: Certainly one of the
20 things in the hypertension world that is done
21 to minimize crossover effect is that there are
22 two arms and different drugs, but then if you

1 don't reach a clinical end point, then both
2 arms get applied the same treatment strategy,
3 and so at least the randomization stays clean.

4 Dr. Tracy?

5 DR. TRACY: I think it's going to
6 be inherently messy no matter what you do,
7 just because of the nature of atrial
8 fibrillation.

9 We sort of have slipped back into
10 going with a first-line treatment afib, which
11 I think may or may not be appropriate. I
12 think just -- what your outcome is going to be
13 will largely depend on what group you choose
14 to study.

15 If you choose to study paroxysmal
16 atrial fibrillation, you're going to have a
17 whole lot easier time in maintaining the two
18 arms.

19 But as Dr. Somberg points out,
20 there is going to be the patient who has --
21 and this was raised earlier today, the patient
22 who's had the ablation and previously wasn't

1 controlled by amiodarone but now is controlled
2 by amiodarone.

3 It's just going to be messy.
4 We're just going to have to, I think, accept
5 with that and deal with it on a case-by-case
6 basis.

7 DR. YANCY: We'll take one more
8 comment on this issue from Dr. Neaton and then
9 attempt to go on if the panel will permit it.

10 DR. NEATON: I think it is -- this
11 is a complicated issue that probably we can't
12 solve today, but -- three things I wouldn't
13 do. I mean, I think -- calling it an end
14 point is a bit problematic unless you can
15 ascertain it in both treatment groups, so what
16 -- Dr. Somberg's point is very important to
17 pre-specify criteria that are kind of relevant
18 for both treatment groups to, quote, cross
19 over, if you will.

20 I would not truncate follow-up
21 when a crossover occurs, because then you're
22 going to be real sunk in terms of doing an

1 intend to treat analysis, so continue the
2 follow-up subsequent to the crossover.

3 DR. YANCY: Well, thank you very
4 much.

5 Dr. Zuckerman, are you satisfied
6 with our comments and questions one and two?

7 DR. ZUCKERMAN: Yes. This has
8 been very helpful. I just have one quick
9 question, and if an electrophysiologist could
10 volunteer, it's the second part of one B.
11 Please define A.F. burden and how it can be
12 measured, both pre- and post-treatment.

13 Again, there's no perfect way, but
14 does someone have a suggestion?

15 DR. YANCY: Did you say quick?

16 (Laughter.)

17 DR. ZUCKERMAN: Yes.

18 DR. TRACY: I think it is a
19 problem defining it pre-. Your A.F. burden
20 is, I think, taking a piece of time and
21 looking at what's there during that piece of
22 time. You can use that as your definition of

1 A.F. burden, and that's fine.

2 The problem is that we have no
3 idea -- none of these studies have been set up
4 to look at the pre-ablation A.F. burden, and
5 that may be something worth putting in there,
6 in these studies, to have at least a period of
7 time, whatever you define, by those seven days
8 of Holter, which I'm hoping nobody goes for,
9 or a week of event monitor, or whatever it is,
10 and using that as your definition of burden.

11 I think it gets complicated -- the
12 drug studies have always looked at time to
13 recurrence and that type of -- type of
14 monitor. I think that that's a little bit
15 different from burden. I think burden is how
16 much is there during this period of time,
17 simple as that.

18 DR. YANCY: Dr. Milan?

19 DR. MILAN: Well, let me just say
20 that I think that all these are different ways
21 of measuring the response to this treatment,
22 and that patients, in my own experience, can

1 be made happy. Even if they have one or two
2 recurrences over the year after their
3 pulmonary vein isolation, they can be thrilled
4 with the result.

5 And so I think ideally burden
6 would be something you'd want to measure -- I
7 think it's so problematic to measure, to get
8 a baseline, an adequate baseline, for the
9 patient.

10 And to ensure that they, for lack
11 of a better word, comply with the monitoring
12 algorithms for the long period of time that's
13 necessary to assess their burden post-
14 procedurally or post-therapy, I think it's
15 very difficult.

16 I mean, I think what you're really
17 -- if you really want to measure it
18 appropriately, you need an implanted device,
19 either a pacemaker or maybe an implantable
20 Lipocor recorder that has the algorithms that
21 can measure atrial fibrillation.

22 DR. YANCY: Dr. Peters?

1 DR. PETERS: I'm trying to
2 remember what I was going to say. I -- well,
3 two things. One, in terms of burden, as long
4 as you do the same thing with both groups,
5 it's just the percentage of time somebody's in
6 atrial fibrillation, as long as you're
7 consistent across groups.

8 The other thing I was going to
9 bring up earlier -- just in terms of end
10 points, we don't want to totally neglect hard
11 end points for this kind of stuff. In other
12 words, thrombotic events, mortality,
13 hospitalization -- things that are fairly
14 objective may help, because our other end
15 points are relatively soft.

16 DR. YANCY: Great.

17 Dr. Page?

18 DR. PAGE: Yes. We looked at --
19 kind of looked at afib burden in the Azimilide
20 database and -- and in that database, we
21 actually looked at the frequency that patients
22 were in afib, asymptomatic and actually the

1 life table analysis. This was all prior to
2 symptomatic recurrence.

3 And over six months, we found 18
4 percent of the patients looking at a TTM, 30
5 seconds, every two weeks had an asymptomatic
6 recurrence before they had a symptomatic
7 recurrence. So there are some data out there
8 for untreated patients.

9 And just as was mentioned, as long
10 as you're doing the same thing in both groups,
11 but it's not so burdensome as an implanted
12 device or a daily TTM, I think you'd get
13 meaningful data.

14 DR. YANCY: Dr. Slotwiner?

15 DR. SLOTWINER: I agree. I think
16 it's just a percentage of time in afib, and as
17 long as you have a standard protocol prior to
18 ablation and after, it should be --

19 DR. YANCY: Are you comfortable
20 with the intermittent TTM methodology?

21 DR. SLOTWINER: I think we have to
22 accept the limitations of -- of the monitoring

1 that we have, and so while it's far from
2 perfect, I think -- I think it's the best we
3 can do.

4 DR. YANCY: Dr. Zuckerman, is that
5 satisfactory?

6 DR. ZUCKERMAN: Yes.

7 DR. YANCY: Let's go on to
8 question three. Given that catheter ablation
9 is an invasive therapy, if the control group
10 is non-invasive medical therapy, what should
11 the comparisons be for safety and
12 effectiveness?

13 Will someone just summarize how
14 we've addressed it?

15 (Laughter.)

16 DR. YANCY: I love being chair.

17 DR. TRACY: I think that's your
18 job.

19 (Laughter.)

20 DR. SOMBERG: I'll give it a try.

21 DR. YANCY: Dr. Somberg?

22 DR. SOMBERG: I think the

1 effectiveness end point was saying that
2 symptoms are important but they're not the
3 only thing to look at, and that in terms of
4 safety, that becomes even more problematic,
5 because we have very -- two disparate
6 interventions here.

7 And I think what one is going to
8 look at is major events that are -- are going
9 to have to be factored into a clinical
10 decision-making process. So one has to assess
11 those.

12 One powers a study for some events
13 that might be deemed to be more frequent and -
14 - in a pivotal trial, and having to have a
15 much more large experiential basis for some of
16 the less frequent side effects.

17 DR. YANCY: I think the panel
18 expressed a pretty strong sentiment earlier
19 that the effectiveness metric should be the
20 same for invasive or non-invasive strategies,
21 and that safety issues are time-dependent, and
22 they're very much disparate. They're

1 procedurally related. They're related to the
2 medical therapies which may appear over time
3 and perhaps related to the disease process.

4 But you may want to hone us in
5 more quickly or directly on where we're going.

6 DR. ZUCKERMAN: Yes, and I think
7 that's the general drift. But there's a point
8 that Dr. Neaton and others have been debating
9 today, and that is because we are comparing an
10 invasive procedure versus medical therapy
11 hypothetically, associated with the invasive
12 procedure in the cath lab may be cert and
13 safety problems, such as pericardial tamponade
14 or development of an esophageal fistula, that
15 you just aren't going to get in the medical
16 arm.

17 So the real reason why FDA is
18 asking this or Dr. Haines posed the same
19 question is what do you compare those low
20 frequency but extremely important cath lab
21 safety events against.

22 That's -- you know, we would

1 recognize that you can compare the number of
2 strokes as a safety variable in both arms, but
3 there are real cath lab complications that
4 need to be minimized in an acceptable level,
5 and we're looking for comparators.

6 DR. YANCY: But having said that,
7 I mean, there is a bucket of events that go
8 into serious adverse events, and there are
9 serious adverse events taking a patient -- Dr.
10 Somberg's comments -- that do occur with
11 medical therapy, particularly anti-arrhythmic
12 therapy -- proarrhythmias, death, even.

13 And so there are serious adverse
14 events that occur even though they are
15 dissimilar. But there may be others who want
16 to comment on this.

17 Dr. Yaross?

18 DR. YAROSS: I would comment that
19 these are areas once presumably the agency and
20 the panel believe that something has provided
21 a reasonable risk-benefit on its face, that go
22 into labeling, that permit clinicians to look

1 at professional guidelines that have weighed
2 the -- you know, whether something should be
3 first-line, second-line, et cetera, therapy,
4 and that this is the job of clinicians to
5 interpret -- you know, once you have a well-
6 vetted and well-executed trial, to then weigh
7 those in terms of the preferences of
8 clinicians and their patients in concert.

9 DR. YANCY: Dr. Weinberger?

10 DR. WEINBERGER: A slightly
11 different characterization, but maybe along
12 the same lines, what we need is to look at the
13 procedural complications as a separate bucket
14 that you have to pay for in order to get
15 therapy.

16 Now, we have therapies that we
17 give our patients that are associated with
18 mortality and stroke which are done primarily
19 for patient symptoms, so a great number of
20 patients who undergo bypass surgery are
21 undergoing bypass surgery for symptoms and not
22 for longevity.

1 And nevertheless, they take
2 mortality and stroke risks and other risks
3 which are well characterized. And you might
4 say well, those are unreasonable.

5 I think what we need to do is
6 characterize those risks, those procedural
7 risks, and factor that into your clinical
8 thinking, so that when you present to the
9 patient the risk of the procedure, you can
10 tell them you have less than a one percent
11 chance of a major adverse event, if that's
12 what the number will be.

13 DR. ZUCKERMAN: And certainly with
14 the A.F. procedure versus medical treatment,
15 I think the comparison of mortality and stroke
16 risk are relevant, but we could envision a
17 situation where that's similar, where
18 effectiveness is much greater than medical
19 treatment at one year, but there's, say, a 2.5
20 percent incidence of esophageal fistula --
21 hence, not an approvable device.

22 Now, is the best that we can do

1 what Dr. Yaross has said, you need to look at
2 the totality of the data, compare some of
3 these events to what professional societies,
4 competent physicians, would say are acceptable
5 rates, or are there predefined standards that
6 people can better define?

7 DR. YANCY: Dr. Somberg?

8 DR. SOMBERG: I don't think there
9 are predefined standards, and you're going to
10 have to look at that carefully, and I don't --
11 I'm going to get kicked off the committee by
12 you, I know that, but I'm going to say I'm not
13 sure that's not an approvable device with that
14 -- it just -- it's all I the labeling. Isn't
15 that, you know, the business?

16 There's a judgment to be made.
17 And for a lifetime of anti-arrhythmic therapy
18 versus a 2.5 percent risk of esophageal
19 fistula might be acceptable to some people.

20 On the other hand, if it was, you
21 know, a 10 percent risk of mortality, the --
22 that might be not acceptable. So anyway, I do

1 not know myself -- maybe someone else does --
2 that it is possible to, a priori, specify what
3 would be unacceptable risk when there's no
4 comparator in the other control group.
5 There's just none.

6 DR. YANCY: Dr. Kato, we haven't
7 heard from you in a few minutes.

8 DR. KATO: I have to agree with
9 John. I don't think that there's a -- well,
10 actually, I don't think that there's a way to
11 specify what's not approvable, but you may not
12 be able to identify what's approvable either.

13 That is, the -- there are going to
14 be -- I think as clinicians we always have to
15 make judgment calls about various
16 complications and -- which exist in one
17 therapy versus another, whether it's front-
18 loaded, back-loaded.

19 And we're always dealing with that
20 kind of probability risk, whether it's
21 multivessel angioplasty versus bypass surgery,
22 whether carotid stenting versus carotid

1 endarterectomy, or even medical therapy
2 versus, you know, a biventricular device.

3 So I think we're going to be --
4 whether we like it or not, we're going to have
5 to just present the data and see how it plays
6 out. And you know, it may be that -- let's
7 say the catheter ablation studies do come out
8 with some horrendous risk versus the drug --
9 there's some novel drug risk that shows up,
10 you know, a year or two later. And we may
11 have to revisit it. But that's the nature of
12 what we do.

13 DR. YANCY: Well, the issue here
14 is actually even more complex, because we're
15 talking about operator competency, and then
16 we're talking about the device technology
17 itself.

18 So, Drs. Page and Tracy, I think
19 there are some competency statements about
20 device interventions, and it may even be
21 covered in COCATS, but maybe you can comment
22 on that. You were about to speak.

1 DR. TRACY: Yes. Actually, there
2 -- the -- anybody doing these procedures has
3 to meet the competency guidelines for doing
4 these procedures. I mean, on that -- that
5 would go without saying.

6 What isn't mandated or regulated
7 is what percentage of complications are you
8 allowed to have to still be considered a
9 competent operator.

10 I think, though, that we're -- at
11 this moment, we're disregarding the fact that
12 we do have a substantial body of literature to
13 know -- even though they are not randomized
14 controlled trials, we have a sense for what
15 the complications and the rates of
16 complications are.

17 But we do know that when you go
18 specifically hunting for complications, you're
19 going to find a higher percent than is
20 reported in the literature. The literature in
21 this standpoint serves as a background of
22 information that we have available. We can't

1 totally blind ourselves to that.

2 But you can't, I think, come up
3 with a specific percentage ahead of time to
4 say if you exceed -- you know, and 2.5 would
5 be a very high atrial esophageal fistula rate
6 -- but you can't exceed X percent of such
7 complication. I don't think you can do that
8 ahead of time.

9 But certainly, this panel, when
10 they meet to approve or disapprove the device,
11 would take into account all the information
12 that's available in the literature and within
13 the data that's provided by the company at the
14 time of the presentation.

15 I just don't think you can say
16 ahead of time.

17 DR. YANCY: So it sounds like
18 we're talking about more than just a single
19 finite measure, but rather as the aggregate
20 safety issues acutely -- medical in device,
21 chronically, and then perhaps using a
22 reference point of what is competent

1 performance for the procedure itself.

2 Is that a reasonable summation,
3 Dr. Peters?

4 DR. PETERS: Also, we have to
5 remember, this is a work in progress. These
6 are techniques that are being developed. If
7 you go back to the early days of CABG, the
8 mortality was extremely high. When we first
9 started doing SVT ablations, we were getting
10 complete heart block.

11 We don't see that very much
12 anymore, because of the techniques that are
13 involved, so if we can -- if we can approve
14 this now, I would expect that our statistics
15 will get much better.

16 DR. YANCY: Other comments on this
17 issue?

18 Dr. Zuckerman, is that
19 satisfactory for number three?

20 DR. ZUCKERMAN: Yes. I think your
21 summary covered it well.

22 DR. YANCY: Number four, if a

1 performance goal derived from the medical
2 literature is used for either safety or
3 effectiveness comparisons, what should the
4 values be and why? And we've had a lot of
5 input from our guest speakers on performance
6 goals and some struggles.

7 One of our panel members, Dr.
8 Page, believes that that is imminently doable,
9 and certainly, Rick, if you can comment on
10 that more, that would be great, and we'll open
11 this up for discussion.

12 DR. PAGE: Thanks, Clyde. Yes, I
13 heard Hugh say that he didn't think it was
14 doable. I heard Dr. Haines say that he
15 thought a performance goal could be
16 established. I think even Hugh, if we framed
17 it, could come up with something.

18 I don't believe at all that this
19 committee's going to be able to do that here
20 today. So I might actually just throw out
21 there that I think it could be done with some
22 thoughtful people in a room spending the day

1 working on it, but I don't think we're going
2 to come up with anything meaningful right
3 here.

4 DR. YANCY: Does most of the panel
5 agree with the comments Dr. Page just
6 expressed?

7 No, Dr. Somberg?

8 DR. SOMBERG: Well, I like you
9 very much, but I don't --

10 (Laughter.)

11 DR. SOMBERG: -- I really don't
12 think that -- I don't even want to address
13 whether it could be or couldn't be done. You
14 know, that's a study. You figure it out.

15 But I think at this day and from
16 what we've heard, it may not should be tried
17 to be done, because I think things are working
18 out, and we have enough possibilities to not
19 need that.

20 With that said, I think there will
21 come a time when we have, you know, randomized
22 controlled trials that have given us enough

1 information where we can develop performance
2 goals both for safety and efficacy.

3 But I don't think we're there yet,
4 and this is too important an area to
5 obfuscate.

6 DR. YANCY: And to be fair, this
7 is an important issue, so if Drs. Neaton or
8 Blackstone would comment on developing a
9 performance goal, I think that might help
10 round out the discussion.

11 DR. NEATON: May I? I don't
12 really have anything to add to Dr. Somberg.
13 I would not rely upon that now at all. I
14 think the trials need to get done, and maybe
15 at a later time.

16 DR. YANCY: Is that sufficient,
17 Doctor?

18 DR. ZUCKERMAN: No, I think the
19 discussion is good in that it suggests that we
20 aren't there yet, but I do want to indicate
21 that the methodology suggested by Dr. Yue this
22 morning is extremely important for the

1 industry to consider.

2 When we're developing performance
3 goals, there are two options. One is the
4 option that the cardiac surgeons know about.
5 After 30 years, we thought the literature was
6 good enough to develop OPCs.

7 The other option is for the
8 industry, in an independent, unbiased manner,
9 to start aggregating data such that we can
10 model the data appropriately, fairly,
11 judiciously, such that perhaps within X number
12 of years, much less than 30, we can consider
13 appropriate change in trial design.

14 Now, these are difficult concepts
15 for the industry because there's a lot of
16 competitiveness there, but it is something
17 that the agency has had recent experience and
18 success with in the area of bare metal stents,
19 with the recent LVAD Enermax registry, so I
20 would really encourage the industry to think
21 about such an approach.

22 DR. YANCY: Dr. Yaross?

1 DR. YAROSS: One, I think, very
2 positive consequence of having scheduled this
3 meeting is that, as we heard from the Advamed
4 speaker, the industry involved in A.F.
5 clinical trials has come together to form a
6 working group to discuss areas of common
7 interest. So I think your suggestion is one
8 that probably that group could follow up on.

9 And I think in the interim, to Dr.
10 Page's comment, I don't think there's anything
11 that precludes sponsors from trying to do that
12 work and, you know, present concepts for
13 individual trials before an industry-wide
14 effort might be able to come to fruition.

15 DR. YANCY: It does seem as if the
16 sentiment on the panel is suggesting that it
17 is theoretically doable with effort and with
18 thought but may not be ready to represent a
19 reasonable comparator for contemporary trials.

20 Dr. Blackstone?

21 DR. BLACKSTONE: Just a comment,
22 and that is the Innermax group was set up

1 originally by the NIH and funded by NIH, and
2 it is also independent of any devices. So I
3 think that your suggestion is actually quite
4 different from that.

5 DR. ZUCKERMAN: Right, but that's
6 why I also gave the example of the bare metal
7 stent example, where here, under the aegis of
8 AdvaMed and FDA, the industry was able to
9 independently pool data to come up with
10 appropriate statistical modeling that could
11 replace a standard randomized trial.

12 So there are many ways to do it,
13 even if the NHLBI isn't willing to fund the
14 bill.

15 DR. YANCY: Any other comments on
16 item four?

17 Dr. Zuckerman, is this
18 satisfactory?

19 DR. ZUCKERMAN: Yes.

20 DR. YANCY: Five, based upon the
21 discussion of trial design for percutaneous
22 catheters, please discuss your recommendations

1 for trial designs to study surgical ablation
2 in a sole-therapy situation.

3 And I think we saw data from the
4 surgical registry today that that's occurred
5 in about 1,200 cases. We certainly would
6 appreciate comment here from Dr. Jeevanandam,
7 Dr. Blackstone and Dr. Kato.

8 DR. BLACKSTONE: Okay. So first
9 of all, in terms of sole therapy, that just
10 isn't going to happen in terms of regular
11 surgical approaches, so that the numbers for
12 lone A.F. have essentially dropped to zero.
13 So I think we don't have to worry about that.

14 What will come about is both
15 hybrid procedures and robotic, very minimally
16 invasive procedures, and I don't see why those
17 shouldn't be evaluated the same way.

18 DR. YANCY: I think there was a
19 statement -- and maybe, Dr. Page, you can help
20 us with this. There was a statement that for
21 individuals who have failed repeated catheter
22 ablations, they might be considered for

1 surgical procedures. Does that need to be
2 studied systematically, or is that a rarity?

3 DR. PAGE: The issue of what
4 happens with recurrent afib after ablation is
5 something that's debated. That is mentioned
6 in the HRS statement, I believe, as one of the
7 potential indications for standalone afib
8 surgery, although reattempt with catheter
9 ablation is what many operators undergo as
10 well.

11 DR. YANCY: Dr. Jeevanandam?

12 DR. JEEVANANDAM: I agree with
13 Gene there about the standalone afib. I think
14 standalone afib has decreased. However, there
15 is an indication for standalone afib,
16 especially if somebody has a clot in the left
17 atrial appendage and you want to do some kind
18 of clot ligation, you know, or removal.

19 And you know, we sometimes do get
20 consults with people who have clot who -- or
21 an atrial fibrillation who have some
22 anticoagulation contraindication, and then

1 those are patients who do get standalone
2 atrial fibrillation.

3 So I think that population of
4 patients is completely different than the
5 population that we're talking about now. I
6 think the population we're talking about now
7 are -- we're actually going back and saying
8 well, it's just primary therapy over anti-
9 arrhythmics. I think certainly surgical
10 therapy is not there yet.

11 And so surgical therapy would
12 probably be when perhaps catheter-based
13 therapy has failed or multiple drugs have
14 failed.

15 DR. YANCY: But if there was a
16 clinical trial design to assess that issue, I
17 think I heard Dr. Blackstone say that the
18 issues are the same.

19 DR. JEEVANANDAM: Correct.

20 DR. YANCY: Okay.

21 Is that a benefit -- I'm sorry, we
22 have other comments.

1 Dr. Somberg and Dr. Tracy?

2 DR. SOMBERG: I thought one of the
3 aspects of this question has to do with if you
4 have a device that you wanted to add into the
5 surgical ablation program -- am I -- am I in
6 the right area?

7 But that you had some way to
8 facilitate surgical ablation, a computerized
9 system, a balloon you would place in and that
10 would -- in an open situation, and -- or a
11 device that -- anyway, my statement would be
12 that there are performance -- there's enough
13 information here to compare it to what has
14 been established and you could establish
15 performance criteria in that area instead of
16 having to have a randomized trial with only
17 100 Maze procedures done each and every year
18 in the United States.

19 DR. ZUCKERMAN: Not quite. Let me
20 explain the intent of this question. Right
21 now, for the cardiac surgical therapies that
22 are generally being studied in trials, where

1 there are add-on therapies for when the chest
2 is going to be cracked because you're going to
3 do a mitral valve replacement or something
4 like that -- as Dr. Blackstone indicated,
5 though, another potential large use and
6 indication is as a standalone treatment
7 therapy through a minimally invasive approach.

8 And what he's indicated is that if
9 you're going to do a minimally invasive
10 cardiac surgery for treatment of afib, the
11 cardiac surgical device should be held to the
12 same randomized trial experience and end
13 points, et cetera.

14 And is that what people generally
15 are thinking?

16 DR. YANCY: I think Dr.
17 Jeevanandam has concurred.

18 Dr. Tracy?

19 DR. TRACY: Yes, I would -- I
20 would concur with that, if you are
21 contemplating a standalone first-line -- or
22 first- or second-line therapy, it would have

1 to be held to the same standard.

2 And I would discourage including
3 people who have failed multiple catheter
4 ablations. That's totally stacking the odds.
5 I mean, the same way we exclude -- we would be
6 expected to exclude prior afib ablations from
7 our entry criteria, prior A.F. ablations
8 should be an exclusion criteria for a
9 standalone surgical device. It's just
10 stacking the odds too much against the device.

11 DR. YANCY: Dr. Kato?

12 DR. KATO: Well, that -- I thought
13 that was an interesting comment.
14 Unfortunately, I think we're going to be --
15 cardiac surgery always seems to be relegated
16 to, you know, multiple medical failures before
17 we see the patient.

18 So you know, on the other hand, I
19 think what Dr. Blackstone said was correct.
20 I don't think there should be any difference
21 in terms of how the study should be performed.

22 DR. SOMBERG: I have a question.

1 DR. YANCY: Dr. Somberg?

2 DR. SOMBERG: I'm not sure what
3 we're saying this -- the standard or the
4 comparison. If you had cryoablation,
5 percutaneous or -- you had a surgical
6 technique that was being developed and -- what
7 would the control be? The control would -- or
8 comparator would be catheter ablation? Or
9 would it be the Maze procedure, the standard
10 surgical procedure?

11 I mean, these are questions one
12 has to think about. I don't think you would
13 randomize it to anti-arrhythmic therapy versus
14 surgical therapy when people are referred for
15 surgical -- you know, as you said, it's
16 probably a tertiary sort of -- you know, they
17 failed multiple medical therapies and maybe
18 catheter ablation.

19 So I don't see how it could be
20 held to the same standard, because I think
21 it's going to be, you know, an end-stage sort
22 of thing, and maybe this comparator is the

1 Maze procedure, and maybe we have enough data
2 over 10 years with Maze procedures to be able
3 to do a certain number of them and see how it
4 stacks up versus the Maze.

5 DR. ZUCKERMAN: Yes, I think we
6 want to plan the clinical trial dependent on
7 the patient population and what's practical,
8 et cetera, no one would disagree. But there
9 are cardiac surgical therapies being
10 potentially developed that are minimally
11 invasive that are really designed to be the
12 analog of some of these percutaneous
13 therapies.

14 And the question that we have is
15 if they're really designed to do everything a
16 percutaneous therapy is designed to do and to
17 treat a patient very early, should they be
18 held to the same standard.

19 DR. YANCY: And I think what
20 you're hearing from -- is that they -- they
21 should be held to the same standard, at least
22 some of the panel members believe that, and it

1 should be a randomized clinical trial format,
2 not a single-arm study with an OPC, and it
3 obviously would be very sensitive to the
4 patient population and the history.

5 And I think Dr. Tracy's comments
6 are well placed, as are Dr. Somberg's comments
7 that the actual clinical trial design would
8 take a lot of thought, but it would have to be
9 a clinical trial. I think I'm speaking for
10 everyone. Okay.

11 Question six, please address the
12 following issues with respect to
13 anticoagulation. I remind the panel that
14 there are guideline statements here. FDA
15 agrees with the ACC guidelines which state
16 drugs and ablation are effective for both rate
17 and rhythm control, and in special
18 circumstances surgery may be the preferred
19 option.

20 Regardless of the approach, the
21 need for anticoagulation is based on stroke
22 risk and not on whether sinus rhythm is

1 maintained. Please comment.

2 Dr. Page, please begin.

3 DR. PAGE: I strongly agree with
4 this, the only caveat being the open Maze
5 procedure, where there's an open
6 appendagectomy and not the external one which
7 may leave a nubbin and I think is less proven.

8 But we saw data that suggest that
9 the risk of stroke in those patients with open
10 Maze and open appendagectomy -- the risk of
11 stroke is extremely low, and generally those
12 patients were not anticoagulated.

13 But for everybody else, including
14 minimally invasive surgical techniques, I'd
15 strongly advocate that we treat these patients
16 as if they still had afib and treat according
17 to CHADS guidelines.

18 DR. YANCY: Dr. Blackstone?

19 DR. BLACKSTONE: The proposed
20 studies for minimally invasive surgical
21 approaches that I've seen have all included
22 amputation of the left atrial appendage or

1 some other closure of the appendage. And that
2 is touted as one of the benefits, although
3 much longer term, potentially, of that
4 approach.

5 DR. YANCY: Dr. Jeevanandam?

6 DR. JEEVANANDAM: And using those
7 minimally invasive approaches, though -- I
8 mean, by looking at T.E., we make sure that
9 there's no nubbin left behind, so even if by
10 applying a stapler, for instance, there's a
11 nubbin, we actually go back and close it back
12 up with some sutures.

13 So I think taking out the
14 appendage is probably the biggest advantage,
15 potentially, of doing this surgically.

16 DR. YANCY: Dr. Page?

17 DR. PAGE: My only comment would
18 be that needs to be proven in a randomized
19 study.

20 DR. YANCY: Dr. Somberg? Your
21 light is on.

22 DR. SOMBERG: Oh, I apologize, and

1 I agree with Dr. Page.

2 DR. YANCY: Dr. Slotwiner?

3 DR. SLOTWINER: Yes, I was happy
4 when I saw this question on the list because
5 it seems one of the clearer ones, yes. I
6 think it's a separate question, and --

7 (Laughter.)

8 DR. SLOTWINER: -- I think it --
9 anticoagulation has to be considered
10 separately.

11 DR. YANCY: I'm going to assume
12 that the panel is of the persuasion of Dr.
13 Page, and we want to respect the guidelines
14 and move forward.

15 Item B for question six, what data
16 are needed to support instructions to
17 discontinue anticoagulation after atrial
18 fibrillation ablation. And I remind you of
19 the very clear comments made by Drs. Packer
20 and Estes in this regard this morning.

21 Dr. Blackstone?

22 DR. BLACKSTONE: Yes, I think that

1 that is an issue that needs a randomized,
2 long-term clinical trial. It would be
3 interesting if that were, for example, a
4 trans-industry sponsored, long-term trial,
5 because we're talking about probably a years
6 trial, but would be an important thing to do
7 as perhaps a post-market collective,
8 collaborative study.

9 DR. YANCY: I'm going to attempt
10 to paraphrase a sidebar conversation I had
11 with Dr. Packer regarding this issue, and he
12 said it is for this circumstance especially
13 when demonstrating complete resolution of
14 atrial fibrillation, including asymptomatic
15 events, becomes critically important, that if
16 you're trying to generate enough information
17 to justify the discontinuation of
18 anticoagulation therapy, then you would have
19 to demonstrate complete resolution of atrial
20 fibrillation, symptomatic and asymptomatic.

21 Dr. Morrison?

22 DR. MORRISON: Well, that makes me

1 jump in, because I think we have to remember
2 that it's never really been proven that the
3 stroke risk is from the atrial fibrillation,
4 so it seems to me in addition to that
5 statement we need an adequately powered trial
6 with long enough follow-up to see there's no
7 difference in late stroke.

8 DR. YANCY: Certainly no
9 disagreement.

10 DR. MORRISON: Because it may well
11 be this is all epi phenomenon, that --

12 DR. YANCY: Certainly no
13 disagreement.

14 DR. MORRISON: -- stroke risk is a
15 function of the hypertension, the age, the
16 coronary disease --

17 DR. YANCY: Exactly.

18 DR. MORRISON: -- the diabetes,
19 and it has nothing to do with afib.

20 DR. YANCY: Dr. Somberg?

21 DR. SOMBERG: I don't think it
22 approaches zero. I think it was in the low --

1 in the low numbers, but if I remember -- there
2 was some data presented this morning and other
3 data that was presented later from a Maze
4 procedure, where it goes down 10, maybe,
5 percent of the time.

6 So I just think we have to be
7 careful. Your summary said it should go to
8 zero, and I don't know if zero is the number.

9 DR. YANCY: Yes, I think the data
10 that Dr. McCarthy showed was a nadir of one
11 percent, so it's not zero.

12 But, Dr. Blackstone, you know that
13 register well. It was about one percent.

14 Any other comments on these
15 issues, then? I mean, it seems like there's
16 a strong sentiment that it would absolutely
17 require clinical trial.

18 Dr. Yaross?

19 DR. YAROSS: Yes, I would only
20 suggest based on the magnitude of the sample
21 size required from Dr. Packer's comments this
22 morning that this may be the type of study

1 that requires NIH type of funding rather than
2 looking to individual sponsors to carry the
3 burden on this one.

4 DR. YANCY: Certainly I think Dr.
5 Blackstone suggested trans-industry or a
6 global effort.

7 Dr. Zuckerman, may be we proceed
8 to question seven?

9 DR. ZUCKERMAN: Yes.

10 DR. YANCY: If trial end points
11 focused on symptomatic recurrence, how
12 important is it to capture asymptomatic afib
13 occurrences? What are the implications of
14 asymptomatic atrial fib occurrences in terms
15 of the long-term risk of afib -- for example,
16 tachycardia-mediated cardiomyopathy -- and,
17 for example, the need for anticoagulation?

18 Since my field of interest is
19 heart failure and left ventricular
20 dysfunction, I can tell you to implicate a
21 tachycardia-mediated cardiomyopathy, it would
22 have to be incessant and fast, so I think that

1 would be a lesser issue, but the other issue
2 certainly we can develop more.

3 Comments on this?

4 Dr. Slotwiner?

5 DR. SLOTWINER: Well, I think it's
6 critically important and it gets back to the
7 issue of the placebo effect that the procedure
8 may have, and comparing the benefit of the
9 procedure to the risk, so I think it's
10 critically important.

11 DR. YANCY: Thank you.

12 Other comments in this regard?
13 How important is it to capture asymptomatic
14 afib occurrences?

15 Dr. Tracy?

16 DR. TRACY: I think to a large
17 extent we sort of addressed this earlier,
18 talking about the need for follow-up and that
19 being one of the end points that you're
20 looking at. And it does go back to what is --
21 what is the risk of this condition to the
22 patient.

1 So I think we all have sort of
2 expressed that it is important to know about
3 the asymptomatic recurrences because of those
4 implications for the patient.

5 DR. YANCY: And I think everyone
6 felt that at the least, it needed to be
7 measured, and some felt it needed to be
8 incorporated as an end point. Is that fair?

9 Let's go on to question eight.

10 Is that acceptable, Dr. Zuckerman?

11 DR. ZUCKERMAN: Yes, that it needs
12 to be in the totality of evidence, sure.

13 DR. YANCY: Yes.

14 Question eight, FDA currently
15 classifies patients with atrial fibrillation
16 into three groups -- paroxysmal, persistent
17 and permanent -- according to criteria
18 proposed in the ACC/AHA/ESC 2006 guidelines
19 for the management of patients with atrial
20 fibrillation.

21 I'll remind the panel of the
22 important modification from the HRS consensus

1 statement removing the concept of permanent.

2 Item A, do you believe that
3 different types of afib should be studied
4 separately? B, should there be differences in
5 the definitions of effectiveness for each
6 patient group following ablative therapy, and
7 should they be followed differently? If so,
8 please provide recommendations, for example,
9 with respect to duration and type of
10 monitoring.

11 Dr. Page?

12 DR. PAGE: We talked about this a
13 fair amount already, I think, in terms of
14 follow-up, that sort of thing. I, frankly,
15 think it's somewhat difficult to distinguish
16 between the paroxysmals and the persistents.
17 As I said, they're not permanent anymore if
18 you think you can convert them.

19 I personally would advocate
20 bringing them together and studying them
21 similarly in terms of follow-up, but if you
22 wanted to absolutely cut it down to just one

1 perfect little group, it could be the
2 paroxysmals.

3 But I think we'd learn more by
4 including a broader range, not the heart
5 failure patients, necessarily, but the
6 paroxysmals and the persistents.

7 DR. YANCY: Yes, it does seem a
8 little bit arbitrary to say that the groups
9 are that discrete.

10 Dr. Tracy?

11 DR. TRACY: What may be a little
12 bit more discrete is the structural integrity
13 of the heart. That may be more important to
14 define protocols based on are you going after
15 the structurally intact or nearly intact
16 myocardium versus a patient with advanced
17 disease, heart failure.

18 DR. ZUCKERMAN: Okay. There's a
19 key point here that Dr. Page has introduced.
20 You're saying that the paroxysmals and
21 persistent could be studied in one randomized
22 trial, that they potentially are poolable

1 patient populations with similarly expected
2 effectiveness and safety outcomes at 12
3 months?

4 DR. PAGE: I'm not sure I exactly
5 said that, but I think they're similar enough
6 where studying them together would be
7 reasonable.

8 DR. ZUCKERMAN: Okay. But it's
9 important to clarify that. Usually when we
10 use the terminology similar enough, we're
11 implying putting those patient populations in
12 one trial because we think that this is --
13 these are poolable populations and it can be
14 studied in one trial.

15 That's the intent of this
16 question, as opposed to doing two trials, one
17 with paroxysmal and one with persistent.

18 DR. YANCY: Dr. Tracy?

19 DR. TRACY: I think it would be a
20 nightmare to try to separate those out,
21 because the same person can have paroxysmal as
22 can have persistent. So -- and vice versa.

1 So I think those two things inherently go
2 together. My distinction would be more based
3 on what type of heart will you include in the
4 study.

5 DR. YANCY: I would agree, unless
6 one of our electrophysiology colleagues can
7 tell us that there are some discrete clinical
8 demographic markers that really allow you to
9 separate the groups. I think we're setting up
10 an arbitrary circumstance, but I can be
11 corrected by Bob or David.

12 DR. PETERS: Well, I agree with
13 what Cynthia said. I mean, I think we may
14 have to look at differences not so much in
15 persistent versus paroxysmal, but the person
16 with a normal heart and either type of atrial
17 fibrillation versus the person who's got, you
18 know, ejection fraction of 40 percent and
19 cardios and various other things -- may be a
20 very different thing.

21 In terms of the use of drugs it's
22 going to have very different implications. So

1 those may have to be separated out in terms of
2 trials, actually.

3 DR. YANCY: David?

4 DR. MILAN: Yes, I have patients
5 who have only paroxysmal episodes, and I have
6 other patients who every time they go into
7 atrial fibrillation they need to be
8 cardioverted. And there may be some patients
9 that have a mix of both.

10 But it's been my experience, and I
11 think it's also been reported in the
12 literature, that the success rates with pure
13 paroxysmal atrial fibrillation is higher, that
14 pulmonary isolation is more efficacious in
15 that patient population than with persistent,
16 and that mixing of patients in some trials has
17 been used as an explanation for why their
18 efficacy rates have been lower.

19 So I think that there's sufficient
20 doubt about whether or not those patients
21 overlap enough to pool them that you might
22 want to stay -- I mean, if I were designing a

1 trial for approval of my catheter, I would try
2 to use patients who had exclusively paroxysmal
3 afib, because I think that's where the
4 efficacy is greatest.

5 DR. ZUCKERMAN: But on -- we don't
6 know if you're just observing a quantitative
7 interaction, that the risk benefit differences
8 may be different for these different
9 populations because of that covariate or other
10 ones, but --

11 DR. MILAN: Sure.

12 DR. ZUCKERMAN: -- Dr. Page's
13 point is that there's nothing intrinsically so
14 different that we're going to see qualitative
15 interactions, and why not pool these patient
16 populations.

17 DR. YANCY: Dr. Page, Dr. Somberg?

18 DR. PAGE: Yes, just to follow up,
19 I agree, there are some that are different.
20 There is some overlap. But I'm thinking ahead
21 kind of towards labeling. I think the
22 randomization -- because they're harder to

1 take care of clinically with drugs or with an
2 alternate ablation source also.

3 So if you've got adequate
4 randomization, I think you'd clearly
5 substratify them later and study them
6 separately, but in terms of your end point in
7 your overall trial I think we'd be better off
8 -- when this comes back to us to assess for
9 labeling, I'd rather be able to label it for
10 patients with paroxysmal and persistent rather
11 than paroxysmal alone.

12 DR. YANCY: Let's do this. We are
13 rapidly losing our critical mass. We've had
14 three people leave and two about to leave.

15 Dr. Zuckerman, can you accept the
16 feedback we've given you now on question
17 eight?

18 DR. ZUCKERMAN: Yes.

19 DR. YANCY: And can you also
20 extrapolate that to question nine?

21 DR. ZUCKERMAN: Yes.

22 DR. YANCY: Oh, thank you.

1 (Laughter.)

2 DR. YANCY: I forgot the mike was
3 on there.

4 (Laughter.)

5 DR. YANCY: Question 10, should
6 atrial fibrillation ablation trials
7 specifically study high-risk patients such as
8 those with heart failure? A, if the panel
9 does not feel that a specific potential high-
10 risk patient population should be included in
11 the clinical trials, can trial results using
12 restricted enrollment criteria be applied to
13 the general population?

14 B goes on to say if yes, are there
15 specific groups to which such results should
16 not be applied, such as patients with advanced
17 heart failure, severe left ventricular
18 dysfunction, or a giant left atrium?

19 And then finally, how should such
20 patient groups be handled in terms of device
21 indications, warnings, precautions?

22 I think we heard quite a bit of

1 commentary today about the heart failure
2 theme. It kind of permeated a lot of the
3 different discussions. So a couple of
4 summation comments here would be great.

5 Dr. Somberg?

6 DR. SOMBERG: Well, I think it's
7 important to try to get a heterogeneous
8 population for labeling, and you want to be
9 able to extrapolate from the study to the --
10 to the general population.

11 With that said, I do think there
12 are certain patients with heart failure below
13 a number -- and we can all quibble about this
14 number -- of what their ejection fraction is
15 that you certainly may want to consider
16 necessary in a substudy or in another study
17 before you go ahead and extrapolate it.

18 And I think people, you know,
19 under -- 25 percent and under are a different
20 subset of patients than those people who are -
21 - have mild left ventricular dysfunction, and
22 that should be taken into account.

1 DR. YANCY: If I might just
2 editorialize, let me just say that I think the
3 heart failure group is a unique patient
4 population. They have unique sensitivities to
5 anti-arrhythmic drugs as in a greater risk of
6 proarrhythmia, perhaps even precipitating
7 important clinical arrhythmic events.

8 The requirement for the adequacy
9 of background medical therapy is incredibly
10 important. They are on agents that have been
11 shown to modify the atrial substrate; that is
12 RAS blockers, either angiotensin receptor
13 antagonists or ACE inhibitors.

14 So I think there are enough
15 nuances in that patient population that it
16 should not be considered a subgroup in a
17 larger trial unless that subgroup is
18 prospectively robustly powered, but rather
19 they should be considered as a separate study
20 objective.

21 But that's expressing not a
22 position as chair but just from the heart

1 failure community about this patient group.

2 Dr. Peters?

3 DR. PETERS: I'd be concerned with
4 a group like this that the success rate would
5 be much lower, and I'd rather do our original
6 trial and then, as a technique evolves, maybe
7 tackle this other group.

8 The other point about this group
9 is they're all going to have defibrillators
10 anyway, so --

11 DR. YANCY: So it becomes yet
12 another issue, that's exactly right.

13 DR. SOMBERG: What group are you
14 both referring to when you say -- all heart
15 failure patients? So in other words you have
16 to have a normal ejection fraction to be in
17 the persistent, or are you talking about --

18 DR. YANCY: Reduced ejection
19 fraction.

20 DR. SOMBERG: Can you --

21 DR. YANCY: Reduced ejection
22 fraction on evidence-based therapy. That

1 would be ejection fractions of less than 35
2 percent, ACE inhibitors, ARBs, beta blockers.

3 DR. SOMBERG: Okay. I just think
4 that's a little -- 35 percent may be a little
5 too restrictive, because then you're going to
6 have the therapies really studied only in
7 normal populations and normal ejection
8 fraction.

9 But I do give deference to you
10 that there certainly is a point where heart
11 failure people become very distinctly
12 different.

13 DR. YANCY: Dr. Kato?

14 DR. KATO: Yes, I would have to
15 agree. The -- once you go below 35 percent,
16 you're going to be running into a lot of
17 people with defibrillators and maybe even
18 biventricular -- no, I should -- not
19 biventricular devices.

20 But I think that, you know -- I
21 the industry always has to worry about doing
22 a trial on a patient population that is,

1 quote, just sick enough to get a benefit out
2 of the therapy but not so sick as their
3 natural history, you know, may change the data
4 -- the complication rate.

5 But on the other hand, once it
6 becomes clear that it works, it's going to be
7 extrapolated to the general population anyway.

8 DR. YANCY: But here's just
9 another pragmatic issue. You're talking about
10 patients that may be marginally compensated,
11 who may go through a multi-hour procedure in
12 a prone position, with a dilated left atrium
13 and are subject to a number of potential
14 unexpected consequences just related to the
15 procedural issues.

16 DR. ZUCKERMAN: Yes. I think the
17 panel has gotten to the point of the question.
18 As Dr. Somberg indicated, ideally we would
19 like these trials to be as inclusive as
20 possible in their enrollment, but Dr. Yancy
21 has helped us define the edges of inclusion.

22 There is a high-risk patient

1 population here that we need to be sensitive
2 to, and if the sponsor doesn't want to enroll
3 them in their first trial, there are
4 legitimate scientific reasons for that.

5 DR. YANCY: Are there other
6 comments about other groups besides heart
7 failure?

8 DR. JEEVANANDAM: The only other
9 quick comment I make is, you know, you look at
10 giant left atria -- giant left atria are
11 usually associated with some kind of intrinsic
12 mitral valve disease, so if you have valvular
13 disease or any other type of structural
14 disease, I think they need to be excluded.

15 DR. YANCY: So this is actually an
16 important statement. It would be nice to get
17 some feedback here, because Dr. Jeevanandam
18 has suggested that significant valvular
19 disease might need to be excluded, and atrial
20 fibrillation has a high incidence in
21 individuals that have important mitral valve
22 disease.

1 Dr. Page?

2 DR. PAGE: Yes, I think if someone
3 has -- has severe M.R., for one thing, that's
4 indication for surgery, especially when
5 there's atrial fibrillation on board, so I
6 think severe valvular disease would be an
7 exclusion.

8 DR. YANCY: Is that an agreed-upon
9 consensus?

10 Dr. Zuckerman, are you satisfied
11 with our responses to question 10?

12 DR. ZUCKERMAN: Yes.

13 DR. YANCY: Question 11 -- I'll
14 give anybody a quarter if they can just say
15 yes or no.

16 (Laughter.)

17 DR. YANCY: Is it useful and/or
18 important to collect information concerning
19 atrial transport? If so, is there a specific
20 method that should be used? And B, what
21 comparisons should be used? And I think we
22 heard some comment from Dr. McCarthy on this