

1 DR. CANNON: Is that really true?
2 I'm looking at Table 23 and there's a patient
3 H10 and a patient H12, and if I'm reading
4 this correctly, "Time from start of first
5 infusion to hypotension," both of these were
6 about six hours.

7 DR. PRATT: So the H10 is the
8 cholecystitis patient I mentioned.

9 DR. CANNON: Okay. I'm sorry.
10 What about H12?

11 DR. PRATT: And H12 is placebo.

12 DR. CANNON: Oh, yes. Thanks.

13 CHAIR HIATT: But it seems that
14 the -- perhaps the electrocardiologic and the
15 hemodynamic effects of the drug are
16 reasonably well characterized, which
17 corresponds reasonably well to the PK of the
18 drug, but we don't really have a sense of if
19 there really are any outliers that might pose
20 special safety concerns, but the population
21 seems to be pretty well characterized, and,
22 does this need to be adjusted for 2D6

1 inhibitors or poor metabolizer phenotypes?

2 Anybody vote for that?

3 (No audible response.)

4 CHAIR HIATT: I don't think so,
5 not from what we saw.

6 Okay. Number 8, "How much of a
7 safety concern is bradycardia?"

8 Yes, Michael?

9 DR. LINCOFF: Only to my mind, I
10 wonder how many of the hypotensions were --
11 there are the hypotensive events and the
12 bradycardia events in these tables. I don't
13 know how many are the same patients because
14 there aren't patient identifiers. So, to my
15 concern, bradycardia would be of concern, if
16 it was -- to the extent that it correlates
17 with the hypotensive events.

18 DR. KITT: There were two.

19 CHAIR HIATT: And this is
20 bradycardia that's being monitored, so how
21 much of a concern is it? Not a big concern?

22 DR. MASSIE: Along Mike's point,

1 bradycardia is often a premonitory sign of
2 death, or it could just be anything else, you
3 know, so people get BGLed before they die.
4 The aortic stenosis patient, I think, got
5 bradycardic before they died, and then
6 there's just bradycardia. You got lots of
7 drugs? Well, they give you bradycardia, you
8 know, so it's a little hard to know, but it's
9 also a little bit hard to totally dismiss,
10 and if it's with hypotension, then I think it
11 has to be taken seriously.

12 DR. HARRINGTON: I mean if you
13 just -- you know, these tables, these are
14 concerning. I mean the heart rates are 30,
15 30, 36, 19, atropine, atropine, atropine,
16 neosynephrine, atropine. These aren't -- I
17 think somebody just said it, you know, these
18 are the SAEs. I mean these are bad events
19 and we've already made the comment that it's
20 not a large sample size, so I would agree
21 with Barry that I don't think we have these
22 totally characterized because of the

1 limitations of the infrequency and the small
2 sample size, but the ones that have occurred
3 aren't trivial in terms of their clinical
4 meaningfulness.

5 DR. CANNON: Can I get clarity on
6 this and the bradycardia? In looking at the
7 paragraph on the top of page 67, is it true
8 that the bradycardia associated with
9 conversion to sinus rhythm as opposed to
10 bradycardia with a patient who did not
11 cardiovert, they remained in atrial
12 fibrillation? It appears, in quickly reading
13 this, that that frequency was no different
14 from placebo, that the increased frequency of
15 bradycardia was associated with conversion to
16 sinus rhythm. Is that correct?

17 DR. KITT: Yes, that is correct.
18 Can I have the slide up, please?

19 We did look at this and the
20 incidence of bradycardia looking at adverse
21 events and ECG Holter data defining a heart
22 rate of less than 40 and there is a higher

1 incidence of the vernakalant group compared
2 to the placebo group. Once again, this is in
3 our zero to two hour time period. And if you
4 look at the number -- or the percentage of
5 patients who converted to sinus rhythm after
6 receiving vernakalant and had one of these
7 events considered a bradycardic event, it was
8 7.8 percent, compared to none in the placebo
9 group, but, please, once again, the numbers
10 are small here. Only 15 patients in the
11 placebo group converted to -- spontaneously
12 converted to sinus rhythm. And then, when
13 you look at the patients who remained in
14 atrial fibrillation, it's four percent in the
15 placebo group, 4.3 percent in the vernakalant
16 group.

17 So we believe the excess
18 bradycardia that we saw was due to conversion
19 to sinus rhythm, and down here, this gives
20 you the event of the bradycardia event post-
21 conversion for those 21 patients, and you can
22 see that 15 of those 21 patients had that

1 event of bradycardia occur in less than five
2 minutes, four was in five to 15 minutes after
3 converting to sinus rhythm, and in two, it
4 was more than 15 minutes after converting to
5 sinus rhythm. So we believe that the
6 bradycardia is associated with conversion to
7 sinus rhythm.

8 DR. LINCOFF: Well, that should be
9 fairly easy to test. I mean you've
10 disadvantaged yourself by confining this
11 analysis to zero to two hours when
12 essentially all the conversions were in the
13 vernakalant group. So if you extend it out,
14 we know that by 24 hours, virtually 80
15 percent or an equal number of patients have
16 converted. So what were the bradycardic
17 rates if you encompassed that entire time,
18 which would then speak to the question of is
19 it the conversion or is it the drug that
20 causes the bradycardia.

21 DR. HARRINGTON: Well, then they
22 should be equal, Mike, because at the end of

1 24 hours, the same number of people are in
2 sinus rhythm, but if you look at Table 21,
3 which is 24 hours --

4 DR. LINCOFF: But that's zero to -
5 - oh, that's 24. Okay, zero -- because Table
6 20 is zero to two hours.

7 DR. HARRINGTON: But Table 21 is
8 the bradycardia SAEs within 24 hours and
9 there's only two in the placebo group.

10 DR. LINCOFF: But -- so that had
11 to lead to discontinuation of study drug,
12 since after two hours, the study drug was
13 done.

14 DR. HARRINGTON: Or an SAE.

15 DR. LINCOFF: Right, but I'm
16 saying some of those -- I don't know how
17 these were classified. Some of these may
18 have been -- in other words, if they didn't
19 stop -- if there wasn't a study drug to stop,
20 it became an SAE by virtue of the fact that
21 you had to change your therapy. If there was
22 a study drug to stop, it may not -- the same

1 event may not have qualified as an SAE.

2 DR. KITT: No, these were SAEs at
3 either prolonged hospitalization or were
4 considered medically important by the
5 investigator.

6 DR. LINCOFF: So did you not have
7 the same number that -- so what happened to
8 all those people in the control group, in the
9 placebo group, who converted between hours
10 two and 24? Did they also experience
11 bradycardic events?

12 DR. KITT: Slide up, please. I
13 may need some help from my statistician on
14 this.

15 So here is, once again, looking at
16 the different data sources and here's the
17 incidence of bradycardia in the placebo
18 group, 11.1 percent versus 5.6 in the
19 vernakalant group, comparing those who had
20 electrical cardioversion/vernakalant versus
21 those who received placebo and had successful
22 electrical cardioversion versus other.

1 DR. HARRINGTON: But what I'm
2 still not sure of is that -- is the
3 bradycardia different with the drug than it
4 is with electrical cardioversion? Ellis, are
5 you going to help us with this?

6 DR. UNGER: Yes, thanks. Let me
7 just backtrack to one point in the briefing
8 document, page 64. I'm not sure we would all
9 agree with the definition of bradycardia that
10 you used in terms of the adverse event data.
11 If you look at the bullets there, you put in
12 AV block, bundle branch blocks, and things
13 like that. I'm not sure they necessarily
14 mean bradycardia.

15 But I did an analysis using the AE
16 data set and using all of the Holter and all
17 of the AG data, every RR interval, and I have
18 a license to explore the data, so this is
19 good. And I looked at the zero to two hours
20 after drug, and I also looked at zero to two
21 hours after a shock and I looked at shocks
22 with vernakalant onboard and with vernakalant

1 not onboard, and there was a trend towards
2 more bradycardia with vernakalant.
3 Unfortunately, I don't have a slide and it
4 wasn't in the document. It's on my flash
5 drive and that doesn't help us here.

6 At any rate, there was slightly
7 more bradycardia, but the inescapable fact
8 was that there were more patients who
9 received vernakalant who had SAEs for
10 bradycardia and that's a regulatory
11 definition of an SAE, and so, because of
12 that, I think you just have to -- you know,
13 myself, I err on the side of caution when I
14 say there's more bradycardia, but, in fact,
15 there was quite a bit of bradycardia just
16 from a shock, within the two hours after a
17 shock.

18 DR. MASSIE: But I'm just looking
19 at that Table 21 and at least B2 and B12 and
20 B13 occurred three hours, four hours, and
21 five hours and 43 minutes after the study
22 drug. They may have been related to

1 cardioversions at those times. One says
2 attempted and two of them did happen, and
3 there's one in placebo that also is four
4 hours afterwards, but the numbers are small.
5 I just think that this is not adequately
6 defined and that, you know, I sort of have
7 this lingering feeling that you may be happy
8 that you monitored them in the long run, at
9 least until you were more sure it's safe.

10 CHAIR HIATT: Yes, so trying to
11 summarize that, it does sound like conversion
12 is associated with cardioversion, that there
13 may be a drug effect on top of that, and
14 that, again, that just documents the
15 importance of an adequate monitoring window.

16 Should we move on? Number 9, "How
17 much of a safety concern are thromboembolic
18 events, including strokes?"

19 So, actually, I think this
20 additional analysis provided by the sponsor
21 helps us and actually I think the embolic
22 events at the -- well, if you go out to 24

1 hours, there's reportedly one on placebo and
2 none on vernakalant, and if you go out to 24
3 to 70 hours to seven days, the rates are 0.89
4 percent on placebo, 0.3439 percent on drug.
5 So there doesn't appear to be a signal
6 thromboembolic event. I mean we kind of hit
7 that early in the discussion in terms of
8 potential efficacy. So we could say that we
9 don't know if there's a signal there of
10 safety concern either.

11 Anybody disagree with that?

12 (No audible response.)

13 CHAIR HIATT: Are there other
14 safety concerns?

15 DR. LINCOFF: Hypotension.

16 CHAIR HIATT: Hypotension. The
17 one case, a sort of fatal VF, which might
18 have been the wrong patient, but, still, they
19 died, and it would seem to be really clearly
20 drug-associated.

21 DR. HARRINGTON: Yes, so maybe --
22 could we talk about that?

1 CHAIR HIATT: Yes, please.

2 DR. HARRINGTON: So, as Mike
3 pointed out and I was raising the question,
4 and I don't know what is the chicken and the
5 egg here, but the fact is that there was a
6 patient who was -- who sounds pretty sick
7 when he or she -- it was a he -- got into the
8 trial, had critical AS. Whether or not that
9 was known at the time he was randomized --
10 presumably it was, got hypotensive, and as we
11 all know, when critical AS patients get
12 hypotensive, it's very -- it can be -- they
13 can get real sick, real fast, and then it
14 degenerated into VF and death.

15 I worry greatly about how well the
16 drug safety has been characterized in a group
17 of patients that might get treated in
18 everyday practice. I worry greatly about
19 that.

20 CHAIR HIATT: Well, I raised
21 similar concerns earlier in the morning,
22 because I think as the -- as any drug gets

1 deployed into the population, there are going
2 to be sicker patients who will be exposed to
3 the drug and there's a possibility that
4 patients like this could have a drug-related
5 death, directly linked to the drug being
6 infused, and I think we talked about that
7 earlier. Can you really mitigate against
8 that? Perhaps partially, but not completely.

9 So that's an event that just
10 stands out there, in my mind, as clearly
11 something that had the strategy -- had that
12 patient been randomized to placebo, I don't
13 know what would have happened to them. They
14 might have died anyway, but maybe 90 minutes
15 later.

16 DR. MASSIE: You know, this --
17 unfortunately, not every -- I mean, I've
18 watched, at least from a distance but not
19 close enough distance, people who had come in
20 with tachycardia and aortic stenosis given
21 beta blockers and died. It's stupidity and
22 malpractice, but it's associated with the

1 drug, nonetheless. So I share your concern
2 that -- but we were talking -- wasn't there
3 something in the label or a proposed label
4 about hemodynamically stable? I mean, I
5 think there's a couple of things we -- you
6 know, hemodynamic stability and now they're
7 being conservative about heart failure.
8 Well, I think, in fact, probably get --
9 minimize certain high-risk groups if people
10 read the label. I mean that's, you know, of
11 course, an issue, but I think those are
12 concerns that one would have giving a drug
13 that has some hemodynamic effects.

14 You know, what do you when
15 somebody's going at 180 in AFib and, you
16 know, their blood pressure's low? I don't --
17 I think I'd rather convert them electrically
18 than give this drug, even though it's
19 tempting to reach for it and give it. But --
20 so I think those things need to be addressed in
21 the label. Hemodynamic stability, you know,
22 is, I think, important, and talking about --

1 at least emphasizing the risk of heart
2 failure or saying it's not for those
3 patients.

4 DR. LINCOFF: And I'm not
5 disagreeing that, clearly, patient selection
6 is an issue, but this patient was 64. I
7 don't know if his aortic stenosis was known.
8 His blood pressure was normal 130/90 when he
9 came in, and his troponin was elevated, but I
10 don't know if they knew that, you know. So
11 this wasn't a red -- one of these obvious,
12 glaring red flags of, you know,
13 hemodynamically markedly unstable patient.
14 They may or may not have known about the
15 aortic stenosis. Certainly we should be
16 listening to patients' chests, but they don't
17 always.

18 You could see this kind of thing
19 happening fairly often, a relatively young
20 guy comes in with a blood pressure that's
21 okay and a heart rate of 150 beats per minute
22 may fib and he gets treated this way. The

1 only thing that you can fault in this
2 management of that particular patient is he
3 got profoundly hypotensive and he still got
4 the second infusion and then became
5 profoundly hypotensive, but --

6 CHAIR HIATT: See, I totally agree
7 with that assessment. I just don't think
8 we're -- I think there's the risk of possible
9 direct drug-related events that could occur
10 with this compound. Kitt?

11 DR. KITT: The investigator did
12 know that he had critically aortic stenosis
13 before they dosed him and they did give him
14 both IV and oral metoprolol, following which
15 he became hypotensive, which he required
16 saline resuscitation. He got the first dose.
17 He became once again severely hypotensive and
18 they once again resuscitated him, and after
19 they gave him that fluids, he actually was up
20 and sitting in bed and then they went ahead
21 and gave him the second dose, after which he
22 had his fatal ventricular arrhythmia.

1 So I don't know, if he had not
2 received that second dose, once again, purely
3 speculation what would have happened, but,
4 you know, our -- the hypotension that we have
5 seen in our clinical studies have all, except
6 for, of course, this case, responded to
7 stopping the infusion and giving them saline
8 and is fairly readily reversible and
9 manageable in a monitored setting.

10 CHAIR HIATT: Right, and I think,
11 you know, having read all that information,
12 too, and I think we all appreciate that, that
13 in hindsight, this is the kind of patient
14 that a lot of things occur that contributed
15 to their demise, as is the other patient with
16 the -- that there were other contributing
17 factors and there are certainly deaths that
18 occurred that were not apparently drug-
19 related, but they still happened on the drug
20 group. And so my concern is, is that, you
21 know, this hasn't changed. I think that
22 there's -- in that situation, had the trial

1 been a head-to-head electrical cardioversion
2 versus drug, you know, we don't know if those
3 patients might have survived had they been
4 cardioverted. We don't know that, and so we
5 have to accept the possibility of a drug-
6 related increased risk. A small rate, but
7 when you extrapolate to tens of thousands of
8 patients, that risk is probably real.

9 DR. HARRINGTON: And for me, this
10 is, you know, it's sort of you can't regulate
11 good sense and I don't worry about Peter,
12 Jeremy, Ed, Craig, the rest of the guys back
13 here using a drug like this. I don't -- that
14 wouldn't keep me up at night, but I do worry
15 about the general guy just trying to make it
16 through the day and you have a drug for which
17 the -- you know, it's overall effects has not
18 been well characterized in the broader
19 population of patients with atrial
20 fibrillation, and the uncertainties here, I
21 think are great, that with a small -- you
22 know, this comes up frequently at these

1 meetings. Norm doesn't ask us to come talk
2 about the easy ones. It's when there's --
3 there's just this sort of situation where
4 there's just not a lot of data and you're
5 dealing with a lot of uncertainty and you're
6 dealing with a group of patients that things
7 can go bad in a hurry.

8 DR. MASSIE: Actually, how many of
9 these patients were treated in the ER? Was
10 that part of this protocol? Versus admitted
11 to the hospital somewhere, presumably a
12 monitoring unit, of course. Were people
13 treated in the ER?

14 DR. KITT: Yes, they were treated
15 in the ER.

16 DR. MASSIE: Do you know how many?

17 DR. KITT: No.

18 DR. MASSIE: I mean is it rare or
19 was this the common way of doing it?

20 DR. KITT: It was country-specific
21 and I don't know the exact number of ER docs
22 versus cardiologists who are in the study.

1 DR. HARRINGTON: So tell us that.
2 By country, where were they treated? If it
3 was country -- for example, in the U.S.,
4 where are the patients treated?

5 DR. KITT: Just a minute, please.

6 DR. MASSIE: I say this, and I
7 don't want to sound prejudiced, but ER docs
8 don't have a great deal of time to review
9 records. They don't tend to listen to the
10 heart so regularly, and I am concerned about
11 that as I am treating other types of patients
12 in the ER. It's just a whole different
13 setting where there's not as much time to
14 think about things and there are a lot of
15 other pressures that aren't there.

16 I don't think I've heard too much
17 in the way of labeling that tells you where
18 you get to use the drug and I'm not sure I'm
19 proposing that, but I would try in your
20 promotional and educational activities to say
21 this is a drug that you have to be able to
22 know the patient well and monitor them

1 closely for a period of time.

2 DR. LINCOFF: And, I mean, I think
3 explicitly one can say this is a drug that
4 can definitely cause hypotension, can cause
5 fairly severe hypotension. It's responsive
6 to therapy, but in a vulnerable patient, a
7 patient who may deteriorate in an exaggerated
8 fashion with hypotension, that's where the
9 contraindication is, that's where the medical
10 judgment comes in, and I think that's a much
11 more real risk or much more -- numerically
12 more common risk than the pure arrhythmias --
13 than the ventricular arrhythmias, which, you
14 know, has been the focus here and I
15 understand for this -- these types of drugs,
16 it often is, but I think the hypotension is a
17 much more real risk because I think this case
18 illustrates what could well happen when
19 rolled out into practice.

20 DR. KITT: I'd just like to say
21 that all the sites in Canada were emergency
22 room physical sites.

1 CHAIR HIATT: Okay. So I think
2 that there are some unresolved risk issues.

3 "Is the risk management plan
4 proposed by the sponsor appropriate for the
5 safety concerns?"

6 Do we maybe want to just hear what
7 that's going to be again, the risk management
8 plan?

9 DR. KITT: Okay. We need to go
10 back to the beginning. There's still more,
11 more. Try, like, 81. Okay, slide up,
12 please.

13 So there are four components to
14 our risk management and post-marketing
15 studies. The prescribing information,
16 healthcare provider education,
17 pharmacovigilance and reporting, and post-
18 marketing studies.

19 Next slide, please. Currently,
20 our proposed package insert would have an
21 indication of atrial fibrillation of short
22 duration, less than or equal to seven days.

1 Patient should be hemodynamically stable.
2 They should be symptomatic, wherein, the
3 physician's opinion that they -- the person
4 should be converted to sinus rhythm. They
5 should be adequately anticoagulated according
6 to the HHA ACC/ESC guidelines. The QT
7 interval should be less than 440
8 milliseconds.

9 Under warnings and precautions, we
10 have --

11 CHAIR HIATT: What is that?

12 DR. KITT: That is an uncorrected
13 QT. Warnings and precautions, do not
14 administer to patients with an acute MI,
15 acute coronary syndrome, or symptomatic
16 and/or decompensated congestive heart
17 failure. We did not evaluate patients with
18 an MI or acute coronary syndrome within the
19 previous 30 days, so we have no data to
20 support that data, and then the last point is
21 administer with caution to stable patients
22 with a history of congestive heart failure.

1 Next slide, please. Vernakalant
2 should be administered in an acute care
3 clinical setting where resuscitation
4 equipment is available. We have recommended
5 a minimum of 90 minutes following the
6 completion of the last infusion, or until the
7 patient is clinically stable and ECG
8 parameters have stabilized, and we have -- in
9 our label, we've identified the -- we've
10 identified risks and management of adverse
11 events. The study drug should be
12 discontinued if clinically significant ECG
13 changes, if the patient becomes bradycardic
14 or hypotensive, and these events should be
15 treated symptomatically with appropriate
16 medical management.

17 Next slide. Our education plan is
18 going to be comprehensive for what we believe
19 is the targeted specific audiences. We'd be
20 -- this drug would be used by cardiologists,
21 critical care or emergency room physicians.
22 We would also educate allied healthcare

1 personnel, such as pharmacists or critical
2 care nurses or the ER nurses, and our
3 education, the basis, then, would be the
4 package insert.

5 Next slide, please. Next slide,
6 please.

7 Oh, okay. Next slide, please.
8 Oh, wait. I'm sorry. It's getting late for
9 me.

10 Our pharmacovigilance plan,
11 routine adverse event reporting with emphasis
12 on events of ventricular arrhythmia and
13 deaths, reviewing the literature for reports
14 of adverse event, data mining using the FDA
15 AERS database or other commercially available
16 databases. We, of course, would follow
17 regulatory requirements in terms of periodic
18 adverse event drug experience reporting to
19 the FDA, and we have computerized signal
20 detection, looking for signals and analyzing
21 those signals.

22 Next slide, please. Okay. We

1 have ongoing study on effective vernakalant
2 on P-glycoprotein transporters. We have
3 planned a study on the effect of ventricular
4 defibrillation threshold. Ongoing
5 clinically, there's a PK study in hepatically
6 impaired patients, as well as in renally
7 impaired patients, and we have -- some
8 studies that we have planned: efficacy and
9 safety trial to be done in Europe. We have a
10 plan to do a study in non-Caucasian patients
11 and patients with a history of congestive
12 heart failure, as well as an observational
13 study, which is on the next slide. And this
14 observational study would be done to assess
15 the real world adverse event experience,
16 focusing and looking at events of torsade,
17 not just ventricular fibrillation, but
18 ventricular arrhythmia, bradycardia,
19 hypotension, and death, and the design of
20 this study has yet to be determined or to be
21 designed, in discussions with the FDA as well
22 as with external experts to see if it would

1 be a registry and/or would it be a mining of
2 managed care or hospital care databases, and
3 we would anticipate that this would be about
4 2,000 patients.

5 CHAIR HIATT: My only comment on
6 all that is the observational study and I
7 think, I'm no expert on that, but I would
8 worry, in real life situations, that
9 decisions about treatment choice and
10 comorbidities and things that might affect
11 outcomes that we've highlighted here, such as
12 VF death, torsade, you know, interpreting
13 those safety signals is going to be challenging.
14 So I think it needs to be not kind of a
15 registry but a formal, observational study
16 where lots and lots of variables are gathered
17 on each patient and are used in statistical
18 models to adjust for both treatment decision
19 and potential variables that might affect
20 outcome, and I think it would probably
21 be inadequate just to rely on kind of standard
22 reporting mechanisms or just registering

1 patients in a registry. Those are my only
2 comments.

3 DR. MASSIE: There are registries
4 and registries. I think a rigorous registry
5 might be helpful and would probably be better
6 than mining databases. I'm not sure, but I
7 thought, based on the experience, and we
8 talked about that hemodynamically significant
9 obstructive valve disease is one I might put
10 there if there's only one case, you know, or
11 emphasize the hemodynamic stability. This
12 person failed both those criteria, the one
13 that died.

14 DR. KITT: We actually do -- I'm
15 sorry. We do actually have that in our
16 proposed label. It's just not -- it's not on
17 that slide.

18 DR. MASSIE: Okay. And I don't
19 know about size. I think, you know, you'd
20 have people to advise, but the
21 pharmacovigilance, I would certainly look for
22 reports of hypotension and bradycardia and

1 related things like AV block and sinus node
2 dysfunction too, not just the arrhythmia
3 ones.

4 CHAIR HIATT: Okay. So, what's
5 some caveats? It sounds like a particular
6 focus to the formal studies, looking at
7 safety events.

8 Any other comments on their
9 proposed --

10 DR. HARRINGTON: Let me just ask
11 one question, Bo.

12 CHAIR HIATT: Yes.

13 DR. HARRINGTON: How big do you
14 estimate the market is? How many patients do
15 you estimate would get treated over the next
16 -- per year, over the next several years, you
17 know, understanding there's a ramp-up and all
18 of that? I'm just trying to gauge what the
19 population effect might end up being. I
20 suspect some smart marketing person has
21 figured this out.

22 DR. KITT: I have no idea.

1 DR. HARRINGTON: I don't believe
2 that somebody from the sponsor hasn't looked
3 at that.

4 DR. KITT: I'm sure our marketing
5 department has looked at that, but I don't
6 know.

7 CHAIR HIATT: Well, obviously he's
8 getting at kind of the sense of exposure.
9 You know, how hard is it going to be find
10 patients for a quality kind of observational
11 study? You know, will this be something that
12 is promised and then fizzles and fades post-
13 approval where we don't get any meaningful
14 information --

15 DR. HARRINGTON: Well, then I have
16 another question, which is, is a sample size
17 of a couple hundred patients adequate to
18 categorize safety for a drug that might be
19 given to 100,000 patients a year? And if you
20 told me there was only going to be 500
21 patients treated, then I weigh the
22 availability of a patient population to do

1 further studies. If you told me that the
2 patient sample size is 100,000 patients and
3 you have a sample size of exposed patients of
4 a couple hundred, I'd think of it
5 differently.

6 CHAIR HIATT: Well, you know, one
7 of my early calculations this morning was,
8 you know, if one in a 1,000 patients is
9 irrevocably harmed by this drug, then how
10 many -- you know, at what point do you start
11 saying there's a number of people dying on
12 the drug over, maybe, the conventional
13 approach, would you get concerned? And so
14 that exposure is relevant.

15 My guess is, given the magnitude
16 of the problem, easily available drug. It's
17 kind of a nice alternative. There's lots of
18 ways to use it. It might get used more than
19 you think.

20 DR. HARRINGTON: No, I'm actually
21 thinking it would get used as much as I
22 think. That's why I asked it.

1 CHAIR HIATT: Any other comments
2 on the monitoring for safety? Okay.

3 Question 12, "Is another study
4 necessary to confirm the appropriateness of
5 the dosing recommendations? If so, in what
6 population should it be conducted?"

7 DR. HARRINGTON: I was looking at
8 that last night. Could you rephrase that,
9 Norm? Do you mean that, specifically, the
10 dose that they chose, because they had the
11 phase II dose and then they reversed it for
12 phase III, or do you just mean are there
13 other studies that we think should be done?

14 DR. STOCKBRIDGE: No, this
15 specifically had to do with addressing the
16 particular regimen that sponsors were --

17 DR. HARRINGTON: The three
18 followed by two?

19 DR. STOCKBRIDGE: Are you happy
20 with that? Do you think that needs to be
21 replicated? That's all it asks.

22 DR. MASSIE: There was also a lot

1 of discussion in the review about the
2 difference between men and women and that
3 potentially not being justified by clinical
4 data. Is that something --

5 CHAIR HIATT: That's tomorrow.

6 DR. MASSIE: Because there is a
7 difference between men and women here. No?
8 Okay, take it. It's getting late. Sorry.

9 DR. HARRINGTON: Could you put up
10 -- there was a slide from the phase II data
11 that showed the dose effect and I'm trying to
12 find it.

13 CHAIR HIATT: CRAFT?

14 DR. HARRINGTON: Yes, from CRAFT.
15 It's not a lot of patients, but there does
16 appear to be a -- you know, that there is an
17 effect of the two that you don't see with the
18 one or the 0.5, but it's a very small number
19 of patients. So is it definitive? No. It
20 suggests that the three followed by two is a
21 reasonable dose, but if you told me that it
22 needed to be better defined, I wouldn't argue

1 with that. On the other hand, it seems like
2 a pretty good place to start, three and two.

3 CHAIR HIATT: Yes, I agree. I
4 mean I think if things are to move forward,
5 it's good enough to go for clinical practice.

6 Okay. We have to distribute some
7 voting pads, so why don't we just break for
8 five or ten minutes?

9 (Whereupon, the foregoing matter
10 went off the record at 4:08 p.m. and went
11 back on the record at 4:19 p.m.)

12 CHAIR HIATT: We're coming up now
13 to the voting question, and the process to do
14 this is a little different than maybe years
15 past meetings, but it's standard now, that
16 we're going to have a -- I'm going to ask the
17 Committee if there's any other issues, or
18 concerns, or information you'd like, and then
19 once that's done, we'll actually do the
20 voting, and that will be projected. Then
21 we'll go around, I'll ask you to state your
22 vote, and give a short rationale for your

1 decision.

2 So, Rob, let me start with you.

3 Is there any data on safety or efficacy that

4 you think needs to be further deliberated

5 before we vote on should this drug be

6 approved for the conversion of atrial

7 fibrillation?

8 DR. HARRINGTON: No, I'm okay with

9 the discussions we've had.

10 CHAIR HIATT: Okay. So no further

11 qualifications or anything. Okay. Please go

12 around the room. Barry.

13 DR. MASSIE: I think we've brought

14 up all the important issues, and I think

15 there's --at least I get -- I have a feeling,

16 and there may be others that we do need some

17 additional information down the line, at

18 least. But I think there's nothing else I

19 would ask for right now.

20 DR. LINCOFF: I agree.

21 CHAIR HIATT: Yes, I have no

22 further questions.

1 MR. FINDLAY: No, fine.

2 MR. SIMON: I'm fine. Thank you.

3 DR. CANNON: I think all the
4 important issues have been discussed.

5 DR. KASKEL: I agree.

6 CHAIR HIATT: All right. So now
7 what you're going to do is take your little
8 voting pad, one is yes, two is no, three is
9 abstain. So let's go ahead and vote now, and
10 I guess you wait a few seconds until everyone
11 has voted. Should Vernakalant be approved
12 for the conversion of atrial fibrillation is
13 the question, and the voting is occurring.
14 And should we -- has everyone voted, can you
15 tell?

16 Okay. So let's go around the room
17 the other way, and just state your vote, and
18 give a short explanation why.

19 DR. KASKEL: I voted yes, and I
20 think we've reviewed in pretty good detail
21 the indications for the use.

22 DR. CANNON: I voted yes. I think

1 there is a need for pharmacologic conversion
2 of atrial fibrillation in symptomatic
3 patients, and in the post-operative setting
4 for patients who have heart surgery. I think
5 we've discussed the safety issues, and I
6 think they're reasonable, and I think there
7 will be appropriate surveillance after
8 marketing.

9 MR. SIMON: I voted yes, and I
10 like the idea of either electrocardio version
11 or a pharmacological. It gives the patient
12 an option, as well as his physician. And I
13 think the safety issues and what it will do
14 are adequate.

15 MR. FINDLAY: I voted yes, as
16 well. I felt from the day's discussions,
17 which I thought were very, very good, that on
18 balance this drug ought to be an option for
19 doctors and patients out there.

20 CHAIR HIATT: I voted no. I felt
21 that the safety concerns were not fully
22 defined, including mortality concerns. And I

1 felt the efficacy was very short-term, and
2 included symptomatic relief, and prevention
3 of electrocardio version, which is an
4 acceptable alternative.

5 DR. LINCOFF: I voted yes. I
6 think within the -- it should be -- it's a
7 worthwhile addition to the armamentarium to
8 the conversion of atrial fibrillation given
9 that although the limitations need to be
10 highlighted in terms of which patients for
11 whom we do not have adequate safety data, and
12 that overall the safety data set should be
13 expanded with a post marketing study.

14 DR. MASSIE: I voted yes, as well.
15 I do have concerns about who it should be
16 used and other things. I think we know
17 enough, however, to craft a label that would
18 at least be the appropriate guidance with all
19 the provisos that the guidees don't always
20 read the label. And I think we need to
21 collect an important amount of post marketing
22 data to get further information. But I

1 thought it does convert, and I wasn't as
2 concerned about safety to say that it
3 overrides it at this point in time, if used
4 appropriately.

5 DR. HARRINGTON: I voted no. I
6 think that this could be a useful drug for
7 physicians. I don't believe that a drug that
8 potentially could be used in a very large
9 population of patients should count a couple
10 of hundred patients exposed as adequate to
11 define both the benefits and the risks. I
12 don't believe that these kind of data can be
13 obtained in a post marketing way as reliably
14 as they can in a pre-marketing way, because
15 of the incentives that are involved pre-
16 marketing versus post-marketing.

17 CHAIR HIATT: Okay. Thank you.
18 So the majority said yes, two said no.

19 DR. LINCOFF: A question and
20 comment. With the new legislation, the FDA
21 has the authority now to mandate a post-
22 marketing study of a specific type with

1 penalties associated with failure to do so.

2 Is that correct?

3 DR. STOCKBRIDGE: It is my
4 understanding that the details of how that
5 might get implemented have not been worked
6 out, so theoretically that's true. But I'll
7 point out that it's been theoretically true
8 that we could enforce a commitment made under
9 Subpart H, and we patently failed to do that
10 in some cases, so we'll have to figure out
11 how this -- I would not rely upon that being
12 a method of insuring that something gets
13 done.

14 MR. FINDLAY: Yes, I'll comment on
15 that. I think the sponsors have been
16 responsive to that today probably in response
17 to the legislation. And I believe going
18 forward over the next few years that all the
19 FDA panels should get in gear around that
20 issue once the policy, and the way the FDA is
21 going to implement that is clarified.

22 CHAIR HIATT: Okay. So Question

1 14, let's just try to wrap up. If you
2 conclude that Vernakalant should be approved,
3 to what range or duration of atrial
4 fibrillation should approval apply? And
5 you've seen the sponsor's recommendation.
6 Richard.

7 DR. CANNON: I would limit it to
8 48 hours, and I say this for two reasons. I
9 think beyond 48 hours, I think the efficacy
10 may diminish, and they're exposed to the
11 risk. And I think the risk-benefit ratio may
12 change after 48 hours.

13 And the second reason is that, for
14 some of the reasons I stated earlier, it may
15 create confusion to a practitioner to have a
16 drug that's labeled for five, six, seven days
17 and a patient who has not been
18 anticoagulated. That goes against the
19 guidelines regarding the need for
20 anticoagulation beyond 48 hours, and I'm
21 afraid there will be confusion that it's not
22 necessary for a patient to be anticoagulated

1 if they've been in atrial fibrillation for
2 five days, or six days. So I would recommend
3 limiting it to 48 hours.

4 DR. STOCKBRIDGE: Is that zero to
5 48 hours?

6 DR. CANNON: Yes.

7 DR. MASSIE: Well, at least three,
8 I mean --

9 DR. CANNON: To get to the
10 hospital.

11 DR. STOCKBRIDGE: He said zero.

12 DR. CANNON: Okay. Three hours to
13 48 hours.

14 DR. LINCOFF: I certainly think we
15 ought to -- I mean, as you pointed out, part
16 of the reason that -- Dr. Cannon, part of the
17 reason that some of these patients in this
18 trial may have been done later is that they
19 were in the hospital, they may have been
20 anticoagulated, so I don't think we want to
21 remove the physician's right to say, have a
22 patient, observe them for a day or so on

1 anticoagulation, decide that they're not
2 going to convert, and then on 72 hours to
3 cardiovert them with this, rather than using
4 DC cardioversion. So I don't think -- I
5 mean, the issue of anticoagulation and
6 guidelines is separate from how you approve
7 the drug. I think we ought to approve the
8 drug on the basis of what we think is the
9 efficacy and safety, and hope that practice
10 guidelines and other ways will enforce the
11 proper use of anticoagulation.

12 Certainly up to 72 hours it
13 appears that even with the subset analysis to
14 be a fairly high rate of conversion. And my
15 bias, as I had mentioned before, is to take
16 the overall point estimate, and not be overly
17 influenced by a small subgroup exploratory
18 analysis, so I would say three hours to seven
19 days.

20 DR. MASSIE: I'm a little torn on
21 this. I think at the very least, as I said
22 earlier, the label should indicate that the

1 data do suggest that there may very well be
2 less efficacy beyond 48 hours.

3 Now I'm much more ambivalent about
4 saying it should only be used from three to
5 72 hours, because when you look at it, that's
6 a pretty arbitrary cutoff, if you look at the
7 bars. But I think when you put all the bars
8 after 48 hours together, that seems less
9 arbitrary. And, therefore, I think it should
10 be clearly stated how low the efficacy is
11 beyond 48 hours.

12 CHAIR HIATT: Okay. I think we
13 fleshed out that duration thing pretty well.
14 Should it extend to patients with recent MI
15 or heart failure? Again, we saw that data
16 pretty clearly.

17 DR. CANNON: Certainly not recent
18 MI, even the sponsor doesn't advocate that.
19 And heart failure, I think they have told us
20 that certainly Class 3, Class 4 heart
21 failure, certainly Class, and I think they
22 said Class 3, as well, that they would not

1 advocate its use. But I think it should be
2 clear in the labeling that the efficacy in
3 heart failure, I think even in Class 1 and
4 Class 2, may be less than patients without a
5 history of heart failure.

6 DR. MASSIE: Okay. I would agree
7 with that, and I think we should also
8 emphasize that in terms of the hemodynamic
9 stability part of the label, too, in terms of
10 heart rate, blood pressure, and heart failure
11 status they should be hemodynamic, be stable.

12 DR. LINCOFF: I agree. I think if
13 the FDA is going to approve this drug on a
14 relatively small number of patients, we ought
15 to take the potential safety signal seriously
16 and make it a fairly narrow indication label.

17 CHAIR HIATT: Should a claim
18 extend to atrial flutter? No, the sponsor
19 didn't want that either. Are there any post-
20 marketing commitments appropriate, such as a
21 study to study use with beta blockers? They
22 were con meds in the trials you saw. Any

1 formal study on beta blockers? No?

2 DR. MASSIE: Well, actually, I
3 hadn't realized they were totally
4 contraindicated, but if they are, I think --

5 CHAIR HIATT: No, they're not.
6 They were in the --

7 DR. MASSIE: They were in, yes.

8 CHAIR HIATT: They were
9 concomitant medicines in the study.

10 DR. MASSIE: Right. And I don't
11 remember any analyses that actually showed
12 any particular problem in people with both,
13 but I'm not sure I saw any that showed it was
14 safe either.

15 DR. KITT: About 70 percent of our
16 patients were receiving rate control
17 medications, and we saw no safety signal in
18 those patients.

19 CHAIR HIATT: So no one is
20 strongly recommending that. To study the
21 effect on ventricular fibrillatory threshold.
22 I think you all commented about a study to do

1 that.

2 DR. MASSIE: This would be an
3 experimental study, presumably in a dog model
4 or something?

5 DR. KITT: It would be a dog model
6 study.

7 CHAIR HIATT: How about in non-
8 Caucasians?

9 DR. MASSIE: I really think that
10 we need data. There would be control data in
11 a post-market study, which control data is
12 always better than registry data. That can
13 be authorized, but not unique to them, but
14 that's an area where we need to have data.

15 DR. HARRINGTON: So let me be
16 provocative here then, given the Bidell
17 experience, do you want to confine its use to
18 Caucasian patients, given that only Caucasian
19 patients were studied? You have no evidence
20 that it works or hurts non-Caucasians.

21 CHAIR HIATT: You don't have any
22 evidence on how to use this drug in non-

1 Caucasian people. You just don't.

2 DR. MASSIE: I don't know whether
3 we want to propagate errors. I didn't see any
4 rationale for one, and I don't see a
5 rationale for this.

6 CHAIR HIATT: So the label would
7 probably be somewhat restrictive on that
8 point until further randomized trials could
9 show --

10 DR. MASSIE: I mean, it could
11 certainly make it clear that there has been
12 essentially no exposure in African Americans.

13 DR. LINCOFF: Not necessarily
14 randomized trials. I mean, if larger
15 registry experience shows similar rates of
16 conversion, and similar rates of torsade or
17 not, then I think that would be --

18 DR. STOCKBRIDGE: What exactly are
19 you asking for? I mean, disclosure is one
20 thing. We'll say who was in the trials.
21 What exactly do you want to say about --

22 DR. LINCOFF: I think we've heard

1 clearly that there's a desire to have a large
2 number of patients in a post-marketing, some
3 sort of registry that gives better experience
4 for the point estimates of the safety events.
5 And so that could -- if in a broad enough
6 population, which would include non-
7 caucasians, I think you would have
8 information on what the rates of conversion
9 are at various time periods, and the rates of
10 the important complications that we have some
11 idea what the point estimates should be.

12 CHAIR HIATT: You see, I would
13 disagree a little bit, because my discomfort
14 with approval now was that I thought that
15 there could be a safety signal that hasn't
16 been fully fleshed out. And, therefore, I
17 would recommend randomized trials to
18 understand that, and doing different
19 populations would gain you both extending the
20 label, potentially, and really more
21 fundamentally give you a better risk
22 assessment. How about studying patients with

1 structural heart disease?

2 DR. STOCKBRIDGE: I guess I want a
3 little bit more conversation on this. Tell
4 me again why this is a marker that catches
5 your attention? What is it about race that
6 you think is a predictor of responsiveness to
7 a therapy, to a therapy in this arena?

8 DR. LINCOFF: I don't think it
9 necessarily is, just as I don't think that
10 there's a substantially elevated risk of
11 torsade, but I also recognize that there's a
12 limit in the data set, and that that's why I
13 think there should be an obligation to do a
14 large number of patients in a carefully
15 collected data set of a registry that would
16 allow us to say now no longer on 800 or 900
17 patients, but on maybe 3,000 patients that we
18 have a pretty good idea what the point
19 estimate of torsades is, we have a pretty
20 good idea what the point estimate of
21 ventricular fibrillation is, and we've seen
22 in patients other than Caucasians that we

1 have similar rates of conversion in the first
2 three days, which is where we expected to see
3 the efficacy. And I don't think a randomized
4 trial is necessary for that, because we know
5 the spontaneous rates of conversion are low.
6 I mean, we have a pretty good idea what we
7 should be expecting, and that's why I voted
8 for approval, because I don't think we need
9 more randomized data, but we need more safety
10 data. And I think that to get the large
11 number of patients to get that safety data,
12 because these are now the infrequent events
13 we want. I think those are better done in
14 the observational -- as an observational
15 study with an available drug where there is
16 mandate, though, that they collect careful
17 collection in a prospective registry.

18 CHAIR HIATT: Yes, but in a post -
19 - in a sort of an open-label administration
20 like in ACT IV, you basically have to assume
21 all the events were drug-related, and they
22 may not be. And so when you're trying to

1 assess safety, particularly with low
2 frequency events, you're stuck. I mean, the
3 placebo rates are really important.

4 DR. LINCOFF: And that's true,
5 particularly for events like hypotension, but
6 for torsades, I mean, where there's not much
7 spontaneous torsade with atrial fibrillation,
8 I think you can get a reasonable assessment,
9 again, of the conversion rates, and of the
10 rare complications that are fairly unique to
11 a pharmacologic conversion, as compared with
12 in the spontaneous, or with electrical
13 conversion. I mean, how many torsades do we
14 see post electrical conversion?

15 DR. HARRINGTON: So let me just
16 push you a little bit, Mike, -- so the
17 regulations say effective and safe. Do you
18 believe that you can get safety information
19 uniformly from observational data?

20 DR. LINCOFF: I think we have
21 safety information now. We have an upper
22 limit of a confidence interval for some of

1 the most feared complications that is low,
2 and is within the limit of, for example,
3 Ibutilide, which is approved. People know
4 that they have to be cautious with it, they
5 have to monitor, these are correctable
6 events. And this is an alternative to
7 another non-trivial procedure that is D/C
8 cardioversion.

9 On the other hand, I think it
10 would expand one's ability to be confident of
11 the use of the drug in other settings,
12 perhaps to better define the length of time
13 that one needs to monitor the patients
14 afterward, and maybe get a better idea of
15 just how many hours or days out from the
16 onset of atrial fibrillation this is an
17 effective drug, if one expands the database.
18 So I think we've proven effectiveness, and
19 we've proven a degree of safety commensurate
20 with other accepted therapies, accepted and
21 approved therapies, but I think there's more
22 information we can get, but I think that

1 information is better obtained in the large
2 number of patients enrolled in real world
3 settings, rather than the narrow clinical
4 trial randomized design.

5 DR. STOCKBRIDGE: Okay. I hear
6 that you want more post-marketing safety
7 data. What I didn't hear is why you
8 particularly wanted to target one of, I don't
9 know, a hundred different demographic
10 characteristics about which you know nothing.
11 Why was that?

12 DR. LINCOFF: And I didn't. I
13 said this is -- to me, this is one of the
14 multiple subgroups that one could look at in
15 more detail if one had more numbers, and get
16 some appreciation for is there homogeneity in
17 the treatment effect. But I don't
18 particularly think that this -- if you said
19 women, or other groups, I think that it would
20 all be the same.

21 DR. HARRINGTON: Yes. My remarks
22 earlier, and I brought it up to limit the

1 label to Caucasians only. My remark was
2 intended to be a comment on the lack of
3 information in multiple groups of patients
4 that might ultimately, or probably will be
5 treated. So, for me, it was a discomfort
6 with the relative paucity of data, given the
7 commonness of the disease. You specifically
8 asked non-Caucasians, and I agree with you,
9 that that's representative of a subgroup that
10 has not been well included in the studies.

11 CHAIR HIATT: Okay. Patients with
12 structural heart disease? Anybody want that?
13 And how broadly do you want to define that?

14 DR. MASSIE: Well, I do think it
15 would be nice to actually do a study in heart
16 failure patients, and I think if we did do a
17 study in heart failure patients, it would
18 have to be controlled, because I wouldn't
19 know how to compare that group to the data
20 they have now, because the heart failure is
21 not very well characterized, and so on.

22 This is a big issue in heart

1 failure, race and recent data have actually
2 suggested, unlike some earlier data, that
3 people in sinus rhythm probably don't do
4 better than people in atrial fib with heart
5 failure. That's been in controversy, there's
6 been issues of trying to convert it, but I
7 don't think we really know the answer. But
8 people do want to convert some of these
9 people, and I'm not sure that this drug is
10 unsafe, but I'm certainly not sure I know
11 it's safe in this group either, so it would
12 be a worthwhile study. I don't think it's
13 mandated.

14 DR. STOCKBRIDGE: Worthwhile study
15 is not post-marketing commitment.

16 DR. MASSIE: Right. Well, I think
17 the label essentially goes a long way toward
18 excluding heart failure. And if they've
19 excluded heart failure, then it isn't a post-
20 marketed commitment. To change that label, I
21 think you would need a post-marketing study
22 in that group.

1 DR. HARRINGTON: So, Barry, then
2 the comment in the proposed labeling from the
3 sponsor says "Administer with caution to
4 stable patients with a history of CHF."
5 Would you say that should be strengthened to
6 say do not administer to patients?

7 DR. MASSIE: You know, that's
8 walking a fine line, because it did say
9 excluding Class 3 and 4, and I think there
10 was something about not very symptomatic.
11 And there's a lot of words there, but it
12 doesn't close the door on heart failure. And
13 I wouldn't be against saying that you should
14 not use this drug in heart failure until
15 proven otherwise. But I'd probably use it
16 off-label in certain people with heart
17 failure that I think meet all those bills,
18 honestly, but I think that it should
19 certainly -- there shouldn't be anybody out
20 there saying this might be a reasonable drug
21 for heart failure.

22 DR. LINCOFF: Yes, I would be very

1 straightforward. I would exclude it in heart
2 failure. I mean, they excluded Class 4,
3 Class 1, 2, and 3 were presumably in, but I
4 think that there's too few patients, and too
5 much of a question of whether risk versus
6 benefit is appropriate in that group. So, I
7 mean, I would be very clear. And if they
8 wanted heart failure, then it's a randomized
9 trial. I was never suggesting observational
10 study for that.

11 Do we have any -- but on the other
12 shoe, structural heart disease. Did we see
13 any data on how many of these patients had
14 valvular disease, or other ideologies?
15 There's actually remarkably little
16 information on the characteristics, so I
17 don't really know if that's an issue. If
18 nobody had mitral regurgitation in the study,
19 which is a very common cause of atrial
20 fibrillation --

21 CHAIR HIATT: Well, the question I
22 think is, particularly in some value of heart

1 disease, is there may be higher risk of
2 hypotension, and higher risk of safety
3 concerns that we already talked about. That
4 does seem to be kind of an area where there
5 may be sort of a knowledge gap.

6 DR. MASSIE: And there's the issue
7 of LBH, which we know that there are a lot of
8 hypertensives there. I presume there are a
9 fair number of people with LBH. I don't
10 think we have any data on that. There should
11 be ECG data. I'm sure it was classified and
12 read, but probably no echo data. Right?

13 DR. CANNON: So, Barry and Mike, I
14 want to make sure I understand. If you
15 wanted to exclude heart failure patients, you
16 mean systolic low ejection fraction heart
17 failure, not heart failure with normal
18 systolic function. They showed us some data
19 on that. I mean, I would think that's a
20 group that would probably benefit from
21 restoration of sinus rhythm.

22 DR. LINCOFF: I actually don't

1 recall the data for preserved systolic
2 function and "history of heart," because my
3 understanding is the diagnosis of heart
4 failure was, "Did you ever have heart
5 failure?" So that would be a history.

6 DR. CANNON: No, they had ejection
7 fraction measure.

8 DR. LINCOFF: They had in a
9 subset. And if it looked like fine with
10 diastolic, then I would --

11 (Simultaneous speech.)

12 DR. MASSIE: -- is one of the
13 issues. We only saw the efficacy for heart
14 failure. Right? Above and below 50. And so
15 I don't think that answer was good. I don't
16 know what the issue is. Just as if they
17 potentially could benefit in diastolic heart
18 failure, because the atrial kick is
19 important.

20 DR. CANNON: Do you have those
21 data immediately available? I recall seeing
22 a slide earlier today in the -- which one of

1 the ACTs? I forgot whether it was I or III,
2 where they did measure ejection fraction, and
3 you showed the partition of patients with
4 ejection fractions above or below 50.

5 Because I think this is an important issue,
6 if we're thinking about restricting it for
7 heart failure, what kind of heart failure?

8 DR. KITT: Okay. The slide up,
9 please. So these are -- this is the data in
10 the studies in which we collected ejection
11 fraction data, and we split it into greater
12 than 50 percent, or an ejection fraction of
13 less than or equal to 50 percent.

14 I'd also like to remind the
15 Committee that our post-surgical patients,
16 ACT II, all those patients had structural
17 heart disease. They either had valvular
18 surgery, or they had coronary artery bypass
19 grafting, and we've got 107 patients in that
20 study who received Vernakalant.

21 DR. MASSIE: So this is a little
22 tricky extrapolating to heart failure,

1 because if you look at it, there's a lot of
2 people who had ejection fractions, but when
3 you get down to the ones that had a diagnosis
4 of heart failure, it comes out 39, 40, about
5 50.

6 DR. LINCOFF: Yes, I don't think
7 we could make the heart failure exclusion
8 with or without. I don't think there's
9 enough ejection fraction data, but I wasn't
10 suggesting that we exclude based on
11 structural heart disease. I was sort of
12 asking if there was any data.

13 CHAIR HIATT: So it sounds like
14 there's a need for some more information in
15 that area. And it sounds like there's a
16 particular concern raised around heart
17 failure, how that might be defined, how that
18 might affect the label. Any other comments
19 on that?

20 DR. DICKINSON: We do have some
21 data on efficacy with left ventricular
22 ejection fraction, if I can have the slide

1 up. In a subgroup of the patients in ACT II,
2 ACT III, and ACT IV, we did have
3 echocardiographic data. And the patients
4 were classified, their left ventricular
5 ejection fraction was classified as normal,
6 mild, moderate, or severely dysfunctional,
7 severe would be less than 25 percent. And,
8 as you can see, the numbers in the moderate
9 and severe category were very small, and the
10 confidence intervals are huge. But, overall,
11 the efficacy was very similar.

12 CHAIR HIATT: Thank you.

13 DR. MASSIE: Now, I'm a little --
14 this is not heart failure, so this is just by
15 EF.

16 CHAIR HIATT: Yes. Right.

17 DR. MASSIE: So I don't know that
18 it really informs the discussion on heart
19 failure at all. Severe LV dysfunction in
20 heart failure could be the same or they could
21 be different.

22 CHAIR HIATT: Is there any need to

1 study this in patients with hepatic
2 impairment? And, by the way, we saw some
3 data with renal impairment, and at least to
4 the degree that they were included, there
5 didn't seem to be much of an affect on drug
6 metabolism or outcome. A need for more
7 severe hepatic impairment to be studied? No
8 overwhelming cry for that.

9 How about the study with
10 inhibitors P-glycoprotein or other
11 transporters? That was touched on a little
12 bit in the development program, as well.

13 DR. HARRINGTON: What's that one
14 trying to get at? Is that the drug
15 interactions?

16 DR. STOCKBRIDGE: The drug
17 interactions have been well characterized.

18 DR. HARRINGTON: I mean, this gets
19 to the point we had them show the clinical
20 pharmacology. I'm not convinced that at the
21 outer end of -- do we know enough about the
22 metabolism, how long you should monitor these

1 patients, et cetera. And when patients are
2 going to start coming in on multi-drug
3 therapies, I do think you probably want to
4 define those interactions a bit better.

5 CHAIR HIATT: Yes. Remember, I
6 think, and correct me if I'm wrong, but all
7 this DDI stuff came from population PK kinds
8 of experience, not from formal studies.
9 Right? So it may not be known with
10 confidence.

11 DR. MASSIE: Wasn't there some
12 data, or some mention not being treated by P
13 Grade glycoprotein mechanisms somewhere in
14 the clinical pharmacology?

15 DR. KITT: No. What I had
16 mentioned is there is an ongoing study. I
17 think one has been completed, and there's one
18 ongoing study.

19 DR. MASSIE: So we don't know at
20 this time whether that's a relevant mechanism
21 of excretion. I guess that would be the
22 first question, we should know that. And if

1 we know that and it is, then the next
2 question --

3 DR. BEATCH: The information we
4 know at this time is that it's not an
5 inhibitor. We don't know whether it's a
6 substrate.

7 CHAIR HIATT: Okay. And that data
8 continues to be gathered. All right. We've
9 gone through all the formal questions. Does
10 anyone else have any other salient comments
11 to help Dr. Stockbridge at all with their
12 further deliberations on how to move forward
13 here? Comments? No? Are we adjourned then?

14 DR. STOCKBRIDGE: That's been very
15 helpful. Thanks, everybody.

16 CHAIR HIATT: If we are, then I
17 want to thank the sponsor, and your
18 responsiveness over the lunch hour to give us
19 more data, all of you attending. Thank you
20 very much.

21 (Whereupon, the proceedings went
22 off the record at 4:49 p.m.)