

1 something, comparable to a radiologic physics  
2 center, or something like this?

3 DR. GIGER: Yes, it would be an  
4 independent center which would preserve the  
5 integrity of the database, and its output  
6 would be valued by the FDA and the community.

7 DR. BOURLAND: And is the expertise  
8 available there, disease-specific, modality-  
9 specific, CAD-specific, I guess, site-  
10 specific?

11 DR. GIGER: Well, such an institute  
12 could range in what technologies it is  
13 assessing. Specifically for CAD, it could  
14 start with the most looked at modalities,  
15 which would be mammography, lung CT, and  
16 colon.

17 CHAIRMAN GLASSMAN: Dr. Dodd?

18 DR. DODD: I have a question for  
19 Dr. Nishikawa. With regard to the reasonable  
20 FDA endpoint threshold of 3, surely that  
21 depends on the underlying shape of the ROC  
22 curves, right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NISHIKAWA: Right.

2 DR. DODD: Are you advocating that  
3 as a universal threshold, or would you  
4 advocate that depends on what the baseline ROC  
5 curve looks like?

6 DR. NISHIKAWA: I'm throwing that  
7 number out as a possibility. I'm not saying  
8 that's what the number should be. I think you  
9 -- we need to investigate this more, because I  
10 don't know what the shape of that curve is  
11 exactly. I modeled it after the DMIST data,  
12 because that's the best data we have.

13 And I assumed sort of the shapes  
14 were the same, which may not be true with  
15 reading. What I'm trying to say is that it's  
16 consistent with double reading. That's what -  
17 - the point I'm trying to make.

18 CHAIRMAN GLASSMAN: Any other  
19 questions? If not, thank you to all of our  
20 public speakers. Okay. We will now continue  
21 with the panel's general discussion of  
22 mammography CAD devices, after which they will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 focus their deliberations on specific FDA  
2 questions. Following that, we will break for  
3 lunch.

4 I would now like to remind the  
5 public observers of the meeting that while  
6 this portion of the meeting is open to public  
7 observation, public attendees may not  
8 participate, unless specifically requested to  
9 do so by the Chair.

10 The Panel may ask FDA staff  
11 questions at any time. We will now move on to  
12 the general discussion portion of the  
13 deliberations. At this point, I would like to  
14 make a couple of comments, and then open it to  
15 everyone else on the panel for discussion of  
16 general thoughts about CAD, rather than organ-  
17 specific thoughts.

18 The first point I would like to  
19 make is the difference between CADE and CADx,  
20 in my mind. We've got this artificial  
21 distinction based on the fact that we have two  
22 different names for two different things, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is CAD detection and CAD diagnosis.

2 And really, in fact, they are a  
3 continuum. The perfect CAD system would be  
4 able to diagnose all of a given disease in the  
5 case of breast cancer, colon cancer, lung  
6 cancer, and never have a false positive. Now,  
7 that would be great. It's not likely to  
8 happen any time soon, but I certainly would  
9 welcome a product like that.

10 But to go to the other end which is  
11 the detection only end, to make a computerized  
12 value judgment about detecting the lesion  
13 means that, in part, you have made a  
14 diagnostic decision as well, that there are  
15 certain things that the computer sees in the  
16 image that the computer ignores. To do that,  
17 that is a diagnostic judgment at a very low  
18 level.

19 So it seems to me, that with this  
20 continuum, the critical issue for whether  
21 something gets approved or not, is what is the  
22 use that is specified by the manufacturer. If

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the manufacturer specifies a detection only  
2 role, then the bar, the level of proof, the  
3 level of efficacy will probably be different  
4 than what would be needed if someone came and  
5 said I can diagnose lung cancer.

6 So, I think that's something we  
7 need to keep in mind when we talk about CADE  
8 and CADx. And with that, let me open it up to  
9 everyone else on the panel. Don't forget to  
10 push your button to speak and then push it  
11 again when you are finished. General  
12 comments. Dr. Garra?

13 DR. GARRA: Yes, I would just like  
14 to make one comment about CADx. And that is,  
15 that remember it's a broader category, in that  
16 it includes not only diagnosing cancer, but  
17 various other kinds of lesions. So  
18 theoretically, a CADx system would not only  
19 give you the probability of cancer, but the  
20 probability of it being an inflammatory polyp  
21 or a granuloma. So you would have a complete  
22 differential, theoretically.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So it can be a broader category or  
2 it may not be. So, if you think about it in  
3 terms of one diagnosis, it's a continuum, but  
4 it could be a much broader thing. Thanks.

5           CHAIRMAN GLASSMAN: Other comments?

6           DR. TOURASSI:           Also another  
7 distinction between CAde and CADx with respect  
8 to mammography is something that was raised by  
9 one of the speakers, Dr. Hasegawa. What is  
10 the management decision to be made in the end?

11          For the CAde product, it's simply its  
12 suspicious enough to be recalled. But the  
13 envision for the CADx products is, is this  
14 malignant to go to biopsy or benign enough to  
15 be recall for short-term follow-up?

16          So there is a lot of interpretation  
17 in terms of how a CADx system, a diagnostic  
18 tool will be used. And this needs to be  
19 specified by the sponsor in the development.

20          CHAIRMAN GLASSMAN: Dr. D'Orsi?

21          DR. D'ORSI: Again, I would like to  
22 stress the difference between CAde and CADx.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 There have been many studies that show that  
2 the correct way to deal with screening for  
3 breast cancer is to read those off-line in  
4 batch mode. And then recall areas that are  
5 suspicious, throwing out a bigger net,  
6 obviously, than you would just to get pure  
7 cancer, and then work these up.

8 So I think that separation is  
9 critical. And I kept on hearing a wash in and  
10 out between one and the other. And I really  
11 think they have to be kept separate. You  
12 really should not make an estimation biopsy  
13 from a screening exam, at least not in at  
14 least 95 or 98 percent.

15 So I worry about a mixture of CAde  
16 and CADx at the screening level, because I'm  
17 worried about reader bias. If a lesion is  
18 marked and then a number comes up, that number  
19 may be correct or incorrect and it's going to  
20 lead to more bias for that reader at a  
21 screening level to dismiss or accept that  
22 finding.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The second thing is that these CD,  
2 these CADx devices require a very large number  
3 of cases in order to work properly. And they  
4 need cases at various levels of difficulty.  
5 So that has to be something that the FDA  
6 should focus on is the number of cases that  
7 went into the training algorithm to give out  
8 these numbers.

9           DR. TOURASSI: Yes, actually, I  
10 would like to -- that was a very important  
11 point that was raised. That when these  
12 devices are developed, there needs to be a  
13 clear explanation to the target screening  
14 mammograms or diagnostic mammograms,  
15 absolutely.

16           CHAIRMAN GLASSMAN: I would like to  
17 go around the table to give everyone a  
18 specific chance to speak to this. So I'm  
19 going to start with Dr. Mittal on my left with  
20 any comments, general, about CAD, and we'll  
21 move around the table until it comes back to  
22 me.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MITTAL: While my impression  
2 has been, from the discussion, that CADx or  
3 CADe has some important implications in  
4 diagnosis and in certain situations in the  
5 management of -- since I deal with malignant  
6 tumors, obviously, it's still a research tool  
7 and it needs to be evaluated further.

8 I deal with a lot of cancer  
9 patients that receive radiation treatment  
10 after lumpectomy and it's kind of interesting  
11 to -- and I'll sort of say comments from other  
12 Panel members is that the test data includes  
13 those patients that have architectural  
14 distortion due to radiation plus surgical  
15 resection in these patients.

16 DR. ZISKIN: I have no comment, at  
17 this time.

18 DR. WONG: I think one of the  
19 issues that I think are probably present for  
20 both the CTC, which I'm interested in, and any  
21 other CAD system, as we mentioned, would be to  
22 develop some form of regulatory process where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 data can be put in in an unbiased fashion in  
2 which the various development agencies that  
3 are developing this CAD could be tested in a  
4 very unbiased way.

5 And I think that as we develop a  
6 larger database, we will be able to actually  
7 begin to test more in a more sophisticated  
8 fashion as to whether the CAD devices are  
9 really answering the questions that we want to  
10 answer.

11 DR. ABBEY: Let's see, I think that  
12 we're struggling with the issue of how to --  
13 how we should validate these approaches,  
14 whether we should be going to full reader  
15 studies and then how to interpret the results.

16 And I think that's -- I'm still coming to  
17 grips with an opinion on that, I suppose, and  
18 I'm looking forward to hearing more throughout  
19 the day on that.

20 But it does strike me that, if we  
21 are to use reader performance results, one of  
22 the things that we are implicitly getting at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is some sort of a utility of the decision.  
2 And I think that it might be helpful to  
3 incorporate that in. I think Dr. Nishikawa  
4 was implicitly doing that with his slope  
5 criterion, and I think that's formally  
6 equivalent to a utility.

7 DR. GARRA: I have two things that  
8 I wanted to bring up. One, I just want to  
9 know what the underlying -- this is a question  
10 more than a comment. A lot of the speakers  
11 from the public and companies were addressing  
12 the issue that, please, FDA do not change the  
13 rules and make things more burdensome for us.  
14 That was the message I was clearly getting.

15 And I'm just wondering from the  
16 FDA's standpoint, was that the reason for  
17 calling this meeting to possibly make things  
18 more stringent over the previous criteria?  
19 There may be a hidden agenda that everybody  
20 knows about, except me, but I'm curious about  
21 that.

22 And the second item was I really am

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very strongly -- this is a perfect situation  
2 for a generalized uniform, carefully laundered  
3 database of high quality images performed with  
4 state of the art technology that is publicly  
5 funded. And I want to strongly support the  
6 NIH in its efforts to produce this database  
7 and also encourage the FDA to see if they can  
8 devote some funding to helping the NIH get  
9 this up and running.

10 I think this is perfect for that in  
11 all areas, and that's something that we're  
12 going to have to deal with sooner -- better  
13 sooner than later. Anybody have an answer to  
14 the first one, I would be glad to hear it.

15 CHAIRMAN GLASSMAN: Ms. Brogdon,  
16 you have a comment?

17 MS. BROGDON: I can respond to the  
18 first question. There is no hidden agenda  
19 here. It's not our purpose to increase data  
20 requirements for companies. This field has  
21 developed since the first CADs were approved.

22 There have been a lot of publications, a lot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of comments from CAD users, and we are looking  
2 for what is the current state of the art.

3 We are looking for your  
4 recommendations on what is reasonable evidence  
5 for insuring safety and effectiveness for the  
6 devices. And as we will point out time and  
7 again, it's our obligation to be the least  
8 burdensome in our expectations of the data  
9 that needs to come to us.

10 DR. GARRA: Thank you very much.  
11 And I didn't mean to imply that the FDA had a  
12 secret agenda, but certainly the companies  
13 interpreted the calling of this meeting as a  
14 potential for increased requirements. And I  
15 wanted that to be stated explicitly.

16 DR. WATT: As a practicing  
17 radiologist, as Dr. Newstead mentioned, we are  
18 being beset by vast data banks of images and  
19 to have a CAD device to assist in detection is  
20 important. However, the use -- there has to  
21 be a distinction, and we don't want to be  
22 burdensome. The differences between CADe and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CADx, and practicing radiologists may view  
2 CADE as CADx, and that is a very dangerous  
3 thing that we have, I think, in this Panel to  
4 come to terms with to make certain that CADE  
5 is not viewed as CADx.

6 DR. SWERDLOW: As a former reader  
7 of mammography and CAD in busier and slower  
8 volume settings, I have a similar sort of  
9 crossover concern, not between CADE and CADx,  
10 but between acting as a second reader and  
11 acting as a concurrent reader. It is very  
12 easy, I think, for a solo practitioner out in  
13 the country somewhere to get the wrong idea  
14 about how it is supposed to be used and use it  
15 as a concurrent reader. And that poses, I  
16 think, a significant risk and danger to  
17 patients, more than any other sort of  
18 confusion.

19 My other curious concern would be I  
20 just can't wait until the first malpractice  
21 lawyer gets a hold of one of these.

22 CHAIRMAN GLASSMAN: I can tell you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it's already happened. Mostly, interestingly  
2 enough, for the defense, rather than for the  
3 plaintiffs. There have been local malpractice  
4 cases where CAD results were allowed as  
5 testimony for the defense where CAD was  
6 negative. I don't know if it changed the  
7 jury's mind, but it has already happened.

8 DR. BERRY: So my comment is about  
9 CADe. I want to point out that the ROC, which  
10 is, of course, a wonderful device for  
11 assessing the process is not perfectly  
12 relevant from the clinical setting. The  
13 clinical setting, there is a particular  
14 algorithm cut-point and decisions are  
15 dichotomous. And so one had ought to focus on  
16 specific points on the ROC curve.

17 And it seems to me that it is  
18 essential that you -- that companies show that  
19 they have improved sensitivity, which to me  
20 means statistical significance or Bayesian  
21 probability that the sensitivity is improved.

22 This is a very low hurdle.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I appreciate the comments about the  
2 large sample size, but with enrichment, that  
3 could be improved. And in addition to showing  
4 sensitivity improvement, that you show little,  
5 if any, decrement in specificity.

6 And the other comment I have is to  
7 follow-on with Dr. Tourassi's point about  
8 recall rate. Recall rate is not the right  
9 thing to look at. It's what happens after you  
10 recall. Does the patient have to go to  
11 biopsy? What's the clinical consequence?  
12 It's not simply recalling.

13 In CDRH, one doesn't do as in drugs  
14 ask is there clinical benefit. In the drug  
15 world, you would have to show that CADs  
16 actually decrease mortality, and that would be  
17 far from -- that would be most burdensome.  
18 And I don't think it's appropriate in this  
19 setting, but some focus on what happens to the  
20 patient and the trade-off between the two.

21 DR. TOURASSI: Well, in my opinion,  
22 there is plenty of evidence in the literature

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that CAD technology has an important role to  
2 play for medical image interpretation. There  
3 is also plenty of evidence that assessing the  
4 clinical significance of this technology is  
5 going to be extremely difficult due to the  
6 characteristics of certain diseases, like a  
7 low prevalence of cancer, as well as the very  
8 diverse behavioral patterns of the end users,  
9 which is something that we cannot easily  
10 control.

11 Therefore, the two issues that have  
12 been raised during the presentation of the  
13 people from FDA that we do need some form of  
14 standardization when we report results is  
15 critical. And we need to create some form of  
16 standardization as well as emphasize the  
17 training of the end users is an important part  
18 of this technology before it is deployed and  
19 fully applied in the clinical practice.

20 MS. FINKEN: As a Consumer  
21 Advocate, I listened to this with a slightly  
22 different mindset. I'm concerned for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients knowing many who have had various  
2 kinds of mammograms, CAD and non-CAD. The CAD  
3 people more likely to have the call backs and  
4 the panic that sets in and the fact that some  
5 times patients won't go back for the call  
6 back.

7 I think there needs to be, along  
8 with the standards, also a defined, hopefully  
9 training of radiologists and so on, to be able  
10 to work with patients where there is the  
11 possibility of a call back, educating the  
12 public to this, so that patients with a call  
13 back, even though the statistics are good,  
14 that they have not got a problem, but to not  
15 turn them away for fear that they will get  
16 that awful answer that they have cancer.

17 That affects too many people, and  
18 what we are trying to do is catch those early  
19 cases, which are "curable." And that's a  
20 wonderful way to approach the recall concept,  
21 I would suppose. But I think we need to keep  
22 the patients in mind as we evaluate this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SPINDELL: Hi, I've got three  
2 quick comments. And the first comment has to  
3 do more with me being a physician than being  
4 the Industry Representative. And I echo Ms.  
5 Finken's comments. But I think the first  
6 thing you have to understand is what's the  
7 risk management situation? What's the risk  
8 benefit?

9 And I think we really have to flesh  
10 it out. We have heard many physicians speak  
11 today, and I'm interested to hearing the  
12 expert physicians in the Panel speak. What is  
13 the risk of a false negative versus a false  
14 positive? What is the risk of -- because,  
15 obviously, these CAD products are going to  
16 increase sensitivity. We have seen multiple  
17 studies, and there is probably an increase --  
18 a decrease specificity.

19 We have seen multiple studies.  
20 What is really the effect on the patient?  
21 Would a patient -- the ultimate consumer is  
22 the patient. Would the consumer rather get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 called back knowing there is a much less  
2 chance of them missing a cancer or not? And I  
3 think that really has to be fleshed out by  
4 both this Panel and the FDA.

5 The other two quick comments I'm  
6 going to make, back to Industry  
7 Representative, is it seems we saw a couple of  
8 references to double radiology readings being  
9 the standard of care or the best practice. So  
10 would it be reasonable to consider a follow-up  
11 CAD to be equivalent to that and be effective?

12 And then the other thing I'm going  
13 to echo is what Dr. Garra said before about a  
14 universal dataset which would level the  
15 playing field for all CAD developers. Thanks.

16 DR. KIM: I guess I have two  
17 comments. The first one is that I think one  
18 of the biggest things will be training for the  
19 end user, the physician that interprets it.  
20 Because I think if it's not clear, you can run  
21 into some big problems as people individualize  
22 what CAD can or cannot do. So I think there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 has to be specific sort of labeling, and  
2 indications on how the product should be used  
3 given by the sponsor and then training, so  
4 that the end users use it correctly.

5 And so one of the -- you know, in  
6 terms of say, the concurrent reading paradigm,  
7 one of the futures I could see or scenarios I  
8 could see is a person sort of morphing it into  
9 more of a primary read where they essentially  
10 start ignoring everything, except for the CAD  
11 marks.

12 And so I think, that would be a  
13 very dangerous situation. So from that  
14 standpoint, if there is a way that this Panel  
15 and the FDA can make it more difficult for  
16 very extreme situations to happen, such as  
17 leaning more toward a second reading -- reader  
18 paradigm, I think that would be beneficial.

19 My second comment is on that of the  
20 test database. I think it really is  
21 imperative that we have a standardized set  
22 that is large enough and that represents what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we are looking at that different companies can  
2 test against and where it is private, in that  
3 they really cannot learn from it, as we heard  
4 that can happen.

5 And there are a couple of unique  
6 situations happening in the colon realm that  
7 may allow for a nice database.

8 DR. LEITCH: I think as others have  
9 said the blending between detection and  
10 diagnosis remains an issue and how the end  
11 users interpret that. And also, how the  
12 patients interpret those findings if they are  
13 called back based on CAD.

14 So I think that needs to remain  
15 clear. And when applications are made, that  
16 it is very specific is this for detection? Is  
17 it for diagnosis?

18 The other thing which hasn't really  
19 been brought up, but if one thinks about  
20 massive screenings, the ends that screening is  
21 held to generally, as Dr. Berry mentioned, may  
22 be too stringent, that of mortality reduction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But some of the issues of cost that goes into  
2 screening and how CAD could be valuable,  
3 either to reduce that or will it, in fact,  
4 increase the cost of screening, I think those  
5 things need to be taken into account when you  
6 look at implementation of CAD.

7 And one other thing which I think  
8 has been presented in some of the data that we  
9 got from the FDA about detection of lesions  
10 and over-treatment. I do think it is valuable  
11 to detect ductal carcinoma in situ. And that,  
12 you know, particularly, if you are talking  
13 dense breasts, young women, early age, that  
14 they have a longer life to live in which that  
15 could turn into something more serious.

16 So I think there are values to  
17 that. And if CAD is able to detect  
18 microcalcifications, that might be missed  
19 otherwise, I think that's important.

20 The other thing which I really  
21 haven't gotten from, I know a lot of speakers  
22 have tried to address this, is with respect to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mammography CAD. If you -- if a lot of the  
2 studies were done and the approvals were  
3 initially done on film screen, that was  
4 digitized versus now where there is more of a  
5 move to primary digital images, which, you  
6 know, when I look at those, I know I see  
7 things I wouldn't have seen before on regular  
8 films.

9           And when I look back at old films,  
10 and I know even DMIST and all that,  
11 notwithstanding, I do think that  
12 calcifications are better seen because of the  
13 ease of magnifying just on a routine look at  
14 those films. And so I'm wondering, does the  
15 CAD really add as much to those digital images  
16 as to the film screen digitized? If somebody  
17 has a clear answer about that?

18           DR. SAHINER: I think it's very  
19 important to be able to extrapolate the  
20 clinical effect of a CAD system from the data  
21 that's submitted for FDA-approval. And I  
22 think that's probably the main thing we are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussing here. And as we have heard from  
2 the FDA speakers and others that in an ideal  
3 world, of course, you would be able to look at  
4 the data, submit it to the FDA and see what  
5 kind of an effect it would have on clinical  
6 practice.

7 But because of the limitations in  
8 resources, and we have heard it might take  
9 years to complete prospective studies with  
10 these systems, to me it seems impossible to  
11 directly find out what the clinical effect is  
12 going to be.

13 But I think it's very important to  
14 analyze this in the context that CAD is  
15 supposed to be read, which means as a second  
16 reader in a sequential mode in the clinical  
17 setting. So I think it would be important  
18 both for the FDA and the companies to  
19 encourage such studies to look at the clinical  
20 effect and then to try to reconcile it with  
21 the submitted data to understand what is  
22 different between the two, if there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discrepancies, so as to minimize the  
2 discrepancies as the process gets more and  
3 more refined.

4 DR. CARRINO: One of the advantages  
5 of going at the end is a lot of the things you  
6 wanted to say have been said already, but I'll  
7 add a couple more points. I think most of us  
8 feel that CAD can be useful, but the question  
9 is how can it be useful vis-a-vis detection  
10 versus diagnosis?

11 I thought this morning the FDA  
12 staff did a great job presenting and  
13 summarizing some of that information. And our  
14 focus here is scientific on safety and  
15 efficacy, not economic or medical/legal.

16 And I thought the people who talked  
17 in the public forum also did a good job at  
18 presenting their points, but we need to  
19 determine the regulatory process for bringing  
20 these to market.

21 So some of the concrete things that  
22 I came up with was what should be the unit of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis, which I think probably should be per  
2 lesion. And if the intended use of a device  
3 is with a reader, then the testing should be  
4 done with a reader. And then also while  
5 having large databases would be very useful,  
6 it's not clear that they exist right now. So  
7 it's going to be a challenge.

8 DR. ROSENBERG: Yes, I'll agree  
9 that most of the points have been made. I  
10 would concur that additional large databases  
11 would be helpful for both mammography and  
12 future CAD devices for CT. And they will be  
13 difficult to obtain, but possible.

14 I think Dr. Nishikawa raised an  
15 interesting point about what is truth. And I  
16 think maybe that may need more research. Is  
17 truth that there was a cancer; was there truth  
18 that a recall was appropriate? And that will  
19 give kind of different help to the  
20 radiologist, and it also makes the statistical  
21 analysis very different. So I think it's an  
22 interesting point that was made. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. DODD: I have a few points I  
2 would like to make. One, I think we should  
3 steer away from prevalence-based measures,  
4 such as recall rate, particularly, when we're  
5 comparing across databases or studies for  
6 obvious reasons.

7 I would like to agree with Dr.  
8 Berry that sensitivity and specificity are  
9 appropriate, particularly, in mammography  
10 where there are clear lines of clinical  
11 action. However, I think we should consider  
12 the reader variability here, because as we all  
13 know, there is a huge range of variability.  
14 So we might need to consider things other than  
15 our standard binomial model when analyzing  
16 this.

17 ROC analysis, though, I do think is  
18 also appropriate, particularly, in early  
19 development. I think if you are able to show  
20 that you actually are operating on a separate  
21 ROC curve, then obviously that should be  
22 grounds for approval.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           That said, it's hard to -- much  
2 harder to determine what a clinically  
3 significant improvement in an areas under the  
4 curve is. So sensitivity and specificity are  
5 much easier in that regard.

6           Also, I think we need to give some  
7 consideration to which readers are impacted.  
8 If the CAD shifts readers that are less  
9 skilled, this has a potentially large impact.

10          You might expect CAD would have a less impact  
11 on more experienced readers.

12          And finally, I just want to say  
13 that the concerns -- I have concerns about  
14 comparing performance across databases, even  
15 with measures like sensitivity and  
16 specificity, because these measures will too,  
17 depend on -- or because sensitivity and  
18 specificity will depend on things like case  
19 mix, which varies by database.

20          DR. D'ORSI: I just want to make a  
21 couple of other points. I don't think we  
22 should generalize too much between mammo CAD,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 colon CAD, and chest CAD. They both have very  
2 particular problems. Colon CAD is more  
3 geographically challenged. There is a ton of  
4 areas to look at as you snake around. Mammo  
5 is relatively confined. Mammo however, does  
6 not deal with any standard findings.

7 We are looking for things that are  
8 very small, and the signal/noise usually is  
9 very small as well. Chest has other  
10 confounders in it. So I think we have to be  
11 pretty open when we generalize and not  
12 generalize too much from mammo down to CAD and  
13 colon.

14 It was -- it works. CAD works, but  
15 I think what we have to find out is exactly --  
16 we have to fine tune more and perhaps include  
17 some of this in labeling. It doesn't work  
18 well in certain specific areas. We need more  
19 data on that. There is information that it  
20 does not work well on amorphous  
21 calcifications. It just doesn't pick them up.

22 We need, and I agree fully, a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vigorously obtained standard test set that  
2 will include stress cases, standard cases, and  
3 probably omit benign cases, because our  
4 decision is basically binary anyway. This has  
5 to be really rigorously obtained and that kind  
6 of thing will let us then more confidently  
7 fine tune when and where CAD doesn't work.

8 On the surface it does work. It  
9 works with the no free lunch idea. You gain  
10 some sensitivity, you drop in specificity.  
11 You can't get away from that, unless you have  
12 a brilliant exam, or unless you have something  
13 new that is going to allow you to raise  
14 sensitivity and specificity at the same time.

15 So I think we have to define more  
16 where it is good and where it is not good with  
17 a very standardized test in a retrospective  
18 and not a prospective manner because you can  
19 control for the biases much better in a  
20 retrospective than you can in a prospective  
21 status.

22 DR. LIN: I just wanted to echo

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some of the concerns by some of the previous  
2 speakers concerning radiologists using CAD as  
3 a concurrent reader mainly to decrease reading  
4 time versus using it as a second reader in  
5 order to increase sensitivity. I can,  
6 although I'm not a radiologist, understand if  
7 a busy radiologist would be tempted to use it  
8 as a concurrent reader in order to reduce  
9 their reading times.

10 In gastroenterology, we have an  
11 analogous situation with so-called capsule  
12 endoscopy. Capsule endoscopy is a technology  
13 that we have to look for occult  
14 gastrointestinal bleeding in the small  
15 intestines. The software is able to mark  
16 certain areas as being "read," you know, a  
17 higher probability of having blood.

18 Although the gastroenterologist is  
19 supposed to read the entire examination, it's  
20 very time consuming to do that. It takes  
21 almost an hour to do that, and the  
22 reimbursement is very low. So anecdotally,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there have been many cases where  
2 gastroenterologists have just focused on the  
3 areas that were marked, you know, by the  
4 software and really skimmed over the rest of  
5 the recording. So that's one of my concerns.

6 I also wanted to make a few points  
7 about standalone testing versus reader  
8 performance testing that some of the previous  
9 speakers commented on. In my opinion,  
10 standalone testing is really just a surrogate  
11 endpoint. And it's going to be important to  
12 do our reader performance testing because  
13 that's ultimately what we need to look at.

14 DR. BOURLAND: I agree with these  
15 various points that have been made. I think  
16 the main issues are the development of  
17 consensus or standardized databases. These  
18 should be applied at standalone and at reader,  
19 I agree, as you just stated. And I think they  
20 would also be a great value post-installation  
21 because we have heard about the training  
22 aspects, and these would follow the views as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 stated by the manufacturers.

2 I also think there are some quality  
3 assurance issues. Most of them are taken care  
4 of in-house by the manufacturer, but after on-  
5 site, it may be, that perhaps user training is  
6 the biggest determinate of quality post-  
7 installation.

8 And I think importantly, also, that  
9 the match of how the standardized database is  
10 used would match the indications of use as  
11 stated by the manufacturer.

12 DR. STEIER: I think we're near the  
13 end of the comments. I would like to comment  
14 that I found the speakers to be very helpful  
15 with this issue and definitely very  
16 informative. And CAD sounds like a very  
17 powerful potential tool.

18 I did like the discussion about the  
19 benchmark and the need for setting minimum  
20 standards, perhaps even best practices for use  
21 of this technology. I also wonder about the  
22 quality control and the variability between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the different products that might be out  
2 there. Certainly, a patient may prefer the  
3 one with the sensitivity of 20 percent versus  
4 the sensitivity of 5 percent.

5 I imagine most manufacturers think  
6 their products are the best, and I guess there  
7 will be some marketplace involvement with that  
8 as well. Those are my comments.

9 CHAIRMAN GLASSMAN: Ms. Brogdon,  
10 yes.

11 MS. BROGDON: Thank you. I would  
12 just like to let the Panel know that FDA is  
13 not allowed to take cost considerations into  
14 account when we review devices for clearance  
15 or for approval. So we would appreciate if  
16 the Panel can stay away from that sort of  
17 discussion. Thank you.

18 CHAIRMAN GLASSMAN: Thank you for  
19 that advice. I was going to try to summarize  
20 what we have just said. I don't know that I  
21 can do that. I think we've got a chance to  
22 sort of vent a little bit, not vent in a bad

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 way, but what we have -- react to what we have  
2 heard this morning.

3 I think everybody felt, in general,  
4 that CAD was important. It had an important  
5 role to play, but it may be difficult for the  
6 Agency to assess that role. There was a  
7 general consensus, I think, that some kind of  
8 standardized database for testing these  
9 products was probably going to be a good idea;  
10 that there was a continuum between CAD for  
11 detection and diagnosis. And that  
12 sensitivity/specificity in ROC were not  
13 completely satisfactory, although they are the  
14 best that we have.

15 I think, personally, the comment  
16 that really struck with me was that to really  
17 evaluate the effectiveness, and this may not  
18 be an FDA role, but might be some interesting  
19 academic research, would be to look at readers  
20 of different levels of ability and see whether  
21 CAD affects the bottom half in a very positive  
22 way, much more than the top half.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But with that, I think it is  
2 lunchtime. So the good news is we're going to  
3 adjourn. It is now 12:15. We will reconvene  
4 at 1:15, and we will deal with the specific  
5 FDA questions that have been posed to us.

6           And I would like to just remind the  
7 Panel Members that we are not supposed to talk  
8 about this morning's deliberations over lunch.

9           They are for this public room.

10           And also, to remind everybody for  
11 the afternoon that we have specific questions  
12 to answer, and let's focus on those without  
13 getting far afield. Thank you very much.

14           (Whereupon, the meeting was  
15 recessed at 12:15 p.m. to reconvene at 1:19  
16 p.m. this same day.)

17

18

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:19 p.m.

CHAIRMAN GLASSMAN: Okay. I would now like to call the meeting back to order and remind our public observers of the meeting that while this portion of the meeting is open to the public, public attendees may not participate, unless specifically requested to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do so by the Chair.

2 We will now continue with the  
3 Panel's deliberations on the FDA questions.  
4 Following that, we will hear an FDA  
5 presentation highlighting current issues  
6 related to colon CADs and conduct the second  
7 Open Public Hearing session to give the public  
8 an opportunity once again to direct questions  
9 to either the Panel or the FDA.

10 At this time, we can begin to focus  
11 our discussion on the FDA questions. Copies  
12 of the questions are in the meeting handout  
13 and on the table outside the conference room.

14 And I stress the word focus because we need  
15 to come up with some information for the FDA.

16 Can we project the first question,  
17 please? This is M, for mammography, 1.  
18 Please, discuss the role of standalone  
19 performance testing in the clinical evaluation  
20 of mammography CAD devices. There are some  
21 subparts to this question.

22 (a) If you believe standalone testing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should be requested in the evaluation of these  
2 devices, please provide your recommendations  
3 or comments on:

4 (i) The merits of per lesion, per view,  
5 per breast and per patient endpoints;

6 (ii) Whether certain substrata, for  
7 example, mammographic finding type, size,  
8 breast composition or others should be  
9 considered in device testing and labeling; and

10 (iii) What marking or scoring  
11 methodology should be used for reporting  
12 findings?

13 (b) If you believe that there are  
14 specific situations where standalone  
15 performance testing may not be important,  
16 please, comment on what those might be.

17 So, this is the first question that  
18 we have to deal with. And I think I would  
19 like to ask Dr. Tourassi, Rosenberg and  
20 Sahiner to lead off with this one. I don't  
21 know which one of you would like to go first.

22 Dr. Tourassi?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. TOURASSI: Okay. Regarding the  
2 general question, do we need standalone  
3 testing? I do believe that it is necessary.  
4 It is a necessary part of the evaluation  
5 device for two reasons. First of all, this is  
6 the only form of performance that we have that  
7 is truly unbiased of the end user. And we  
8 need to know how this performance operates  
9 without the end user in the radiologist  
10 envelope.

11 Furthermore, to go back to a point  
12 that Dr. D'Orsi mentioned earlier, we need to  
13 educate the users regarding the expected  
14 performance of this device. And in order to  
15 educate them for that, we need to know the  
16 standalone performance.

17 And to jump to the second subpoint,  
18 whether certain substrata? Absolutely. We  
19 need to measure the standalone performance for  
20 each one of the substrata there, particularly,  
21 the type of the mammographic lesion, since  
22 there is already overwhelming evidence that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there is a huge discrepancy between the CAD  
2 performance for calcifications versus masses.

3 Do you want me to go over the other  
4 points as well?

5 CHAIRMAN GLASSMAN: If you would,  
6 yes please.

7 DR. TOURASSI: Regarding the -- how  
8 to report the endpoints over the per lesion,  
9 per view, per breast and per patient, first of  
10 all, if we collect the data, I don't see any  
11 difficulty in analyzing the data, providing  
12 all four endpoints. But I do believe that the  
13 lesion and per view analysis are the most  
14 important of all.

15 Per lesion because that affects, in  
16 the end, patient management. But per view has  
17 gained a lot more attention lately because we  
18 know that from anecdotal evidence and from  
19 some published studies, that radiologists tend  
20 to disregard CAD marks of true lesions,  
21 lesions that are marked in only one view  
22 versus the other.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I see that there is more  
2 clinical benefit into a CAD system that has a  
3 higher sensitivity on very much basis, and if  
4 we just report the performance per lesion, not  
5 per view or per breast, that's very important  
6 patient information that makes a difference,  
7 and clinical translation will be lost.

8           Regarding the marking, now I don't  
9 have clear opinions on that, but I tend to  
10 favor based on the -- what the FDA personnel  
11 presented, you know, the different overlap  
12 rules and all of that. I personally prefer  
13 the scoring using the distance of the  
14 centroids, because regarding the Computer-  
15 Aided Detection systems, the whole idea is to  
16 focus the particular -- the radiologist to  
17 particular area of the image.

18           And I believe that being in the  
19 proximity, based on the centroid business,  
20 that would be sufficient.

21           CHAIRMAN GLASSMAN: What about the  
22 last part, b? Are there any specific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 situations where you think standalone  
2 performance testing may not be useful?

3 DR. TOURASSI: No, actually, I do  
4 believe in this standalone performance.

5 CHAIRMAN GLASSMAN: Okay. Who  
6 would like to go next? Go ahead.

7 DR. CARRINO: Okay. I just have to  
8 clarify, but not as the only -- not  
9 necessarily the only testing.

10 CHAIRMAN GLASSMAN: Correct.

11 DR. CARRINO: Okay.

12 CHAIRMAN GLASSMAN: Okay.

13 DR. ROSENBERG: Yes, I believe  
14 standalone testing is necessary. As we have  
15 had discussed, it's a two-step process for  
16 CAD. One is that the device identifies the  
17 lesion, and then there is a second step which  
18 is the user interaction in which the user  
19 recognizes a CAD marking as significant or  
20 not. And that's a separate step.

21 CHAIRMAN GLASSMAN: But that's the  
22 next question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ROSENBERG: And that's another  
2 question. So I think this is necessary for  
3 CAD, maybe not sufficient. In terms of per  
4 lesion, I like per lesion and per view. I  
5 think as we are looking for smaller and  
6 smaller cancers, they are frequently going to  
7 be one view, only lesions. And we need to --  
8 but if it identifies it in both views, that  
9 gives the radiologist additional information  
10 and confirmation.

11 In terms of substrata, I think  
12 that's an important issue in terms of  
13 improving the devices in particular and making  
14 sure they are applicable to the particular  
15 clinical situation they are being used in. So  
16 we have that information.

17 I don't have a strong view of  
18 scoring methodology. I think the markings  
19 have to be close enough so that the  
20 radiologist when looking at the image and  
21 doing a workup, that they are close enough.  
22 But I don't know the difference -- different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 results that would be from different  
2 methodologies.

3 CHAIRMAN GLASSMAN: And standalone  
4 testing not being necessary for any scenarios?

5 DR. ROSENBERG: I don't see that as  
6 being useful.

7 CHAIRMAN GLASSMAN: Okay.

8 DR. SAHINER: So I agree with the  
9 two previous Panel Members about the  
10 importance of standalone testing. And I just  
11 want to add one more point. It is that when  
12 companies come back for amendments to their  
13 approved products, they may be able to show  
14 only standalone performance and compare the  
15 standalone performance to the previous version  
16 to be able to get the amendment.

17 So I think it's in the interest of  
18 the companies also to have the standalone  
19 performance in the approval process.

20 The merits of per lesion, per view,  
21 and per breast, of course, usually in a  
22 clinical situation, per breast may be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important because that's really when the  
2 patient is called back. It's likely that she  
3 will get an additional mammographic view. And  
4 in that situation, even if the identified  
5 lesion is not the true cancer, that -- since  
6 there will be another view, another mammo  
7 exam, there is a good chance that it will  
8 still help, even if the -- there is a computer  
9 mark there on a breast containing a malignant  
10 lesion, but the mark is at the wrong location.

11 And the downside is that, if for  
12 example, one does directed ultrasound, then  
13 that ultrasound may be directed at the wrong  
14 place. So I think that's something that also  
15 makes me favor per lesion analysis. And per  
16 view is, I think, important because if the  
17 radiologist sees the same thing marked in two  
18 different views and they can easily verify  
19 that it corresponds to the same structure,  
20 then it gives them more confidence that it is  
21 a true lesion.

22 So certain substrata, I think it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very important because it's important to be  
2 able to analyze the standalone performance for  
3 different types of breast composition, for  
4 example, and lesion type. We heard earlier  
5 this morning, you know, calcification versus  
6 mass, so it's very important to have that kind  
7 of strata specified in the standalone  
8 performance.

9           And for marking or scoring  
10 methodology, I believe that there may be many  
11 reasonable ways of marking or scoring, but the  
12 important thing for scoring is that it needs  
13 to be standardized. So if a company has a PMA  
14 today and then a 510(k) a year later, they  
15 should be using the same scoring methodology.

16           And I think that -- I don't know if  
17 the FDA is going to come up with a guideline  
18 document or not, but if there is one  
19 reasonable scoring methodology specified, and  
20 I don't see that there is a big downside to  
21 all the manufacturers following the same  
22 scoring methodology.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And finally, for mammography, I  
2 don't think that there are situations where  
3 standalone performance is not important. I  
4 think it is important.

5           DR. BERRY: I agree with what has  
6 been said about, that Dr. Rosenberg says it's  
7 necessary, but not sufficient. I would go  
8 further and say that, although it's critical  
9 to do standalone, this is preliminary. This  
10 is like you don't get to first base if you  
11 don't have standalone, or since we're not in  
12 baseball season but in political season, you  
13 have to get through the primaries before you  
14 can make it to the general election.

15           And so in the general election or  
16 in the confirmatory pivotal trial, standalone,  
17 I mean, you can look at it, but it's  
18 distinctly secondary. The bottom line is what  
19 does it add to the practice of the reader?  
20 And I would say that in the standalone  
21 setting, it's per lesion. In the pivotal  
22 setting, it's per patient. So I guess that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all I had.

2 CHAIRMAN GLASSMAN: Any other  
3 comments about this? Brian?

4 DR. GARRA: Yes, I would like to  
5 clarify a little bit about per lesion, per  
6 view and per breast. I would have chosen per  
7 breast, primarily because I think it's  
8 important to be able to see it on two views,  
9 especially if you're going to be planning to  
10 biopsy or something, or try to localize the  
11 lesion.

12 Seeing it on one view and scoring  
13 it as a hit when you can't see it, when it  
14 scores it as negative on the other view, I  
15 don't think is terribly going to be helpful.  
16 But it's a matter of semantics whether you  
17 want to classify that as per lesion or per  
18 breast.

19 But as long as the rules for  
20 calling it a positive in a breast, we're  
21 seeing it on two -- having it flagged properly  
22 on two views, I think that would be a good

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 criterion.

2 CHAIRMAN GLASSMAN: Let me just  
3 comment on that, if I might, you know, as  
4 somebody who reads mammograms every day when  
5 I'm not in Gaithersburg. In the screening  
6 setting though, very often we only see  
7 something on one view. And one of the reasons  
8 for the recall is to make that determination.

9 So that if we -- I would -- while,  
10 you know, I agree that once we have the per  
11 lesion and per view, it's easy to come up with  
12 the per breast. I think that as the other  
13 speakers have said that per lesion and per  
14 view in the screening situation is really what  
15 we're looking for based on what happens in  
16 mammography in daily practice.

17 DR. STEIER: I just had a question  
18 really about Item letter b. If a product were  
19 approved and then there was some degree of  
20 change in the product, substantial or not,  
21 could that trigger another FDA review? And if  
22 so, would that trigger another standalone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 process if that's what was approved? Because  
2 in that situation, there may be a question as  
3 to whether or not a complete standalone  
4 process was necessary.

5 CHAIRMAN GLASSMAN: Ms. Brogdon,  
6 please.

7 MS. BROGDON: I think we are  
8 generally assuming that a change in the  
9 algorithm would result in an application to  
10 FDA as a supplement or something to the  
11 already approved or cleared device. So our  
12 question to you is what would be your  
13 recommendations for data on these changes?

14 DR. STEIER: All right. That does  
15 complicate it. That's what I was afraid you  
16 were asking.

17 CHAIRMAN GLASSMAN: Dr. Ziskin?

18 DR. ZISKIN: Yes. I have two  
19 comments. One, is I think that per lesion is  
20 so critical. Without some demonstration  
21 that's just an effectiveness in detecting the  
22 lesion, I would have very little confidence in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 how to interpret anything else.

2           The other thing I wanted to talk  
3 about is the importance of the second point,  
4 the substrata, as far as collecting the sample  
5 of the training set and evaluation. If it  
6 didn't have a good representation of various  
7 substratas, you could have a false indication  
8 of over-optimistic view of the accuracy.

9           For example, if you didn't have any  
10 dense breasts in your testing sample, you may  
11 be misled or vice versa, it could be you have  
12 too much as far as being difficult as opposed  
13 to the general population that you would see.

14           DR. D'ORSI: I just want to amplify  
15 what Dr. Ziskin and Dr. Tourassi said. I  
16 think we have to go back and fine tune where  
17 these things work and where they are mid-level  
18 working and where they don't work as well.  
19 And I think it's extremely important for  
20 substrata separation in dense breasts,  
21 amorphous calcifications, architectural  
22 distortion. And I think it should be per

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lesion.

2 DR. CARRINO: I was wondering if  
3 that per lesion analysis would include per  
4 view, so you have a lesion that's either  
5 defined on either one or two views, and then  
6 it would include it in both.

7 CHAIRMAN GLASSMAN: I assume that  
8 that would be. If you collect per lesion -- I  
9 mean, per view data, it would be very simple  
10 to decide whether the mark in each view was  
11 the same lesion.

12 DR. CARRINO: And consider it per  
13 lesion, and you define a lesion either seen in  
14 two views or one view and then you obviate  
15 having to separate per view versus per lesion.

16 CHAIRMAN GLASSMAN: Okay. There  
17 was another. Yes?

18 DR. LEITCH: I think the issue of  
19 the substrata for the clinician, you know,  
20 when they are faced with a bunch of marks,  
21 they are going to be more impressed by those  
22 marks if they know the pattern in which the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 marks are most likely to be accurate. So I  
2 think that is a really critical defining point  
3 that needs to be clear in the dataset.

4 CHAIRMAN GLASSMAN: Yes. I mean, I  
5 certainly would agree with that. When I -- I  
6 know when I look at a CAD image, when I look  
7 at the CAD marks, what the likelihood is that  
8 they are correct based on what they are  
9 marking. And I also know where I have to  
10 maybe spend a little more time. Dr. D'Orsi's  
11 comment about the amorphous calcifications is  
12 certainly very much in my mind when I look for  
13 calcifications.

14 Those are the ones, if I have a CAD  
15 case, where I look even harder than I do for  
16 the others because I know that they are not  
17 going to be seen in general on CAD. So you  
18 know, we heard this morning that CAD does -- I  
19 mean, that cancers that are missed tend to be  
20 small masses in dense breasts.

21 We also know, based on what we know  
22 about CAD, that that is its weak point right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 now. And I think it is very important for  
2 clinicians who are looking at mammograms to be  
3 aware via the labeling process. Maybe not the  
4 analysis for approval, but certainly in the  
5 labeling process that these are strengths and  
6 weaknesses of this device or this class of  
7 devices, and you need to be aware of that.

8 DR. WONG: I think, you know, as we  
9 speak about regulations in a regulatory  
10 agency, when you look at this repository of  
11 data in a standalone test, I think it becomes  
12 very important that whoever handles this data  
13 maintains complete security of this data.

14 The data is upgraded in a fashion  
15 in which new data that is inputted into this  
16 dataset is, you know, clarified by other  
17 radiologists so that these are good pieces of  
18 data that get in because I'm afraid that  
19 eventually you can game the system. And I  
20 think that's a very important aspect of the  
21 regulation of this, of the data and developing  
22 better CAD systems.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN GLASSMAN:       Any other  
2       comments about question M1? Yes?

3                   DR. SPINDELL:     Just a comment, a  
4       question building on what Dr. Berry and Dr.  
5       Steier said. Dr. Berry said that standalone  
6       testing is essentially an invitation to the  
7       dance. Dr. Steier said what happens if they--  
8       if a company changes their algorithm? So if a  
9       company changes their algorithm, in that  
10      situation, would standalone testing be  
11      sufficient to backup the changed algorithm or  
12      not?

13                  CHAIRMAN GLASSMAN:    Anyone want to  
14      tackle what is a, I'm sure, a very important  
15      question?

16                  DR. BERRY:     I think it's a review  
17      question, as they say at the FDA. And it  
18      depends if it's a minor change, you know, if  
19      you move from one point on the ROC a little  
20      bit down, it's -- I think the FDA would go  
21      along with it without the extensive, the more  
22      extensive clinical testing. But I think as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 general matter, I think somebody indicated  
2 that maybe standalone wasn't necessary. And I  
3 think the more necessary part, even in that  
4 setting, is showing that it's adjunct status.

5 Its adjunct performance is effective.

6 DR. SPINDELL: I guess my -- the  
7 question is if we were -- if the product has  
8 already been approved and shown to be  
9 effective in adjunct and an algorithm change  
10 is made to perfect -- to affect the  
11 performance, the question again becomes it's  
12 already a marketed product. It's already  
13 shown to be safe and effective. Now, you're  
14 going to do a standalone to show equivalence  
15 to this new algorithm to the previous  
16 algorithm. Is that enough?

17 And then the other question is,  
18 again you point, it -- it's the degree of the  
19 change, then I think maybe this group could  
20 help give guidance on the amount of change or  
21 the degree of change that is necessary to say  
22 go from even no testing or standalone or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something more involved.

2 CHAIRMAN GLASSMAN: I would like to  
3 take the first part and pass the second part  
4 off to anybody who will grab it. And that,  
5 the first part being is standalone probably  
6 good enough for minor changes? And I think  
7 the answer is probably yes.

8 But the second part is really the  
9 critical one. What is a minor change and what  
10 is a major change? And I think, for me at  
11 least, not knowing anything about the  
12 algorithm that I'm being asked to look at, I  
13 would have to make a value judgment at the  
14 time. I don't think we could say, you know,  
15 with 50 percent of the formula is different;  
16 you need to start over again. But if it's 49  
17 percent and then 49 percent again next year,  
18 you don't have to.

19 I think it becomes really more of a  
20 judgment call based on what the FDA knows  
21 about the algorithm as to whether a standalone  
22 test is alone good enough, or if this is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 major rewrite and then more testing would be  
2 needed. John?

3 DR. BOURLAND: So this brings up  
4 the issue of how you test software. Software  
5 engineering and things like this, a very  
6 interesting area of work. And there are  
7 several instances where, let me just change  
8 this one thing, and then you close the door  
9 and get out of the office. And I think we  
10 have all experienced this at work that  
11 supposedly the installation of thoroughly  
12 tested software does not function.

13 So the question is if you make some  
14 small change, what is the impact? So it may  
15 have zero impact. It may render something  
16 nonfunctional, at which point you would like  
17 to know that before you ship it out, things  
18 like this. So there is an overall aspect, and  
19 some of these have had medical implications,  
20 relative to computer controlled devices and  
21 things like that.

22 Small changes can have an impact.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Maybe it's not a diagnostic impact, maybe it  
2 is.

3 CHAIRMAN GLASSMAN: Dr. D'Orsi?

4 DR. D'ORSI: Yes, maybe we should  
5 be thinking of a very standardized test set to  
6 test what is a significant change.  
7 Theoretically, there shouldn't be much  
8 difference if you keep on passing the same  
9 algorithm with the test set, and if you make  
10 an algorithm change, you ought to be able to  
11 find what is a significant change and what  
12 isn't, knowing what -- how it did before.

13 CHAIRMAN GLASSMAN: True. The only  
14 concern I would have about that is the ability  
15 to teach to the test set. If you have a  
16 standardized test set and it's the same time  
17 after time after time, companies may teach the  
18 algorithm to that test set and have an  
19 inadvertent negative effect on another  
20 substrata or another type of lesion. And so  
21 it at least would probably have to be rotating  
22 test sets with pre- and post-testing of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 random test set. Something like that maybe.

2 DR. ROSENBERG: Yes, I was thinking  
3 along the same lines that having new test sets  
4 that are nominally similar kinds of cases  
5 would make more sense than being able to  
6 accept it by the same initial test set. Also,  
7 it depends on the interface. In other words,  
8 we're talking about differences in the  
9 software for the CAD and that may not change  
10 the reader experience, but you may end up with  
11 a different reader experience if some of the  
12 interface is changed.

13 CHAIRMAN GLASSMAN: Don?

14 DR. BERRY: So what's small? I was  
15 on one of these Panels for a diagnostic  
16 procedure where the company merely wanted to  
17 move the cut-point. They didn't change  
18 anything. They didn't change the data. They  
19 just wanted to move the cut-point from 10 down  
20 to 5.

21 And this was a whole Panel meeting  
22 to discuss this. And I wanted to know why the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 FDA needed to bring us all to Washington to  
2 talk about moving 10 to 5. My own calculation  
3 was that if you move to 5, the prevalence was  
4 the same as the positive predictive value.  
5 And so, the -- you know, it's a worthless  
6 test.

7 But the Panel was split on the  
8 question. And we had this, you know, enormous  
9 discussion about what I perceived to be  
10 minutia. So I look to the FDA to make  
11 decisions about this and to not bring Panels  
12 here to talk about minutia.

13 CHAIRMAN GLASSMAN: Are there any  
14 other comments? Dr. Garra?

15 DR. GARRA: A lot of the comments  
16 that were made were ones that I was going to  
17 make. But I think you can look at the  
18 algorithm. The FDA would have to look at the  
19 algorithm and say did you do additional  
20 training on a test set to arrive at this  
21 algorithm in the case of a neural network, for  
22 instance, or did you specifically make changes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 based on one of the test sets that you were  
2 previously presented?

3 In that case, I think a new test  
4 set would need to be presented to that  
5 algorithm. One that the company did not --  
6 would not be aware of. In other words, what  
7 Len was saying, the rotating test set, so that  
8 people don't get wise to the questions being  
9 asked.

10 And that would have to be used as a  
11 criterion for passing the new algorithm. And  
12 for minor changes, I would also consider  
13 changing the set point as a minor change, and  
14 that wouldn't be something that you would need  
15 to do retraining on your original test data  
16 on. So that wouldn't fall into the category  
17 of a major algorithm change.

18 CHAIRMAN GLASSMAN: Do we have one  
19 more comment and then I want to summarize and  
20 move on. Yes, Dr. Tourassi?

21 DR. TOURASSI: I'm actually a bit  
22 confused with the discussion because you were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 talking about change on the algorithm, and if  
2 that change will cause performance change to  
3 the fact that it is efficiently equivalent  
4 with the previous one.

5 And then we are mixing now data  
6 handling. What is training? What is testing?

7 Let's assume that there is this standardized  
8 testing set, completely dependent sitting on  
9 the side. I think any minor change -- I'm  
10 assuming now that we have these endpoints of  
11 performance in the substrata analysis.

12 Any change in the algorithm that  
13 isn't reduced, as long as it maintains the  
14 performance in the substrata, then it is  
15 equivalent performance. And I assume some of  
16 those changes will show improvement in one of  
17 the substrata. Let's say better performance  
18 for masses or for architectural distortion.

19 Then the standalone performance,  
20 according to that criteria, is sufficient.

21 DR. GARRA: Can I just say a  
22 comment to that? I think that's true, except

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we don't have those test sets.

2 CHAIRMAN GLASSMAN: Okay.

3 DR. GARRA: They are not available  
4 currently. So what are you going to do in the  
5 interim until they are available?

6 DR. TOURASSI: Well, you --

7 CHAIRMAN GLASSMAN: Ms. Brogdon?

8 DR. TOURASSI: It is one of the  
9 points that we are going to discuss, how to  
10 deal with the --

11 CHAIRMAN GLASSMAN: No, no.

12 DR. TOURASSI: -- trained test  
13 situation.

14 CHAIRMAN GLASSMAN: Okay. Ms.  
15 Brogdon, you had a comment, and then I want to  
16 summarize.

17 MS. BROGDON: Thank you. I just  
18 wanted to respond to Dr. Berry's question or  
19 comment. We don't intentionally bring minutia  
20 to a Panel. It's very resource intensive for  
21 us to --

22 DR. BERRY: No, my point was that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 small is up to the eye of the beholder.

2 MS. BROGDON: Sure. We have raised  
3 a lot of issues that we would like the Panel  
4 to discuss. Most of these are issues that  
5 have come up in our discussions with companies  
6 and in our review of these matters. So, in  
7 all of these questions that we have put to  
8 you, if you do believe that some of them are  
9 not important, we do want you to let us know  
10 about those things.

11 Many of these will become so-called  
12 review issues, but our intent is to draft a  
13 guidance document that can go through our  
14 guidance process, and then we have a document  
15 where we have an outline for the industry on  
16 how they can study and bring in data for their  
17 devices.

18 So as few -- the fewer things that  
19 simply become unpredictable review issues the  
20 better. The more we can provide guidance on,  
21 the better for the companies. Thanks.

22 CHAIRMAN GLASSMAN: Okay. Let me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then try to summarize our feelings about  
2 question M1. That standalone testing is  
3 necessary, but not sufficient for the approval  
4 -- or for the evaluation of a product. That  
5 it gives the unbiased look at the function of  
6 the product without the confounding effects of  
7 the end user, and that that is valuable.

8 The group felt that while all the  
9 per lesion, per view, per breast, and per  
10 patient endpoints were useful, I think the  
11 general sentiment was per lesion and per view  
12 were most useful in evaluating this.

13 I think everyone felt that the data  
14 for the substrata was really very important  
15 for analysis and for labeling, so that the end  
16 users know what it is good for and what it is  
17 not good for. And that the one comment about  
18 the centroid distance went unchallenged, I  
19 think, because we probably all agree with it  
20 as a marking.

21 The other very simple thing I would  
22 like to say is in terms of the marking and not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the scoring, bigger is in general better.  
2 There is nothing worse than looking at a case  
3 and then not remembering if you saw any marks  
4 on it. So I think, you know, they should  
5 stand out. It's simple, but I think it's  
6 true.

7 We could not come up with any  
8 instances where standalone performance testing  
9 would not be important, so we think it's  
10 important across. And we felt that changes in  
11 the algorithm should require some testing, but  
12 exactly where the line is between standalone  
13 only and more extensive testing, we didn't  
14 have a line that we could draw for you.

15 Is that sufficient for the first  
16 question, Ms. Brogdon?

17 MS. BROGDON: Yes, it is. Thank  
18 you very much.

19 CHAIRMAN GLASSMAN: Okay. Thank  
20 you.

21 DR. BERRY: Dr. Glassman, can I  
22 ask, I made a point that in the pivotal study

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that standalone should not be primary. And  
2 that the primary should be in the, you know,  
3 adjunct use of the device. And this is  
4 important for somebody who is designing a  
5 trial. You know, what do you write down as  
6 the primary endpoint?

7 And so I would like to get some  
8 feeling from the Panel as to whether I'm, you  
9 know, a lone wolf in that regard.

10 CHAIRMAN GLASSMAN: I think that  
11 will come out in the next question, at least I  
12 hope so.

13 DR. BERRY: Okay. So the next  
14 question is going to specifically address  
15 should this --

16 CHAIRMAN GLASSMAN: The next  
17 question looks at reader performance.

18 DR. BERRY: Okay. And so, if we  
19 say there that that is primary, then that  
20 immediately suggests that the standalone is  
21 secondary?

22 CHAIRMAN GLASSMAN: If that's the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 consensus of the group.

2 DR. BERRY: If that's the  
3 consensus.

4 CHAIRMAN GLASSMAN: Certainly.

5 DR. BERRY: Okay.

6 CHAIRMAN GLASSMAN: So let us go on  
7 to question -- I forgot. I have the next  
8 question. You don't have it yet. Okay, I'm  
9 sorry. Let's go on to Question No. 2.

10 Please discuss the role of reader  
11 performance testing in the clinical evaluation  
12 of mammography CAD devices, and the rest of  
13 it.

14 (a) If you believe reader performance  
15 testing should be considered in the evaluation  
16 of these devices, please provide your comments  
17 and recommendations on:

18 (i) The appropriate primary endpoints  
19 and corresponding clinically significant  
20 effect sizes, specifically to comment on the  
21 use of ROC analyses;

22 (ii) The merits of per lesion, per view,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 per breast and/or per patient endpoints in the  
2 assessment of the endpoints for reader  
3 studies;

4 (iii) Whether effectiveness analysis  
5 should be conducted separately or not for  
6 cancers manifesting as masses versus  
7 microcalcifications;

8 (iv) Whether reading time should be  
9 assessed, and if so, how.

10 (b) If you believe that there are any  
11 specific situations where reader performance  
12 testing may not be necessary, please comment  
13 on what those might be.

14 Okay. That is the second question.

15 Who would like to take that on first? Dr.  
16 Dodd?

17 DR. DODD: I will try. I'll skip  
18 No. 1 at first, because I think that's a  
19 difficult question. I want to come back, and  
20 this was a point that Dr. Berry brought up  
21 about the preference for a per patient  
22 endpoint.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think this is a subtle issue, and  
2 I think in a prospective trial per patient  
3 endpoints are clearly important because you  
4 can begin to connect the dots between, you  
5 know, the radiologist's determination for the  
6 patient, and what happens is a sequence of  
7 events that follow after that.

8 When you're doing retrospective  
9 studies, it's not as clear to me that per  
10 patient endpoints should be the primary  
11 endpoint largely because we need to know if  
12 the CAD marking in this particular lesion  
13 would have caused that chain of events to  
14 follow.

15 And so, you know, in the absence of  
16 a prospective study, I think we need to give  
17 some consideration to per lesion endpoints in  
18 this context.

19 And with question 3, I'm not sure I  
20 understand the question exactly. I think we  
21 don't have to consider effectiveness  
22 separately for these various types of cancers,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but we might want to include them as co-  
2 variates in some modeling of either  
3 sensitivity/specificity or ROC analyses.

4 And in terms of reading time, I  
5 think that should clearly be assessed. This  
6 is an important part of something that should  
7 be included in the labeling.

8 Going back to the first question,  
9 which I'll just start. I hope some of you  
10 will help me out here. I do have a bias for  
11 ROC analysis, but I struggle with what is a  
12 clinically significant improvement in ROC  
13 analysis or in the area under the curve.

14 I have heard some numbers thrown  
15 out before like .06, but part of that depends  
16 on what the underlying area under the curve  
17 is. So I don't want to throw any numbers out  
18 because I don't want anybody to stick to a  
19 particular number. So I'm not going to throw  
20 a number out on that.

21 But going back to what Dr. Berry  
22 said about using reader studies as being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 necessary for a pivotal trial, I do feel  
2 strongly -- I do agree with that point. And,  
3 you know because we really need to understand  
4 how the reader interacts with the CAD  
5 markings.

6 That said there may be situations  
7 if you do some modifications to a CAD  
8 algorithm in which you might -- in which a  
9 standalone testing might suffice.

10 CHAIRMAN GLASSMAN: Any other --  
11 not any other, but who would like to go next?

12 DR. LEITCH: Well, I'm not going to  
13 talk about the ROC curve either. I'll leave  
14 that to the experts on that. But I do think  
15 that in the -- you know, which per lesion, per  
16 breast, it should be per patient in the  
17 clinical aspect, because the effect of these  
18 technologies added in on the population, you  
19 know, your whole screening population, how is  
20 it affected.

21 So I think the per patient endpoint  
22 is important in that. Not that you couldn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 use the others, but I think you do have to  
2 have the per patient.

3 And I do think the analyses should  
4 take into account masses versus  
5 microcalcifications, again, for the similar  
6 point in the first that physicians will be  
7 better aware of the limitations and where CAD  
8 might be most likely to help them. And so I  
9 think that is important in this.

10 Reading time should be evaluated  
11 because again that's part of clinical  
12 practice. How long does it take you to do  
13 this? Does it help you? Does it hurt you?  
14 Does it help the patient? Hurt you -- it  
15 helps the patient a lot? So it's worth it,  
16 you know, to have a sense of that.

17 And that timing should take into  
18 account not just the, you know, the reader  
19 time for the with CAD and without, but some  
20 sort of computation of what is involved in the  
21 subsequent, you know, additional workup that  
22 you do based on your findings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN GLASSMAN: Let me just ask  
2 one quick question then about reading time.  
3 As a user, certainly, I would like to know how  
4 long it takes. But if I was looking at safety  
5 and efficacy, I really don't care how long it  
6 takes. If it's very effective and I need it,  
7 I'm stuck with it. So I'm not sure that  
8 reading time is really all that important.

9                   DR. LEITCH: Well, I think you --  
10 again, when you are talking about screening a  
11 large population, I mean, you do have to take  
12 into account what are the efficiencies of  
13 screening a large population. So again, it's  
14 exactly your point. If you say well, I -- you  
15 spend this extra time, but in the end, this is  
16 your reward. So people know, you know, what  
17 that equation is. I think there is something  
18 to be said for that.

19                  DR. SAHINER: I think when I read  
20 the briefing document for effectiveness, it  
21 talks about a significant portion of the  
22 target population. In a significant portion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the target population, the use of the  
2 device were intended use and conditions of use  
3 will -- that will be a clinically significant  
4 result.

5 So it doesn't say anything about  
6 how efficient the radiologist is in reading  
7 those images, but whether for the patient in  
8 the end, there is a clinical -- clinically  
9 significant result or not. So, I sort of  
10 agree with you that if it improves the  
11 performance, you know, if it takes 40 seconds  
12 instead of 20 seconds to read, then you know,  
13 that's a different consideration. That's not  
14 a consideration of efficacy.

15 CHAIRMAN GLASSMAN: Dr. Berry?

16 DR. BERRY: So I think all of these  
17 things are important for one to address in  
18 building an algorithm and assessing whether or  
19 not it is ready for prime time. The issue of  
20 per lesion and the relationship to the  
21 readers, the chain of events that Dr. Dodd  
22 talked about, the masses versus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 microcalcifications very important to  
2 understand whether or not the device is doing  
3 something and what it is doing.

4 The issue, however, of running the  
5 study, the final study it has to be simple. I  
6 mean, you can't get encumbered in saying, you  
7 know, I'm good at picking up masses. I'm not  
8 good at picking up microcalcifications. What  
9 matters is the inevitable balance of the risk  
10 and benefit. And that's a per patient  
11 analysis, that's an analysis that one has to  
12 do.

13 And you know, we statisticians are  
14 frequently accused of being, you know, the  
15 average. On the average, you're okay, but  
16 your head is in the icebox and your feet are  
17 in the oven or something like that. But you  
18 have -- it has to be an average. It has to be  
19 simple. And you have to show a benefit in the  
20 population.

21 And so focusing on these other  
22 things, although critical in development and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 critical in assessing what you are doing, the  
2 primary analysis really has to be quite  
3 simple, otherwise, we statisticians won't know  
4 what to do nor will MDs and others.

5 CHAIRMAN GLASSMAN: Let me ask a  
6 follow-up to that, Dr. Berry. I think you  
7 bring up a very interesting point. Would it  
8 in the mind of the Panel be sufficient for the  
9 standalone testing to be used for evaluation  
10 of the substrata? But that the reader testing  
11 be a simpler examination looking at either  
12 just per patient data or per patient and the  
13 subsets and not worry about the substrata?

14 Is that an acceptable, not  
15 recommendation, but way potentially to do  
16 this, to keep it simple and give us yet all  
17 the data that we need?

18 DR. BERRY: Can I address that? I  
19 mean, despite what I said just a minute ago, I  
20 do think that there are some substrata that  
21 are critical in the pivotal phase: age, for  
22 example. Suppose for -- that we were to find

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that CADs didn't add anything for women in  
2 their 40s, that their breasts tend to be dense  
3 and the -- we are -- maybe it's even negative.

4 Maybe the false positive rate is high.

5 So I think that there are some  
6 really important things like age to address in  
7 looking at the utility. That already makes my  
8 simple trial more difficult, because I'm  
9 looking at subsets, and everybody knows the  
10 vagaries of doing subset analyses. But I  
11 think some amount of investigating that is  
12 important.

13 CHAIRMAN GLASSMAN: Yes?

14 DR. DODD: I too, believe in simple  
15 trials. However, I think when you get to the  
16 stage of doing retrospective trials; things  
17 cannot be as simple, especially if you are  
18 looking at enriched designs. You have to  
19 start thinking about exactly which types of  
20 cases you are going to select. Make sure that  
21 you have enough of the full range, the full  
22 spectrum of disease in the case. And at that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 point, things become, by necessity, more  
2 complex.

3 DR. BERRY: So, Lori, would you  
4 worry about masses versus microcalcifications?

5 Would you worry about that you picked up, I  
6 mean, your point about the chain of events  
7 being critical? Would you worry that you  
8 picked up this lesion as opposed to that  
9 lesion? And picking up that lesion led you to  
10 look more clearly, and you found the other  
11 lesion, even though it wasn't indicated on the  
12 CAD.

13 DR. DODD: I'll address the latter  
14 question. I think the concern I have about  
15 whether you pick up this lesion or that lesion  
16 really has to do with my inference to what the  
17 next reader is going to -- how the next reader  
18 is going to interpret that. So -- and we  
19 can't do that in the absence of a prospective  
20 trial.

21 So, I would want to know, and I'm  
22 not sure -- I mean, I still believe in a per

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient analysis, but I do want to have some  
2 per lesion analysis, so I know, you know, what  
3 proportion of lesions are we missing and what  
4 types of lesions are we missing.

5 And, if an algorithm tends to not  
6 mark the ones that we consider most important  
7 in terms of action items or -- then that's a  
8 concern.

9 CHAIRMAN GLASSMAN: Let me --

10 DR. BERRY: So the issue of masses  
11 versus microcalcifications?

12 CHAIRMAN GLASSMAN: Dr. Berry? Can  
13 I? Let me -- this is a two-way conversation.  
14 I want to break it up for a second. Other  
15 comments?

16 DR. SAHINER: So of course, we are  
17 free to discuss, you know, in what kind of  
18 substrata the data can be analyzed. But I  
19 think the specific question was only for  
20 masses versus microcalcifications. So it's  
21 not going into too much detail of, you know,  
22 what the patient age -- patient age might be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important, too.

2 But like the breast density or, you  
3 know, other types of pathology, but it was  
4 just whether masses versus microcalcifications  
5 because in practice we see such a huge  
6 difference between the performances of  
7 standalone CAD systems for masses and  
8 microcalcifications.

9 So I think in that respect because  
10 there is such a huge difference between the  
11 two and the standalone performance, I think it  
12 would be important to analyze it and let the -  
13 - again, the final purpose is to let the users  
14 know that for masses currently, the  
15 performance is worse than that for  
16 microcalcifications.

17 And I just wanted to clarify what I  
18 said a moment ago about the reading time. I  
19 didn't mean that it's important. I think it's  
20 very important actually from many aspects. I  
21 just don't think that it -- it may not be  
22 something that the FDA might consider when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reviewing applications.

2 CHAIRMAN GLASSMAN: Dr. D'Orsi?

3 DR. D'ORSI: Yes, I think you have  
4 to look at what this device is intended to do.  
5 It's intended to pick up findings. Let's  
6 ignore diagnostic for a minute, which we  
7 should in the detection phase. It's meant to  
8 pick up findings.

9 We have to know what kind of  
10 findings it does well in, what kind it's  
11 mediocre and what kind it really needs  
12 retraining in. So that, to me, you can get on  
13 a standalone, initially. You can't leave the  
14 end user out because these things are not made  
15 to use as standalone. They are made to  
16 perform with an end user.

17 So I think you can use the  
18 standalone to get some idea about the strata.

19 And I agree with the timing, while it is not  
20 important for the FDA to consider because you  
21 are not considering financials and efficacy  
22 and time constraints, it is extremely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important clinically.

2           There aren't enough people to read  
3 these exams. So if you're going to take even  
4 40 seconds more to read 5,000 exams in a year,  
5 that's a significant amount of time when there  
6 isn't an adequate man force to do this. So I  
7 think we have to consider what these devices  
8 are meant to do, and that's to pick up  
9 findings. Not to tell you this is malignant  
10 and that's not. That's up to the reader to  
11 decide to recall or not.

12           So if it falls down in a whole  
13 category of lesions, as we know it does  
14 markedly with some forms of calcifications and  
15 in architectural distortion, that's important  
16 to know. We haven't done that yet. We have  
17 done it very anecdotally. We don't know how  
18 these things work in all these areas. We have  
19 to back up and look at all of this.

20           CHAIRMAN GLASSMAN: We're getting  
21 way behind, so let's -- we'll look at the  
22 answers to your questions, and then I think we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have to move on.

2 DR. GARRA: I just wanted to  
3 comment. I agree with Carl about, you know,  
4 timing is really important, because you know  
5 what it translates into: some people not  
6 getting an exam or not getting it interpreted  
7 in a timely fashion. So if the FDA is going  
8 to consider, they have to consider timin,  
9 because it translates in a world of limited  
10 resources into a delay in diagnosis which is a  
11 critical issue for screening mammography.

12 We have had people that have been  
13 waiting for six, eight weeks, 12 weeks for a  
14 screening mammogram.

15 DR. WATT: I wanted to second  
16 Carl's statements. The other thing which I  
17 would throw in is that we need to know  
18 specifically the devices. How effective they  
19 are for masses, how effective they are for  
20 calcification, because these devices are going  
21 to be used by a variety of radiologists with  
22 differing experiences. And I think that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's a very important thing.

2 And this is where the use of the  
3 ROC analyses in reader studies will be  
4 important because how an expert looks at the  
5 film with a CAD marker on it and how an  
6 inexperienced person looks at it may be two  
7 different things. An, indeed, time relating  
8 to that is going to come into the field,  
9 because manpower is, indeed, being decreased.

10 And so it's going to be -- the CAD is  
11 probably in many cases going to be used as a  
12 second reader, and some may even use it as a  
13 first reader, which is incorrect, but the  
14 labeling is going to be important there.

15 CHAIRMAN GLASSMAN: Are there any  
16 other -- 10 second? Okay, Dr. Berry?

17 DR. BERRY: We're not going to  
18 approve -- the FDA is not going to approve a  
19 device to find microcalcifications. I mean,  
20 what would you put in the label? It's  
21 important to see, it's important to say this  
22 is good at that and good at the other thing,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but it's secondary.

2 CHAIRMAN GLASSMAN: Okay. Let me  
3 try and summarize for Ms. Brogdon what we have  
4 done with Question M2. I think Dr. Berry's  
5 comment that this should -- that reader  
6 studies should be the primary analysis is  
7 unchallenged and the opinion of the Committee.

8 That per patient endpoints with the  
9 reader study is very important although per  
10 lesion and per view should not be completely  
11 ignored. ROC analyses were thought to be a  
12 good thing, but we really couldn't get anybody  
13 to commit much as to how good.

14 DR. GARRA: Can I comment on that?  
15 I wanted to.

16 CHAIRMAN GLASSMAN: Let me --

17 DR. GARRA: You can finish.

18 CHAIRMAN GLASSMAN: Let me finish,  
19 okay? Whether effectiveness should be  
20 conducted for cancers with different findings,  
21 I think the answer there was clearly yes. And  
22 I think it was the sense of the Committee that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reading time is an important factor for at  
2 least knowledge for labeling.

3 Is there -- are there specific  
4 situations where reader performance testing  
5 may not be necessary? One comment went  
6 unchallenged. That for minor modifications  
7 that standalone retesting would probably be  
8 sufficient.

9 Now, there was -- Brian, you had  
10 one comment.

11 DR. GARRA: Well, since we didn't  
12 address that ROC issue really directly, I  
13 thought it might be a good idea to do that.  
14 One of the reasons I'm not super enamored with  
15 per lesion analysis is because it does  
16 complicate, in my opinion, the ROC analysis  
17 somewhat.

18 But I believe, we have some smart  
19 people here and if they can figure out a way  
20 to do it, I'm not a big fan of, in other  
21 words, a free response ROC. I don't think  
22 that is going to get at the issues that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 want to get at.

2 But it's important, but the point  
3 that Lori brought up earlier was very -- also  
4 important, because the shape of the ROC curve  
5 may be very unusual in certain cases, just  
6 looking at the overall area under the ROC  
7 curve is probably not going to be meaningful  
8 in itself. That you are going to have to look  
9 at the shape of the curve, and you may have to  
10 go to partial areas under the ROC curve in the  
11 areas of greatest interest to look for small  
12 differences between tests; otherwise, they are  
13 going to be swamped by the overall distortions  
14 in the curves.

15 CHAIRMAN GLASSMAN: Okay.

16 DR. GARRA: Thank you.

17 CHAIRMAN GLASSMAN: Ms. Brogdon,  
18 does that give you the information that you  
19 need on Question 2?

20 MS. BROGDON: Yes, it does. Thank  
21 you.

22 CHAIRMAN GLASSMAN: Okay. Question

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 M3. Please discuss whether there are other  
2 types of performance testing you believe  
3 should be considered in the clinical  
4 evaluation of mammography CAD devices.

5 Who would like to start off with  
6 that? Yes?

7 DR. ABBEY: I guess I just wanted  
8 to start with a question. This is where I  
9 thought the free response ROC curve might be  
10 discussed. And so I would like to hear more  
11 on why you think it won't work.

12 DR. GARRA: Well, it certainly  
13 works. It has been published plenty of times.

14 But I think that the analysis of what a free  
15 response ROC curve means is a little more  
16 complicated. It's not a simple sensitivity  
17 versus one of specificity. So it's -- you  
18 have a lot of undefined quantities in a free  
19 response ROC curve. So it makes it harder to  
20 analyze.

21 Now, the statistics has advanced a  
22 lot since they were first published, talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about free response ROC curves. And there may  
2 be ways around some of those, but they are not  
3 trivial.

4 CHAIRMAN GLASSMAN: I think that's  
5 -- if we go much deeper into that, we will be  
6 way past where we need to be here. If we  
7 could. I don't mean to stop discussion. I'm  
8 actually trying to keep it going. Any other--  
9 let me ask a question then under M3.

10 And that is, is retrospective  
11 testing enough, both in reader and standalone,  
12 as opposed to prospective testing for a new  
13 application or a major redo to an algorithm?  
14 Dr. Berry?

15 DR. BERRY: Yes.

16 CHAIRMAN GLASSMAN: We're making up  
17 time here really well.

18 DR. DODD: Yes, I would just like  
19 to -- I agree that retrospective is  
20 sufficient. I think that, you know, some  
21 people on the marker world talk about  
22 prospective/retrospective studies, or maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I've got it backward retrospective/  
2 prospective studies, you know, in the context  
3 of ongoing trials, like if the data were --  
4 had been available from DMIST or something.

5 If you could collect data like that  
6 retrospectively, with the appropriate follow-  
7 up data to do some kind of retrospective  
8 analysis, that would be ideal for these  
9 retrospective studies.

10 CHAIRMAN GLASSMAN: Someone else?

11 DR. D'ORSI: I was just going to  
12 say yes, I agree that retrospective data are  
13 suitable.

14 DR. BERRY: Yes, I agree fully. As  
15 a matter of fact, I think it's desirable. I  
16 don't think you can do a correct prospective  
17 study, which would have to be randomized, in  
18 order to get rid of a lot of the bias, and  
19 that's totally impossible today by numbers and  
20 by people not being allowed to be assigned to  
21 one or the other.

22 So I think not only is it the way

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to go, I think it's the best way to go.

2 CHAIRMAN GLASSMAN: Any other  
3 comments about M3? Is this sufficient, Ms.  
4 Brogdon?

5 MS. BROGDON: Yes, thank you.

6 CHAIRMAN GLASSMAN: Good.  
7 Wonderful. M4. The prevalence of breast  
8 cancer cases in a screening population is  
9 relatively low. Please provide comments on  
10 the practice of using an enriched dataset for  
11 the clinical evaluation testing discussed in  
12 M1, 2 and 3. And then there were a number of  
13 sub-questions.

14 (a) If you believe that an enriched  
15 dataset may be used for these evaluations,  
16 please discuss what you believe to be the  
17 appropriate clinical and mammographic  
18 characteristics or range of characteristics.  
19 Please consider whether the following  
20 characteristics of the screening population  
21 should be considered when designing an  
22 enriched database or stress test:

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (i) Breast density: 40 to 50 percent of  
2 patients with heterogeneously dense or  
3 extremely dense breasts;

4 (ii) Proportion and types of masses and  
5 microcalcifications, approximately, evenly  
6 distributed with a sufficient number of  
7 additional patients with architectural  
8 distortion alone;

9 (iii) Size and palpability for cancers;  
10 non-palpable and a majority with size less  
11 than 1 centimeter;

12 (iv) Distribution of  
13 microcalcifications; small clusters of up to  
14 five microcalcifications for a third of the  
15 cases, and;

16 (v) Type of microcalcification clusters.

17 According to the American College of  
18 Radiology BI-RADS descriptors, e.g.,  
19 punctuate, fine linear, round, et cetera.

20 In addition, please comment on  
21 whether the expected effect size should be  
22 adjusted if an enriched dataset is used. If

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 so, how and why?

2           So again, to come back to the first  
3 -- can we come back to the first paragraph of  
4 this question? So if we're going to use an  
5 enriched dataset, how should we handle this  
6 and the different substrata of mammographic  
7 imaging?

8           DR. ROSENBERG: I'll take a shot.

9           CHAIRMAN GLASSMAN: Thanks.

10           DR. ROSENBERG: I think it's  
11 necessary simply because of the low prevalence  
12 that we use an enriched dataset. I think we  
13 run the risk when we start manipulating it to  
14 too great a degree that it doesn't become  
15 clinically representative data. So my  
16 suggestion would be that the enrichment be for  
17 cancers of the types that are routinely  
18 identified in clinical practice, and less  
19 manipulation of the size and types of findings  
20 that are present if that makes sense.

21           CHAIRMAN GLASSMAN: Dr. Tourassi,  
22 you had a comment and then Dr. Berry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. TOURASSI: In principle, I  
2 agree with Dr. Rosenberg. Certainly, we need  
3 an enriched dataset. I do not believe in so  
4 much micromanagement of, you know, the size or  
5 the type of classifications. Definitely not  
6 palpable masses, that is a different group.

7 But in terms of how this dataset  
8 will be created, I think, it depends on if  
9 we're looking at the standalone performance or  
10 the reader-based performance because for the  
11 standalone performances we talked about the  
12 significance of having the different  
13 substrata. We certainly need to collect the  
14 sufficient number of cases for each one of the  
15 substrata.

16 But when it comes to the reader  
17 performance and this is actually what the  
18 system is going to do in the clinic, I believe  
19 consecutive cases which represent the overall  
20 screening population, this is what matters.  
21 And because, of course, we cannot collect  
22 enough cancer cases in a consecutive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 population, I would say enriched dataset with  
2 consecutive cancer cases.

3 By having that consecutive  
4 collection of data points, we know that we  
5 represent the overall population, the overall  
6 prevalence of masses versus calcifications  
7 versus architectural distortions. So there  
8 should be different set of criteria, some more  
9 relaxed for the reader-based assessment of the  
10 devices.

11 CHAIRMAN GLASSMAN: Dr. Berry?

12 DR. BERRY: So I agree with Dr.  
13 Rosenberg about the representative cases, and  
14 I do think that enrichment is essential for  
15 all of the reasons that have been discussed.  
16 The dig on enrichment that we heard earlier,  
17 quite legitimately, is that the reader will  
18 come to know what the enrichment was and be  
19 biased accordingly.

20 And I take it that that's because  
21 the only thing that is being considered in  
22 enrichment designs is you randomize or you mix

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 up things, so that the -- there is a, you  
2 know, equal distribution throughout the entire  
3 study. We have talked about a database. You  
4 can imagine a database that you would enrich  
5 on the basis of cases.

6 What hasn't been considered and  
7 would be quite effective would be, not to  
8 randomize. You shuffle the cases, so that  
9 they are random, maybe, or worry about trying  
10 to get things consecutive, as Dr. Tourassi  
11 indicated. You shuffle the non-cases, but  
12 then you vary things, so that the proportion  
13 of cases is not always the same. That,  
14 sometimes you are in the background setting,  
15 where there is almost no cases.

16 And sometimes you have a greater  
17 proportion. And thinking forward where this  
18 database is going to be used for different  
19 companies, you would want to vary that from  
20 one to the next. So you have different  
21 functions that talk about that -- that relate  
22 the intensity of cases over time and that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701