

1 important or not? Does this substance delay
2 this effect?

3 DR. CERFOLIO: Can I answer that?
4 Unpublished literature that's coming looking
5 at I think 1,400 patients that we've done
6 lobectomies on, large percentages were sent
7 home. These have no sealants. These are just
8 my patients over the last four or five years
9 where we didn't have any sealant.

10 A large number of patients go home
11 with pneumothoraxes, which I term fixed
12 pleural space deficits, and about one or two
13 percent will come back with subcutaneous
14 emphysema, require a chest tube, either
15 because they're symptomatic and they feel it,
16 or you see them -- they're asymptomatic and
17 you see them back at a month.

18 So it still happens, but it's
19 pretty small; two or three percent probably.

20 DR. BIRNBACH: LoCicero?

21 DR. LOCICERO: We've been dealing
22 with chest tubes since Hippocrates put a quill

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in somebody. And we probably have not
2 progressed any further than Hippocrates in our
3 -- in our reuniform management of chest tubes.

4 So I think we're having a problem
5 here, because despite the fact that thoracic
6 surgeons do the same procedure all over the
7 country, all over the world, our management is
8 very different. How long it stayed it stayed
9 on suction, how long it stays after there's no
10 air leak is extremely variable and essentially
11 based on gut.

12 To give you a completely different
13 experience, we recently had a patient we were
14 trying a new device, where you digitally see
15 an air leak. There's no bubbles.

16 The device read zero. I didn't
17 believe it. We left the tubes in for four
18 days. It really -- it's just totally based on
19 the way we feel about the patient, and if
20 there's no air leak at one day, and we can
21 take the tube out at one day, we're too
22 nervous. So we're not going to do it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so we're getting hung up here
2 on hours after the air leak stops, and whether
3 or not the tube is going to come out sooner,
4 and there may be other reasons why the tube is
5 staying in when there's no air leak. It might
6 be because there's increased drainage and the
7 patient is not going home because they can't
8 get into a rehab facility, or because they
9 don't have a ride home, or because they
10 haven't met their financial obligation, or
11 because they're got atrial fibrillation or a
12 thousand other reasons.

13 So we really have to deal
14 specifically with did this product stop and
15 air leak period? I think we're off into areas
16 that are giving us some issues. So in terms
17 of this, we need to look at the data that's
18 listed here on -- by the FDA and by the -- by
19 the sponsor in terms of air leaks.

20 And then looking at the residual
21 space issues, they're almost all in upper lobe
22 patients, which tells us that this is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 problem with upper lobe resection. It's not
2 necessarily a problem with a device.

3 Now we have to deal with the issue
4 of a number of patients who had these Heimlich
5 valves as whether or not that was appropriate
6 or not. And you know, the observation of the
7 Heimlich valve as a patient comes in the
8 office, we place the tube under water and ask
9 them to cough and see if they bubble. And if
10 they don't bubble, then the air leak is gone.

11 If they bubble, the air leak is still there,
12 and they go home, come back the next week.

13 So we're back to the question that
14 we had before, which is when does the air leak
15 really stop? And I don't know that we have
16 the data from the sponsor to tell us that
17 exactly. So we're --

18 DR. BIRNBACH: We're sort of
19 circulating back to the same.

20 DR. LOCICERO: I was just going to
21 say if I can try to stop the circle a little
22 bit to summarize what I believe I'm hearing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the panel, and then I will give you
2 opportunity to tell me whether or not you
3 agree with my summation.

4 It's that based on the data we have
5 now, which is somewhat inconclusive, we can't
6 actually comment on effectiveness at
7 decreasing air leaks.

8 DR. CASSIERE: I'd like to comment
9 on that. I think it's pretty clear that
10 contaminated air in the pleural cavity is bad.
11 If you could decrease the contamination of
12 air, contaminated air in the pleural cavity,
13 that would be good.

14 I think the sponsor showed some
15 adequate data to show that it actually
16 decreases the amount of contaminated air into
17 the chest, and you're left with residual space
18 that's not contaminated. That's the first
19 thing.

20 So looking at the literature,
21 having contaminated air in the chest is going
22 to cause pneumonia empyema and death. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think what some of us are asking the sponsor
2 is to prove that gut feeling, or thing that's
3 based in medicine, that having contaminated
4 air in the chest is bad.

5 I think we should come to the
6 conclusion, and we can discuss it amongst
7 ourselves and clinicians if we believe that's
8 a true statement. I personally believe that's
9 a true statement. So once you get over that
10 hurdle, the next step is, well, what are some
11 of the safety issues that I see with this
12 product?

13 We haven't really mentioned does
14 this product exacerbate hypovolemia after
15 surgery? We have patients who have oliguria.

16 We have some data that shows that this
17 product can actually absorb -- potentially
18 absorb fluid.

19 So I mean I'm looking at the issues
20 differently. Clinically, it looks like it
21 stops air leaks. Great. And then we have to
22 make an assessment: do we actually believe the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 literature that we read that an air space
2 that's left over that's not contaminated is
3 bad. I happen to believe that it's not. And
4 I'm interested to see what some of the other
5 panel members have to say about that.

6 DR. JEEVANANDAM: I agree with you.

7 I think that air space that has contaminated
8 air is bad, and an air leak that has
9 contaminated air is bad. I just think with
10 this device, coming out of the operating room
11 and the recovery room it certainly stops air
12 leaks. But if you look at the data within
13 four days, the amount of air leaks, whether
14 you have it with the device or with control is
15 the same.

16 So it's probably only at that point
17 that you start getting real contaminated air
18 because you're now out of the operating room
19 and the recovery room setting. So I think
20 there's no question it stops air leaks in the
21 immediate post operative period, probably just
22 reflects the fact that if you don't use the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device after four days, most air leaks stop
2 anyway.

3 So I think I agree with you that
4 contaminated leaking air is bad. But does
5 this device after four days prevent that from
6 happening? The data shows that it doesn't.
7 It prevents it before, but after four -- after
8 four days, the control group catches up.

9 DR. CASSIERE: Well, if you take a
10 look at the -- the percentage of patients that
11 had air leaks to controls, at least from
12 looking at the data, the -- the sponsor has
13 actually shown that there's actually a
14 decrease in the number of patients who have
15 air leaks when they have the sealant.

16 So I think it does show that
17 there's decreased contamination in the pleural
18 space from the air leak unless you're viewing
19 it differently.

20 DR. JEEVANANDAM: Right. I mean
21 you're right in that if you look at the number
22 of patients who've never had an air leak,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there is -- it is higher in the sealant group.
2 But after four days, the numbers are
3 equivalent. And numbers are equivalent in
4 that after four days, the same number of
5 patients have no air leaks.

6 DR. BIRNBACH: So let me revise my
7 summary. It appears that the sponsor has
8 shown that this does decrease perioperative
9 air leaks in the acute period after several
10 days. We're not quite sure whether or not
11 this is effective, or whether this changes the
12 clinical course. So it's pretty clear that
13 based on that inconclusiveness that we are
14 going to ask for more data. Are there any
15 opposing opinions about that?

16 DR. WILCOX: That's why it was the
17 design of the study to show that it -- it
18 decreases air leaks. That was the point of
19 the design, was it not? And we don't know
20 about future --

21 DR. BIRNBACH: Well, it was --

22 DR. WILCOX: -- empyema or anything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like that. That was not build into the --

2 DR. BIRNBACH: As suggested by the
3 FDA, the bigger question may not be to look at
4 air leaks in the recovery room, but rather how
5 this device is going to be used out there, and
6 that may mean that we need to ask the
7 question, "Does this device stop air leaks
8 period?" rather than looking at the primary
9 end point per se. Does anyone on the panel
10 have any other opinions about that? Dr. Ries,
11 your head is shaking.

12 DR. RIES: I'm basically agreeing
13 with you. I mean I think I'm very sympathetic
14 to surgical colleagues, and don't -- any more
15 than they do, I understand their frustration
16 at seeing bubbles in the chest tube when you
17 come in, and post-operatively.

18 But I think to me, what the sense
19 I'm getting is the product makes the surgeon
20 feel better, the physician feel better. You
21 see less air leaks in the immediate post
22 operative period. But I'm not sure that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 helps the patient because within -- within six
2 days, 85 percent of the control patients had
3 no air leak, and 75 percent of the treated
4 patients had no air leaks.

5 So actually more of the control
6 patients were air leak free within the first
7 week. And the chest tubes similarly came out
8 sooner in the control patients.

9 DR. CASSIERE: Well, if we could,
10 look at slide 57. It has under the section
11 here, "No air leaks for one-month follow up
12 from the recovery room," 35.6 percent of the
13 sealant patients, and 14 percent of the
14 control patients are air leak free for that
15 entire period.

16 DR. NORMAND: That's the question.
17 The question is it's not measured at 30 days
18 for everybody. And there's the question that
19 we really wanted to see. So I find that
20 statistic difficult to interpret because we
21 know for a fact that it's not one month for
22 everybody. For some people it's two weeks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 For some people it's 30 days plus two weeks.
2 And therein lies the problem of using this 01
3 variable. So I think that's very misleading.

4 One has to assume -- one can't
5 interpret that without correcting for the fact
6 that the date of ascertainment of that
7 information varies from patient to patient.

8 DR. BIRNBACH: Can I -- can I
9 suggest that we do this one at a time through
10 the chair so that we don't turn into the Wild
11 West? Dr. Ries?

12 DR. RIES: I believe that the
13 difference is that the primary outcome
14 variable is based on continuous absence of air
15 leak, and as we know, air leaks can be
16 somewhat intermittent.

17 So presumably, what's happening in
18 terms of the -- the -- when the patient
19 reaches a stayable state of having no air leak
20 is different than looking at someone who has
21 had absolutely no air leak through those first
22 few days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CASSIERE: Well, that's what
2 this data is saying, that no air leak through
3 one month from the recovery room: 35.6 percent
4 sealant, 14 percent control. And I understand
5 your concern about the variability and the
6 days of follow up.

7 DR. BIRNBACH: Dr. Normand?

8 DR. NORMAND: Again, it's not just
9 a concern. It's how you interpret that
10 finding. And I'm not saying the finding is
11 wrong, because I don't know, because I haven't
12 been provided with -- it could be most of them
13 are assessed for the sealant group at 16 days,
14 and most of them -- now I know on means they
15 were the same, but it's very difficult. I
16 don't want to guess at the answer as opposed
17 to looking at something I know is not correct.

18 DR. CASSIERE: Well, you'd have to
19 say then that the way the data could be skewed
20 is you'd have to say then at their far .4 to
21 six weeks down the road, you'd expect a big
22 spike in leaks from the sealant patients, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opposed to the control, which clinically
2 doesn't make much sense, actually. You're
3 going to have more of an up front than later
4 on.

5 DR. BIRNBACH: Rather than allowing
6 this to self perpetuate, let me ask you. You
7 said you have alternative summary to our panel
8 answer for question one?

9 DR. CASSIERE: Well, the only
10 summary is that I think that the sponsor has
11 shown that the -- the sealant decreases leaks.
12 That's convincing to me. The second thing,
13 though, that I need to come to grips with is
14 the safety part of it.

15 The safety part of it for me is two
16 things: one, in relation to Dr. Loeb's
17 comment, changing the approach to the patient.

18 We're used to taking care of these patients
19 and seeing the leaks early on, and not later.

20 That may be a whole different approach to how
21 thoracic -- maybe not surgeons take care of
22 the patient, but thoracic residents.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If you're in an academic
2 institution, the residents are usually being
3 trained to take care of air leaks early on.
4 It may be a surprise to say that we're using a
5 product, and you may see late air leaks after
6 the fact.

7 The second thing has to do with the
8 issue of the renal problems -- so called renal
9 problems with the product, which may be
10 related to fluid management, as was
11 ascertained earlier. We try to keep these
12 patients dry initially post op, and does this
13 product exacerbate hypovolemia? That's the
14 issue that's going through my mind.

15 DR. BIRNBACH: So is the panel okay
16 with our consensus, including Dr. Cassiere,
17 that we do need more data before we can
18 conclusively state that the sponsor has shown
19 that this is safe and effective at reducing
20 air leaks?

21 DR. CASSIERE: I think it reduces
22 air leaks. In terms of the safety, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually looking forward to hearing some of
2 the panel members' opinions about the renal
3 component. That's my take on the renal
4 component is there's really a volume issue,
5 not really a toxicity issue.

6 DR. BIRNBACH: All right, let's go
7 onto question two, then. Actually, have we
8 addressed the FDA issues on question one to
9 allow us to go to question two?

10 MR. MELKERSON: I believe you've
11 given us enough to consider, yes.

12 DR. DURFOR: Question two: ProGEL
13 Surgical Sealant is comprised of compromised
14 of bifunctional polyethylene glycol cross
15 linker and human serum albumin. Clearance
16 studies of the C14 label sealant in rats
17 revealed that urine was the primary route of
18 excretion with the majority of clearance
19 occurring in one to three days after
20 implantation.

21 In the second study over 50 percent
22 of the C14 label device was excreted after one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 day, and virtually all radioactivity was
2 recovered 14 days after implantation.

3 In the PMA study, post-operative
4 renal dysfunction, oliguria, acute renal
5 failure, abnormal renal function was observed
6 in nine of 103, or 8.7 percent of the sealant,
7 and two of 58, or 3.4 of the control patients.

8 While three of nine sealant, and one of two
9 control subjects who had an adverse event
10 related to renal function also had a pre-
11 existing renal disease, severe adverse renal
12 events occurred in five of nine sealant, and
13 one of two control subjects.

14 Considering the device composition,
15 the pre-clinical data on renal excretion, the
16 clinical data on renal dysfunction, and the
17 information on renal adverse events presented
18 in the executive summary, and the patient
19 population in the study intended for
20 commercial use, please discuss the clinical
21 significance of these findings and the
22 possibility that renal events that occurred

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 during the clinical study were device related.

2 DR. BIRNBACH: So the panel also
3 had some concerns about renal function as
4 relates to use of this device. Anyone on the
5 panel have any comments about the renal
6 issues? Yes, Ms. Petersen?

7 MS. PETERSEN: I have a question
8 for the clinicians and surgeons who would
9 actually be using the product in the operating
10 room. I'm wondering in this study, as I
11 understand it, the product was used to seal
12 known air leaks, things that were detected
13 following the suturing in the procedure. Is
14 it possible that in -- as the FDA suggested
15 earlier when the product is in use by the
16 average surgeons, in average people outside
17 the tertiary care environment, is it possible
18 that patients could be exposed to considerably
19 more sealant in the -- in the actual use real
20 world of the product, and that we could see
21 additional renal adverse effects as a result
22 of greater exposure, or the implementation in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the real world?

2 And I'm asking because not being a
3 clinician, I don't know what you would
4 actually do with it in the OR.

5 DR. BIRNBACH: So the question
6 would relate to do we think that renal
7 function abnormalities were directly due to
8 the device, or is this an indirect action as
9 Dr. Cassiere has suggested previously? Anyone
10 on the panel have any comments about what they
11 think is going on? Dr. Lillard?

12 DR. LILLARD: I don't know exactly
13 what's going on, but I think it's also
14 interesting if you look at table 37 on the
15 sponsor's summary, the clinical data. I've
16 been looking over this table, and there's also
17 a significant amount of adverse cardiac events
18 as well.

19 Look at atrial fibrillations,
20 cardiac arrests, arrhythmias, cardiac failure,
21 myocardial infarction. It adds up to 7.8
22 percent in the sealant groups and 3.4 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in a control group, much similar to the
2 adverse renal events of 39 -- I'm sorry, 3.8
3 percent for the sealant groups, and 1.7
4 percent.

5 And if you consider that this
6 PEGylated product is excreted within -- 50
7 percent is excreted in the first 24 hours,
8 we're not seeing similar types of toxicities
9 to the liver. This further points to some --
10 some component causing these toxicities in
11 both cardiovascular as well as the renal --

12 DR. BIRNBACH: Dr. Normand, the
13 statistics of that suggestion, is that
14 "kosher," to take a look at all of those
15 various side effects and now say there may be
16 a safety issue in the cardiac realm?

17 DR. NORMAND: You see, I was quiet.
18 I wasn't going to say anything. I'm just
19 being very quiet here. Again, obviously this
20 study is not powered to detect adverse events.

21 So I think you can look at them by looking at
22 them, and making comments that way. But we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know that you can't -- you don't have a power
2 -- the power to test here. But these are just
3 lists to provide insights, so.

4 DR. BIRNBACH: Okay, so let's go
5 back to insight. Do we believe, or is there
6 any discussion that would allow us to believe
7 that the "renal failure," or the renal
8 function abnormalities are somehow related to
9 the sealant, rather than an indirect effect.
10 Anyone on the panel have any thoughts on that?

11 DR. JEEVANANDAM: I don't know if
12 we ever really know the answer. I guess
13 polyethylene glycol, if it's excreted in the
14 kidney, is going to have some kind of adverse
15 renal effect.

16 I mean the only thing that worries
17 me is I was on the panel a couple of times for
18 Aprotinin. And Aprotinin in all its
19 randomized clinical trials had suggestions of
20 renal dysfunction, but there was nothing ever
21 shown to be statistically significant. And
22 then once it got used very often in cardiac

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surgeries, as you know it's now pulled of the
2 market because of renal dysfunction.

3 So I know this study wasn't powered
4 to look at renal dysfunction, but it is
5 excreted in the kidney, and there's a
6 suggestion of -- of renal effect. So I don't
7 know if we'll ever know the answer from this
8 study.

9 We will never know the answer from
10 this study, but we're looking at efficacy.
11 We're looking at safety. And I guess there's
12 a balance. And if something was supremely
13 efficacious, so to speak, and you can accept a
14 little bit of risk, I guess. I'm trying to
15 balance the efficacy and safety issue here.

16 DR. BIRNBACH: Well, do we really
17 believe that there's a safety issue? The
18 sponsor led us to believe that this renal
19 function abnormality was not very serious, was
20 short lived. It was probably due to other
21 factors. So what do we think about that? Dr.
22 Lillard?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LILLARD: Even a short-lived
2 renal insult could have repercussions later.
3 So in fact, I wanted to ask that question to
4 put this in the proper context. How sick
5 exactly are these patients? What's their mean
6 survival term? I wanted to get a sense of
7 that as well.

8 DR. BIRNBACH: So would that be a
9 question for us, or rather for the sponsor?

10 DR. LOCICERO: I think we can
11 answer that.

12 DR. BIRNBACH: Okay.

13 DR. LOCICERO: In looking at this
14 study design, this clearly was an effort by
15 the sponsor to get the study done in a short
16 period of time, accrue a number of patients
17 rapidly. And they went to big centers that
18 are tertiary care facilities.

19 These patients in general are
20 sicker than the general population of patients
21 that you would see for a standard operation.
22 The other problem with this study is that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resection type of totally heterogeneous. So
2 you get some wedger sections, you get
3 lobectomies, you get some extended stuff. The
4 only thing that's not included is
5 pneumonectomy.

6 So you have a very heterogenous
7 population of sicker patients, and now you're
8 trying to work with that. So a lot of what
9 we're dealing with on safety issues here are
10 going to be rely skewed, and it's not powered
11 to study it anyway.

12 DR. BIRNBACH: But Dr. LoCicero,
13 you're not concerned about the renal -- our
14 question here is renal. And so I'm trying to
15 bring it back to that very specific question.

16 DR. LOCICERO: Okay. So just to
17 finish that, these centers are also the
18 centers that believe, like many of us, that
19 these patients require fluid restriction
20 during the operation, and post operatively.
21 And so these are going to be the patients who
22 would see renal failure more than others.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think it's totally confounded.

2 And I can't tell.

3 DR. BIRNBACH: Dr. Wiswell, you had
4 a comment?

5 DR. WISWELL: In a simple way of
6 looking at it, I was looking at dose response
7 to the amount, the quantity of sealant. And
8 then just looking at the tables, I really
9 don't see a dose response. Those were a
10 fairly high proportion, 7.2 ml or more, and
11 they were no more likely to get acute renal
12 failure.

13 Two of the patients with acute
14 renal failure had a very low amount of the
15 sealant going in, and so I'm at the point that
16 I think that there is probably not a true
17 relationship with this sealant, but more of a
18 relationship with the perioperative
19 management, the fluids.

20 DR. BIRNBACH: And wouldn't the
21 perioperative management of people in the
22 control group be the same in those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 institutions as people in the sealant group?

2 DR. WISWELL: I would think so, but
3 I think --

4 DR. BIRNBACH: I mean you keep them
5 dry in that hospital. Wouldn't you keep
6 everybody dry?

7 DR. WISWELL: Right. I agree with
8 you, but I think this is such a heterogenous
9 group of very sick patients, and we're only
10 talking about a fairly small population; one
11 or two patients either way having acute renal
12 failure to make it look or appear to be
13 something really bad.

14 So they're going to be more prone
15 to it, and I just need something that's more
16 striking to me in terms of the renal function
17 tests, or acute renal failure to really
18 convince me that there is a direct
19 relationship.

20 DR. BIRNBACH: Dr. Normand?

21 DR. NORMAND: I'm obviously not
22 going to comment on whether or not this is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue, but the one comment I wanted to raise,
2 which is along with something Ms. Petersen
3 raised, was the issue of having the sealant
4 applied to air leaks that otherwise could not
5 be treated.

6 And so we are, if there is a -- or
7 isn't a dose response relationship, this is
8 important to know because in theory, we know
9 that it'll be -- the average patient now will
10 be getting more than currently just because
11 this device can be applied to air leaks that
12 currently can't be treated.

13 And again, I don't know if that's a
14 good thing or a bad thing, but I just wanted
15 to raise that in terms of the discussions that
16 the clinicians are having.

17 DR. BIRNBACH: Dr. Ries?

18 DR. RIES: I was just going to
19 agree with some of the other comments. But I
20 wouldn't fear this as a great safety -- a
21 large safety issue. I mean there is -- if we
22 trust the randomization and the control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aspects of the study, then there are some
2 trends of differences in renal function in the
3 -- in the treated patients, which we should
4 attribute to the intervention.

5 I would say it's of concern, and I
6 think Ms. Petersen raises a very valid point.

7 It's very likely that in general practice
8 this product would be used in larger doses
9 than it was being used in this study. And if
10 there is a concern, it would -- it's something
11 that would really warrant close monitoring.

12 DR. BIRNBACH: Dr. Jeevanandam?

13 DR. JEEVANANDAM: I think -- I mean
14 this study is not going to answer the question
15 now. It has to do a much larger study. It's
16 not going to answer a question. The only
17 solution may be to mandate post-approval
18 surveillance or a database of these products
19 being used and look at renal dysfunction.

20 DR. BIRNBACH: Okay, so -- Dr.
21 Stoller.

22 DR. STOLLER: So let me say that I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 share the committee's sense that renal
2 dysfunction related to the device itself is
3 unlikely. Having said that, I'm still stuck
4 on some discordant data in the various reports
5 that allow me to address this.

6 In particular, we're told that
7 among the deaths, for example, there was
8 multiple organ system failure in -- in several
9 of the sealant patients that -- that exceeded
10 the percentage in those of the control group,
11 the ARDS patients in particular.

12 And one has to imagine that renal
13 failure was part of that scenario, and yet I'm
14 struck that that doesn't necessarily appear in
15 the reporting of renal failure in the outcome
16 measures, number one. And then number two, on
17 page 56 of the -- of the sponsor's report,
18 table 37, the same table that Dr. Lillard
19 referred to, the incidence estimates or the
20 prevalence estimates more precisely of ARDS
21 are said to be the same in both groups, one
22 and one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so I find it very difficult to
2 kind of weigh in on the safety issues when I
3 actually don't -- I don't have a real feel for
4 the consistency of the data about events that
5 I would regard while biologically being
6 unlikely to be related, nonetheless have a
7 prevalence maldistribution between the two
8 groups, mainly the ARDS issue.

9 So while not an issue of renal
10 dysfunction per se, there is a certain
11 relationship between renal dysfunction that
12 doesn't appear in the renal dysfunction data
13 as we see it reported. So I find myself
14 confused about being able to answer the
15 question.

16 DR. BIRNBACH: Dr. Topoleski?

17 DR. TOPOLESKI: I just wanted to
18 say that one thing going for this is that
19 there's a lot of experience in other
20 biomaterials with PEG and even serum albumin,
21 and they don't seem to have any problems. And
22 in fact, going back to the question of dose,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it doesn't appear to be so much a dose as the
2 molecular weight of the polyethylene glycol,
3 which is why I asked the question before, and
4 this is well below what is pretty well known
5 that the kidneys can handle successfully.

6 DR. LILLARD: I would agree with
7 that, but this is a -- this is a unique
8 product that is -- it's forming a gel as it's
9 being cleared. So very different from some of
10 the other PEG related proteins that are on the
11 market.

12 DR. TOPOLESKI: Is it forming a gel
13 as it's cleared? I thought it degraded, and
14 you had the separate products that are cleared
15 separately? Or maybe --

16 DR. LILLARD: I thought it formed -
17 - it starts to form a gel within the first --

18 DR. TOPOLESKI: It forms a gel, but
19 then when it's degraded, you get -- maybe we
20 can ask the sponsor? You get the isolated PEG
21 and the serum --

22 DR. BIRNBACH: That is my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understanding as well. Does the sponsor want
2 to --

3 DR. TOPOLESKI: It goes back to
4 your comment about how sick are these
5 patients, and in other uses of the
6 biomaterials, the patients would not be that
7 sick.

8 DR. BIRNBACH: Go ahead.

9 DR. PARKS: Your understanding is
10 correct.

11 DR. BIRNBACH: So once again I will
12 attempt to summarize what is probably a gray
13 zone. If I understand what we have said, it's
14 that the renal dysfunction is probably not
15 directly related to the device, however, the
16 renal dysfunction may or may not be a concern.

17
18 We are somewhat concerned,
19 especially in light of the fact that when used
20 outside, this may be used by different people
21 in different amounts. But that looking at the
22 level of dysfunction that we saw now,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinically, we are not terribly concerned by
2 these numbers. Would that be a reasonable
3 consensus of what was discussed here? Dr.
4 Ries?

5 DR. RIES: I would agree with one
6 exception. I think that we don't have a great
7 deal of concern, but the concern we do have, I
8 would think is related to the device because
9 it was a randomized controlled trial.

10 DR. BIRNBACH: And I believe that
11 we're also suggesting potentially that that
12 concern would translate into some level of
13 post marking surveillance. Anyone have any
14 opinions that are otherwise? Is that okay Mr.
15 Melkerson?

16 MR. MELKERSON: That addresses our
17 question. Thank you.

18 DR. BIRNBACH: Question three?

19 DR. DURFOR: The results of the
20 randomized two to one ration controlled multi-
21 center study, in which 103 patients were
22 treated with ProGEL Surgical Sealant, and 58

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 received control treatment R.

2 And at this point, this slide
3 provides for you a summation of the primary
4 and secondary end points. Air leak free
5 through one month, 35 versus 14 percent;
6 duration of post operative air leaks and days
7 median and mean values are displayed.
8 Duration of chest tube placement median and
9 mean values are displayed.

10 There was an asterisk there. On
11 that asterisk is part of what we ask you to
12 consider, which is the non-equal use of
13 Heimlich valve in sealant and control patients
14 in considering that in terms of your
15 consideration of those end points, and length
16 of hospital stay in the median and mean values
17 are also displayed.

18 Partial lung expansion, once again
19 as we've discussed before, was 33 percent in
20 the sealant patients, and 12 percent in the
21 control patient.

22 Do the data presented in PMA 010047

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 demonstrate a reasonable assurance of
2 effectiveness, i.e. in a significant portion
3 of the target population the use of ProGEL
4 Surgical Sealant for its intended uses and
5 conditions of use when accompanied by adequate
6 directions for use, and warnings, will provide
7 clinically significant, meaningful results?

8 DR. BIRNBACH: So related to
9 question 3, which is the presence or absence
10 of clinically significant and meaningful
11 results. Does the panel have any comments?
12 And if I might, I would like to ask Dr.
13 Normand about -- just to summarize the many
14 conversations that we've had thus far today
15 about how we should be looking at the length
16 of stay data or not, as the case may be.

17 DR. NORMAND: The way we should be
18 looking -- so I think we've determined that
19 length of stay is measured from hospital
20 discharge. Oh, no, I'm not taking about the
21 one-month follow up. So length of stay. So
22 we should be looking at length of stay using a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Kaplan-Meier.

2 DR. BIRNBACH: And if indeed way
3 more patients in one group got a Heimlich
4 valve than in the other group?

5 DR. NORMAND: Then that -- my
6 understanding is that was treated
7 appropriately in the statistical analysis by
8 assuming that they were censored due to
9 Heimlich.

10 So I would believe -- my
11 understanding of what the FDA showed, which
12 did show a PF.04 in terms of a benefit of
13 length of stay, in my opinion, that seems the
14 way we should be looking at it.

15 DR. BIRNBACH: Okay. So now, does
16 the panel have any comments on clinically
17 significant and meaningful results as related
18 to air leaks? Maybe I should make it a
19 clinical question. You want to use it in your
20 practice?

21 DR. CASSIERE: Well, I'll -- I'll -
22 - if you look at the air leak-free through one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 month, it's 35 percent in the sealant, 14
2 percent in the control group, which is
3 statistically significant. The next question
4 is do you think not having an air leak is
5 significant? And my opinion is yes.

6 DR. BIRNBACH: And thoracic
7 surgeons?

8 DR. JEEVANANDAM: That is being
9 truly air leak free. But if you look after
10 four days, again, the number of air leaks in
11 both groups were the same. And the question
12 is clinically significant and meaningful
13 results. Yes, this thing definitely stops air
14 leaks. And if that's what end point is, I
15 think that is true that it stops air leaks.

16 Now, does that lead to clinically
17 better results? We haven't shown it in this
18 study.

19 DR. BIRNBACH: Any other comments?

20 Dr. Normand?

21 DR. NORMAND: Again, I'm -- just
22 because it's sort of I just have to remind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everybody at least in terms of the one-month
2 of follow up. Again, it's statistically
3 significant if the assumptions are met for
4 using that test, and my claim, the assumptions
5 are not met for that test. So judging at
6 least we don't -- we have every reason to
7 believe the statistical assumptions for that
8 test aren't met. Therefore, it's very
9 difficult to interpret that P value.

10 DR. BIRNBACH: Dr. LoCicero?

11 DR. LOCICERO: All thoracic
12 surgeons seek the holy grail of no air leak.
13 And expanded space? No space issues. It
14 makes our pulmonologists happy. It makes us
15 happy. So I think in terms of would we use
16 this in our practice, we certainly would. But
17 now we're putting the burden of proof on the
18 individual surgeon, and their own experience
19 with the product.

20 DR. BIRNBACH: Any other comments?

21 We're still talking about whether or not
22 there's a clinically significant and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meaningful result. Dr. Wiswell?

2 DR. WISWELL: A couple of thoughts.

3 For me, going home two days earlier is a good
4 thing. So I think that's clinically
5 significant. To me, not having an air leak
6 and a certain portion of the population for
7 roughly four days, a four day period, even
8 though it's a short period, is probably also a
9 good thing.

10 There is that potential for a
11 contaminated air leak, potentially to cause
12 some severe problems, and perhaps even death.

13 So those are two good things.

14 DR. BIRNBACH: Dr. Loeb, I saw your
15 head shaking. Do you have any comments? No.

16 All right, so if I were to summarize question
17 three, any other comments before I attempt to
18 summarize this?

19 I believe the sponsors did clearly
20 show that this product stops air leaks in the
21 perioperative period, defining whether there's
22 a difference at four days versus 30 days, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there were some issues about the 30-day
2 measurement. Whether or not there are
3 clinically better results, the suggestion is
4 that there may very well be, and some of the
5 things that we saw, such as hospital stay that
6 we believe is reduced may actually be
7 clinically significant for patients.

8 So while we have to stop somewhere
9 short of jumping up and down and saying, "This
10 is going to revolutionize the practice of
11 thoracic surgery," it is clear that it may
12 have some clinical advantages. Would that be
13 an overall summary of what we've been
14 discussing? Any opposing opinions?

15 Okay, Mr. Melkerson, is that
16 adequate?

17 MR. MELKERSON: Thank you very
18 much.

19 DR. BIRNBACH: Question 4?

20 DR. DURFOR: Do the data -- excuse
21 me. Do the data presented in PMAP010047 for
22 ProGEL Surgical Sealant used with standard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 care, compared to controlled standard care
2 alone, adequately demonstrate a reasonable
3 level of risk of adverse events, illness or
4 injury associated with the use of a device for
5 its intended uses and conditions of use?

6 DR. BIRNBACH: Okay, so question
7 four. Not that these aren't all related to
8 one another, but this one asks us to discuss
9 the reasonable level of risk of adverse
10 events, illness or injuries associated with
11 the use of this device for its intended uses.

12 Any comments for the panel? Dr. Stoller?

13 DR. STOLLER: I'll just revisit.
14 Again, I'm of two minds. On the one hand, I
15 find it difficult -- this regards the ARDS
16 occurrence. On one hand, I find it difficult
17 to implicate this from a biological
18 plausibility point of view in causes acute
19 respiratory distress syndrome.

20 On the other hand, there is a
21 maldistribution in the death rates with regard
22 to ARDS. So at the bare minimum, I would say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that one can't ignore a somewhat small signal.

2 At the bare minimum, it would require some
3 post marketing assessment of that, but one
4 could say that the severity of that outcome is
5 such that it might actually rise to a level of
6 a higher concern.

7 DR. BIRNBACH: Any other comments?
8 Ms. Petersen?

9 MS. PETERSEN: I think we can say
10 that they demonstrate a particular level of
11 risk of adverse events when used to treat non-
12 air leaks.

13 I don't think we can say that they
14 adequately demonstrate any particular level of
15 risk for adverse events if they were used more
16 broadly, or perhaps even to coat the entire
17 surgical incision as a preventative measure,
18 which is admittedly not necessarily the
19 question asked by this particular study, but
20 does reflect how the project might be used in
21 the at-large population not being treated at
22 tertiary care academic medical centers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: Any other comments?
2 Dr. Loeb?

3 DR. LOEB: I'm not -- I'm with Dr.
4 Stoller in that he said, "I don't it's very
5 plausible that the product causes -- has a
6 great biological risk." And I actually looked
7 at table 36, the sealant and control adverse
8 affects greater than two percent, and across
9 the board on almost every road, the sealant
10 had a higher adverse effect, the numbers are
11 higher, than with the controls.

12 And my reading of that is that
13 somehow or another sicker patients were
14 involved in the sealant group. So my --
15 because it's not in any one area that I'm
16 seeing that there are higher -- higher
17 numbers.

18 So my reading of the adverse
19 effects and my knowledge of the biological
20 affects are that it doesn't pose any great
21 risk, and -- and --

22 DR. BIRNBACH: So if I were to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 attempt to -- Dr. Lillard?

2 DR. LILLARD: Well, could someone
3 define what is a reasonable level of risk?
4 Does that --

5 DR. BIRNBACH: Well, I think we'd
6 all have to be concerned with a risk-benefit
7 ratio here. What is the risk of having an air
8 leak that was preventable, versus what is the
9 risk of having either renal toxicity or ARDS
10 secondary to the device? That's the way I
11 would define it, unless anyone on the panel
12 has another definition.

13 If I were to summarize, I would
14 suggest using the FDA's wording that we were
15 adequately shown a reasonable level of risk.
16 However, we also can't ignore a possible
17 trend, and post marketing surveillance would
18 be necessary to see if that actually existed
19 or not. Any opposing opinion or other
20 comments? Dr. Normand?

21 DR. NORMAND: I just wanted to
22 follow up on the comment that was made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regarding perhaps the assumption that the
2 sealant patients were generally sicker than
3 the control patients. I think that was your
4 suggestion of what might be related to the
5 adverse events.

6 And I just wanted to -- I know when
7 we looked at the observables in terms of the
8 characteristics of the two cohorts, I believed
9 there wasn't anything there that would
10 indicate that, but I may be misremembering.

11 And so the fact that they were
12 randomized within -- within institution, it
13 sort of makes that argument less plausible, I
14 think, unless the randomization is supposed to
15 balance on measureables, either.

16 So I find that -- I'm just sort of
17 giving you the context that I can't believe
18 that would be the reason. There's everything
19 pointed against that for that being the reason
20 that that is they're just sicker based on both
21 the observables and the fact they were
22 randomized within centers. So I just wanted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to put that out.

2 DR. BIRNBACH: Dr. Domino, did you
3 have a comment?

4 DR. DOMINO: I just wanted to --
5 again, I'm sort of hung up with the fact that
6 this is a really small study. It's
7 underpowered to detect complications. There's
8 some trends that are somewhat disturbing, and
9 so I just wanted to point that out.

10 DR. BIRNBACH: So as far as I see
11 it, the summary still exists that we believe
12 that they have demonstrated a reasonable level
13 of risk. However, we can't ignore the
14 possibility that there may actually be a risk
15 that we did not see based on the size, the
16 sample size and the fact that is was not
17 powered to look at this, and the fact that we
18 do have this trend, and we would need more
19 information about renal function and renal
20 failure.

21 Do I have agreement from the panel
22 that that's actually what we said?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. STOLLER: And ARDS.

2 DR. BIRNBACH: And ARDS, sorry.

3 Dr. Lillard?

4 DR. LILLARD: And cardiac.

5 DR. BIRNBACH: ARDS, renal and
6 cardiac. Okay, Mr. Melkerson, is that
7 adequate?

8 MR. MELKERSON: That is. Thank
9 you.

10 DR. CASSIERE: Actually, I'm just
11 curious about the cardiac effects. It's
12 because I'm not clear on looking at what I was
13 looking at if there was an increase in the
14 cardiac. Because the atrial fibrillation
15 looked in the same group, and I'm going down
16 the list on the cardiac things. It's not
17 anything that I would expect out of the
18 ordinary from post-thoracic surgery, unless
19 there's something I'm missing in terms of --

20 DR. LILLARD: No, I understand, and
21 you may very well be correct. I'm not a
22 physician. But when I add up the A's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 attributed to cardiac function, 7.8 percent
2 for the sealants, and 3.4 --

3 DR. BIRNBACH: And that may just be
4 based on the fact of the sample size. I don't
5 know that we can make any statement here that
6 there is some issue that we believe that the
7 patients who have the device had a big risk.
8 I don't think we can say that. But unless
9 someone on the -- yes?

10 DR. WISWELL: Just one quick
11 comment. I don't think you can just add them
12 up, because the same patient can be counted as
13 having several different ones, so the same
14 patient can be counted multiple times in that
15 table for the serious adverse events. And so
16 we don't know if it's a true increase, or if
17 it's the same patient having a couple of
18 those.

19 DR. BIRNBACH: Dr. Stoller?

20 DR. STOLLER: I would just again
21 add the asterisk comment with regard to my
22 ARDS comments, that -- that there's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discordance between the prevalence as recorded
2 in the deaths and the actual prevalence in the
3 data. So that if uncertainty on the
4 committee's part with regard to the accuracy
5 of the reporting feeds into somehow the level
6 of confidence in the conclusion, I would have
7 to say that if I were to apply a level of
8 confidence to the -- not only should we give a
9 yes/no answer, but we should apply a level of
10 confidence to the degree we have in that
11 answer. And I would say my level of
12 confidence is very low.

13 DR. BIRNBACH: Any other comments
14 from the panel? Mr. Melkerson, you're still
15 okay with that summation?

16 MR. MELKERSON: I was just reminded
17 by our post approval study group that when
18 you're referring to these things as being post
19 approval, are you truly saying that these are
20 things that do not need to be addressed pre-
21 market versus post-market? Post-market says
22 it can be things like you address things like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you see a trend that you're not comfortable
2 with, and the data can't answer that.

3 If I were to guess what we haven't
4 discussed that the panel has been subtly
5 saying is that this particular question can be
6 handled in a post-market fashion. But I'm not
7 quite sure because Dr. Stoller's last comment
8 suggests otherwise.

9 So while that had been my thought,
10 your comment suggests maybe that you believe
11 that we would need more data with a much
12 larger study pre --

13 DR. STOLLER: So the issue comes
14 down to clinical significance. And it's kind
15 of like the standard gamble question. How
16 much risk are you willing to take to develop
17 an outcome that is catastrophic, which is
18 ARDS?

19 And so while on the one hand, let
20 me reiterate that from a biologic plausibility
21 point of view, it's hard for me to relate this
22 gel to the development of ARDS. Nonetheless,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think the history of medicine is full of
2 lapses between our biologic understanding and
3 phenomenon that we observe in randomized
4 trials. And I think it would arrogant to
5 ignore the trend.

6 Having said that, I would say that
7 given the level of severity of ARDS as an
8 outcome would be one that might cause me to
9 say I wouldn't be comfortable with allocating
10 this to post-marketing approval, but that it
11 might be a question I'd like to have answered
12 up front, even though my level of biologic
13 concern, given my current knowledge in 2008 is
14 low.

15 DR. BIRNBACH: Dr. Wiswell?

16 DR. WISWELL: I don't know if we
17 have enough information about ARDS, and -- and
18 how it was diagnosed. I don't know if in the
19 -- obviously we had some data that the FDA
20 presented in their presentation, and the
21 sponsor, and there is -- I don't know if it's
22 the standard definition for ARDS that came

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about 14 years ago, and specific P/F ratios,
2 et cetera, and you have to meet all those
3 criteria.

4 This is a high risk population for
5 ARDS, also. So I don't know if we have enough
6 information about it in both groups to have a
7 major concern now or not. And I guess that's
8 a quandary that we're in.

9 DR. BIRNBACH: Dr. Spindell?

10 DR. SPINDELL: Getting back to Dr.
11 Stoller's comment, and Dr. Wiswell, we had one
12 slide that showed one ARDS in the control, and
13 three in the sealant group. And then we saw
14 another slide from the manufacturer that said
15 three in the control group, and three in the
16 sealant group. So I -- I think that ought to
17 be rectified before somebody makes a judgment.

18 DR. BIRNBACH: Assuming that the
19 data is straight, the question from the FDA,
20 however, still says, "If we believe that there
21 is even a trend here, is this something that
22 needs to be addressed pre-approval, or is this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something that in our minds is of a low enough
2 level to allow it to occur post-market
3 surveillance?" Yes, Dr. Spindell?

4 DR. SPINDELL: What gets back to
5 Dr. Wiswell's comments is if we look at this
6 slide that the manufacturer presented, there
7 is no trend in ARDS. Both sides had three
8 people with ARDS. And these people are high
9 risk populations; it's semi-understandable.

10 If the FDA slide is the correct
11 slide, but one in the control and three in
12 ARDS, that -- that might be a different
13 evaluation. And I think that's one of the
14 things that needs clear.

15 DR. BIRNBACH: Right. So what I
16 was saying is since we won't be here when we
17 figure that out, if the FDA's data at the end
18 of the day is considered correct, and there is
19 a trend at best, is that something that needs
20 to be evaluated pre-approval or not? Ladies
21 and gentlemen, any comments? Dr. Ries?

22 DR. RIES: Well, I would think it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can probably be handled post market. The
2 issue of death certificates and how things are
3 coded is -- is quite variable. Fundamentally,
4 if we look at all causes of mortality, there
5 wasn't -- the trend was in the other
6 direction. The trend was more of a higher
7 death rate in the control groups. So I
8 wouldn't be terribly worried about it.

9 DR. BIRNBACH: Dr. Wilcox?

10 DR. WILCOX: Can we not ask someone
11 to clarify that beforehand? I understand the
12 difference in the two slides had to do with
13 the deaths to which one penetrated for
14 diagnoses, rather than just looking at the
15 death certificate. And so that in two slides,
16 two bits of data may be absolutely compatible.

17 Could not someone from the FDA clarify that
18 for us?

19 DR. BIRNBACH: Can we go back to
20 the FDA and try to get --

21 MR. MELKERSON: I'll try to
22 paraphrase this. Dr. Horbowyj presented that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our calling was based on cause -- would be the
2 identified cause of death. The second part of
3 the table had contributing factors. So the
4 discrepancy could've been related to the
5 sponsor looking at attributing factors, versus
6 the actual -- what was listed as cause of
7 deaths in the separate column. But when we
8 went back and looked at that, it had deferred
9 to Dr. Horbowyj, and the actual summation of
10 that.

11 DR. BIRNBACH: Dr. Stoller?

12 DR. STOLLER: Not to be
13 persnickety, but again, we have different
14 estimates. Table 37, row 13 talks about the
15 equal prevalence in both groups of ARDS. And
16 yet, the death data, whether it's contributing
17 or real, talks about different number
18 estimates.

19 So I find myself again looking at
20 the data, and being unable, whether or not its
21 PF ratio is less than 200, and unless they
22 feel pressures less than 18, and all of that;

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 however it's being counted it's not being
2 counted consistently. And so if I'm asked to
3 weigh in on my level of concern, I have to say
4 I really don't know.

5 DR. BIRNBACH: Dr. Loeb?

6 DR. LOEB: There may be some more
7 information that we've overlooked, and that is
8 on pages 60 through 63, there is a narrative
9 of each patient who died. And given what I'm
10 hearing, maybe it would be worthwhile for us
11 to try to review that, and come to some
12 conclusion ourselves as to whether or not ARDS
13 is the primary reason for death. That might
14 be helpful.

15 DR. STOLLER: Let me say also that
16 I'm not necessarily only talking about it as
17 being causal of death, because we know that
18 currently 30 percent of patients die. But I'd
19 rather not develop it at all. I would say
20 that put against the background of a two-day
21 accelerated discharge from the hospital, the
22 possibility of developing ARDS, it's clear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what my choice would be. I'd rather stay in
2 the hospital two days longer and not get it.

3 So I think I did review those
4 narratives with that in mind, and I -- I take
5 your point. I'm not sure that that's going to
6 really answer the question I've posed in terms
7 of the prevalence of the event, whether or not
8 it was causal to death.

9 DR. CASSIERE: Well if you were to
10 think that the ARDS was developing, you'd
11 expect that to impact the length of stay
12 though. Because if someone develops ARDS,
13 it's usually -- if it's mild, maybe it'll
14 dissipate in a couple of days. But if it's
15 moderate to severe, you expect these patients
16 to be trached in the intensive care unit.

17 So the length of stay data kind of
18 shakes that out. And yes, I agree they -- who
19 is to find the ARDS? Is it -- you're looking
20 at death certificates. So I can't remember
21 the last patient that died of atrial
22 fibrillation. So you have to look at these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things with a grain of salt.

2 But if you look at the length of
3 stay data, and the narratives, it's pretty
4 clear that the patients who died, died of
5 multiple reasons.

6 DR. DOMINO: Since we're on page 60
7 with table 30, the day of death in two of the
8 sealant groups with ARDS, one of them was
9 post-op day seven. One was on post-op day
10 six. So they wouldn't really be -- you
11 wouldn't see that longer length of stay then
12 since they were dead.

13 DR. CASSIERE: No, we're talking
14 about in the table when it looks at the
15 incidence of ARDS in either group, not the
16 cause of death but the incidence of the ARDS
17 as an adverse event.

18 DR. BIRNBACH: Dr. Normand, was
19 that your hand up? No? It looked like you
20 wanted to say something.

21 DR. NORMAND: Yes. I was just
22 trying to -- I don't think looking at length

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of stay would do it is I guess what I wanted
2 to say. Because you know, if somebody did,
3 even at the prevalence estimate, if they're
4 all dying very soon, the length of stay is
5 going to look short.

6 So I think using the length of stay
7 data to justify or as a solution to
8 determining whether the prevalence of ARDS is
9 correct, I don't think that's the right --
10 that's not the right tool to look at it.

11 DR. CASSIERE: Well, no, because
12 ARDS is an -- it's a disease that when you get
13 it, it's debilitating usually, and it will
14 affect the length of stay if you don't die.

15 DR. NORMAND: Yes.

16 DR. CASSIERE: If you get ARDS and
17 you die the next day, you have a short length
18 of stay. But if you have a product that's
19 going to cause ARDS, and it causes it in --
20 it's going to impact the length of stay
21 because that diagnosis in and of itself is
22 going to change how you get treated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinically, and then you're going to be in the
2 hospital longer.

3 DR. NORMAND: No, I understand.
4 But there's going to be some that die, and
5 some that -- that don't die. I'm just saying
6 on average, I don't think it's --

7 DR. CASSIERE: No, I'm saying but
8 we know the patients who died and we have a
9 description of it. So the other issue is the
10 -- if -- does this product pre-dispose or
11 cause ARDS? You'd expect to see that in the
12 sealant group. And if it does, you'd expect
13 that to impact on their length of stay; the
14 ones who survived.

15 DR. NORMAND: Yes, I guess I'm not
16 being clear, or perhaps I'm being dense. And
17 it could be both of them. But it seems to me
18 that I understand what you're saying, but if
19 you're going to take -- because the people who
20 die are in there, and it's just -- it's just
21 pretend everybody had ARDS, and you're
22 averaging short -- people who are really sick

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and die early, versus those that have some
2 debilitating disease and you want to do that
3 comparison.

4 That's the wrong thing to look at
5 is I guess what I really wanted to make a
6 point of. To use length of stay to say,
7 "Well, we really see it in length of stay."

8 The other thing I wanted to ask,
9 and I don't think anybody answered that
10 question, is that for those that get
11 discharged, I'm presuming they all get
12 discharged to home. Because maybe the people
13 are really debilitated get discharged to go
14 somewhere else. And so sometimes that's the
15 real issue with these lengths of stay. It's a
16 very biased measure in terms of they don't all
17 go home.

18 So that's another way length of
19 stay might not be appropriately utilized to
20 assess sickness in two groups.

21 DR. BIRNBACH: So Mr. Melkerson, I
22 am going to summarize. We have a little bit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of a split jury on this one with some people
2 believing that this is a significant enough
3 problem that you would need more information
4 pre-approval, and others on the panel
5 believing that you can go ahead assuming all
6 the other issues are settled and do this as a
7 post-market surveillance. Would that work for
8 the panel?

9 That said, we are now going to take
10 a ten-minute break.

11 (Whereupon, the above-entitled
12 matter went off the record at 3:25 p.m., and
13 resumed at 3:37 p.m.)

14 DR. BIRNBACH: We are going to now
15 resume the meeting. We'll proceed with the
16 second open public hearing of this meeting.
17 Is there anyone who would like to address the
18 panel at this time? Being not, we will
19 proceed to the FDA and sponsor summations. Is
20 there any further comment or clarification
21 from the FDA?

22 MR. MELKERSON: No, there is not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: Is there any further
2 clarification from the sponsor?

3 MR. MELKERSON: The sponsor is not
4 back in the room yet, and they had mentioned
5 that they did want to make some summation
6 comments. I'll see if I can find them.

7 DR. BIRNBACH: That would be great.
8 Thank you very much. Is the sponsor ready
9 for giving us any further comment or
10 clarification?

11 DR. CERFOLIO: First of all, I want
12 to thank everybody's attention. You've been
13 here a long time. I know it's hard to do. As
14 you recall, I've sat in that seat, and I also
15 know the responsibility that goes on your
16 shoulders when you sit there, that you don't
17 want to approve something you think is going
18 to hurt patients.

19 I just wanted to really review the
20 data. I think all the answer is immersed in
21 the data. And the study that would be nice to
22 maybe go back and redo, but it was a study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we showed the FDA or the company, not me,
2 the company showed to the FDA and did and met
3 their primary end point.

4 But let's go through the safety. I
5 think you very nicely handled the issue about
6 the renal problems. I think you've come to a
7 reasonable conclusion as we have that really
8 we don't see any evidence there's any renal
9 problems, and we're okay there.

10 We spent some time on the ARDS, and
11 I think we've lost sight of the fact that we
12 had two independent reviewers go back and look
13 at the deaths. And if you go back and look in
14 your summary packages, you'll see that there
15 are ARDS deaths in the controls, and there are
16 ARDS deaths in the patients that got the
17 sealant.

18 There's at least two patients in
19 the control that had ARDS, and three in the
20 sealants. So we don't think that there's a
21 difference. Moreover, there's more deaths in
22 the control group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I agree with your comment, Dr.
2 Stoller, very much, that if I had an option,
3 I'd rather spend two extra days in the
4 hospital than be dead also. But this device
5 wasn't causing deaths. There were less deaths
6 in the patients that got the sealant.

7 So I do not think that the
8 evidence, if you look at the data, you look at
9 the pneumonia rates, and we all know that ARDS
10 are very difficult to even come to a
11 definition. But pneumonia is a little easier.

12 But there really was even more pneumonias in
13 the patients that got the controls than got
14 the sealant.

15 So I wouldn't get hung up, or I
16 wouldn't allow the ARDS issue to create us to
17 have to do more data, because I don't think if
18 you look at the data that is a correct
19 conclusion. If you really look at the data
20 and the analysis of the deaths, and in either
21 the narratives or in some other package that
22 you have, and those were based from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 independent reviewers.

2 So in terms of safety, I think I've
3 addressed the renal, the ARDS, and I think
4 you've talked about the lung, and I think
5 we're all okay with this idea that there's
6 persistent space in the chest.

7 Finally, we come to efficacy. I
8 think the FDA and the company got together,
9 and set up a primary end point. Whether it
10 was perfect or not, I think your issues are
11 very good and I agree with a lot of your
12 comments. But the primary end point was met
13 by the study from a multi-institutional
14 prospective randomized study. And it was a
15 positive study.

16 I think we have to come back to
17 that. That's how the study was designed and
18 it showed a difference. And finally, we have
19 to go back to ask ourselves what are we going
20 to do with patients now?

21 Our patients deserve a product like
22 this. Our patients deserve to have their tube

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out a couple days earlier. As you mentioned,
2 Dr. Wiswell, it does make a difference to have
3 your chest tube out two days earlier. That's
4 probably why the pneumonia rate was less in
5 the sealant. If the tube comes out, the
6 patient is less pained. They can breathe
7 better. They get a better result.

8 It's not just a matter of going
9 home two days earlier. Having the tube in
10 longer, having the air leak longer, leads to
11 real problems like you heard Dr. Walsh say.

12 So my passion for my patients is I
13 think this is a good product. Does it need
14 further studies after it's approved? Does it
15 need to be carefully monitored? Absolutely.
16 And I would tell you I think that's a very
17 reasonable thing to do. But I think if you
18 look at the data, the answer for safety are in
19 the data. It's safe. There's less deaths in
20 the patients that got the sealant, and it's
21 efficacious. Thank you.

22 DR. MILLER: Can I just make one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment just in regards to the review of the
2 deaths? They were blinded to the review.
3 They did not have any operative reports. They
4 were all -- they had the preoperative data,
5 and they had the postoperative data. There
6 was no information on if sealant was used or
7 not, the ones who reviewed the final deaths
8 that was summarized in your narrative.

9 DR. BIRNBACH: Thank you. Before
10 we proceed to the vote, I would like to ask
11 Ms. Petersen, our Consumer Representative, and
12 Dr. Spindell, our industry representative if
13 they have any additional comments. Ms.
14 Petersen?

15 MS. PETERSEN: Thank you. I just
16 had one comment relative to question number
17 four, which was asking if we could -- if the
18 product had adequately demonstrated a
19 reasonable level of risk of adverse events,
20 illness and injury.

21 I know several times today from
22 both FDA and the sponsor, as well as some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the people on the panel, we have mentioned
2 that this study was neither designed nor
3 powered to assess adverse events and adverse
4 effects in patients.

5 So I'm concerned about question 4
6 because we have already established that this
7 study was not designed to answer that
8 question.

9 DR. BIRNBACH: Thank you. Dr.
10 Spindell?

11 DR. SPINDELL: And I would like to
12 say that with question 4, if we review the
13 data that was -- even though it was
14 underpowered, there was no statistical trend
15 that says there was a higher risk of adverse
16 events in the group, and I do point -- I agree
17 with Ms. Petersen's earlier comment that
18 something that is used in a larger population,
19 maybe that is something that a post-market
20 study would be appropriate for. Not for
21 adverse events, but for the larger population
22 of use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: Thank you. We're
2 now ready to vote on the panel's
3 recommendations to FDA for this PMA. Mr.
4 Patel will not read the panel recommendation
5 options for Premarket Approval applications.
6 Panel, please refer to the voting procedure
7 flow chart in your folder. Mr. Patel?

8 MR. PATEL: The medical advice to
9 amendments to the Federal Food, Drug and
10 Cosmetic Act, as amended by the Safe Medical
11 Devices Act of 1990 allows the Food and Drug
12 Administration to obtain a recommendation from
13 the Expert Advisory Panel on designated
14 medical device Premarket Approval application
15 that are filed with the agency.

16 The Premarket Approval must stand
17 on its own merits, and your recommendation
18 must be supported by safety and effectiveness
19 data in application, or by applicable publicly
20 available information.

21 The definitions of safety,
22 effectiveness and valid scientific evidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are as follows: safety as defined in 21 CFR,
2 section 860.7(d)1, There is reasonable
3 assurance that a device is safe when it can be
4 determined, based upon valid scientific
5 evidence, that the probable benefits to health
6 from use of the device for its intended uses
7 and conditions of use, when accompanied by
8 adequate directions and warnings against
9 unsafe use, outweigh any probable risks.

10 Effectiveness as defined in 21 CFR
11 860.7(e)1: There is reasonable assurance that
12 a device is effective when it can be
13 determined, based upon valid scientific
14 evidence, that in a significant portion of the
15 target population, the use of the device for
16 its intended uses and conditions of use, when
17 accompanied by adequate directions for use and
18 warnings against unsafe use, will provide
19 clinically significant results.

20 Valid scientific evidence as defined
21 in 21 CFR Section 860.7(c)2, evidence from
22 well-controlled investigations, partially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 controlled studies, studies and objective
2 trials without matched controls, well-
3 documented case histories conducted by
4 qualified experts, and reports of significant
5 human experience with a marketed device, from
6 which it can fairly and responsibly be
7 concluded by qualified experts that there is
8 reasonable assurance of the safety and
9 effectiveness of a device under its conditions
10 of use.

11 Isolated case reports, random
12 experience, reports lacking sufficient details
13 to permit scientific valuation and
14 unsubstantiated opinions are not regarded as
15 valid scientific evidence to show safety or
16 effectiveness.

17 Your recommendation options for the
18 vote are as follows: Number one, approval, if
19 there are no conditions attached. Two,
20 approvable with conditions. The panel may
21 recommend that the Premarket Approval be found
22 approvable subject to specified conditions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 such as physician or patient education,
2 labeling changes, or a further analysis of
3 existing data.

4 Prior to voting, all the conditions
5 should be discussed by the panel. And the
6 third option is not approvable. The panel may
7 recommend that the pre-market application --
8 or Premarket Approval application is not
9 approvable if the data do not provide a
10 reasonable assurance that the device is safe,
11 or the data do not provide a reasonable
12 assurance that the device is effective under
13 the conditions of use prescribed, recommended
14 or suggested in the proposed labeling.

15 Following the voting, the chair will
16 ask each panel member to present a brief
17 statement outlining his or her reasons for the
18 vote. Dr. Birnbach?

19 DR. BIRNBACH: Are there any
20 questions from the panel about these voting
21 options before I ask for a main motion for
22 this PMA? That said, is there a motion for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 either approval, approvable with conditions,
2 or not approvable from the panel?

3 DR. CASSIERE: Approvable with
4 conditions.

5 DR. JEEVANANDAM: I second.

6 DR. BIRNBACH: Is there a second for
7 this motion?

8 DR. JEEVANANDAM: Second.

9 DR. BIRNBACH: Is there any
10 discussion on this motion?

11 DR. CASSIERE: The approval with
12 conditions, the condition that contemplating
13 is limiting the number of applications since
14 this particular study looked at a maximum
15 three applications of the -- of the device.
16 And I believe it's reasonable to limit the
17 application to no more than three
18 applications.

19 DR. BIRNBACH: Yes?

20 DR. JEEVANANDAM: The condition --

21 DR. BIRNBACH: Wait. So we're
22 limited to that condition. We're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discuss that condition, vote on that, and then
2 move onto the next condition. So any
3 discussion on that condition? Oh, I need a
4 second for that motion. Does anyone second
5 that motion?

6 DR. BRUNSON: I second.

7 DR. BIRNBACH: Okay. Discussion?
8 Dr. Loeb?

9 DR. LOEB: It's already in the
10 precautions statement on page 3 of the
11 proposed instructions for use to limit the
12 amount. I missed where it is, but there's a
13 limitation on the amount that can be used. It
14 says, "The safety of the sealant has not been
15 evaluated in patients receiving more than 30
16 mls of the sealant." Does that -- I would
17 propose that that satisfies, unless there's
18 some stronger language that we want to put
19 into limit how much can be used.

20 DR. BIRNBACH: Dr. Cassiere?

21 DR. CASSIERE: Yes, that would be
22 adequate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: So any other
2 discussion? Yes, Ms. Petersen?

3 MS. PETERSEN: I'm wondering if it
4 would be appropriate to clarify that the
5 device could be applied for the therapeutic
6 use of air leaks, as opposed to the prevention
7 of air leaks, where they maybe thought that
8 they could --

9 DR. BIRNBACH: I think that would be
10 a new condition.

11 MS. PETERSEN: Okay.

12 DR. BIRNBACH: And we need to wait
13 until we bring up new conditions. Dr.
14 Normand?

15 DR. NORMAND: I just wanted to
16 clarify. Is that per leak, that's 30 -- per
17 leak just in general overall? I'm sorry. I
18 don't know the units. It may be a stupid
19 question, but I just wanted to --

20 DR. BIRNBACH: Dr. Cassiere?

21 DR. CASSIERE: My understanding
22 would be total.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: Sponsor, are we okay
2 on total? Okay, do I have a -- I guess we're
3 going to take a vote. All in favor of --

4 DR. LOCICERO: The language here
5 says that it's not -- it's now known, right?
6 Run that again. It says, "Safety of the
7 sealant has not been evaluated in patients
8 receiving more than 30 ml." So what you're
9 asking for is a label that says, "No more than
10 30 ml." Is that correct?

11 DR. CASSIERE: That's correct.

12 DR. BIRNBACH: All in favor of this
13 condition, please raise your hand. Okay, so
14 for the record, we've got Dr. Ries, Dr.
15 Jeevanandam, Dr. Wilcox, Dr. -- I knew it
16 would happen some time today, LoCicero, Dr.
17 Wiswell, Dr. Loeb. Dr. Domino, I didn't see
18 your hand. It was covered. Dr. Domino, Dr.
19 Brunson, Dr. Cassiere, Dr. Stoller, Dr.
20 Lillard, Dr. Normand and Dr. Topoleski all
21 voting for that motion.

22 So any against? That would be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hard call since all of you raised your hands.

2 And that means no abstentions. So are there
3 any other conditions?

4 DR. JEEVANANDAM: I'd like to add a
5 condition of post-market surveillance.

6 DR. BIRNBACH: Okay.

7 DR. JEEVANANDAM: Specifically
8 regarding the issues of cardiac outcomes,
9 renal outcomes, and perhaps ARDS -- ARDS.

10 DR. BIRNBACH: So Dr. Jeevanandam
11 wants a post-market surveillance for ARDS for
12 cardiac and for renal issues. Do I have a
13 second for that motion? Motion is seconded.
14 Discussion? Dr. Stoller?

15 DR. STOLLER: So I would concur with
16 that, and I would perhaps add the notion that
17 the data be evaluated around standard criteria
18 for ARDS so that this area of uncertainty that
19 we have as to whether ARDS has or has not
20 happened could be in fact objectively assessed
21 by the European-American consensus criteria
22 with PF ratios and so on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There are standard definitions that
2 all of us apply, and I think it's reasonable
3 to think that the data would be characterized
4 by those standard definitions in this data
5 set. And it may have been the case, but we're
6 not assured of that from the information
7 available.

8 MR. MELKERSON: This is Mark
9 Melkerson. I just wanted to clarify if you
10 are doing a -- recommending a post approval
11 study, make sure you give explicit statements
12 of what questions you would like addressed.
13 Is it trends of adverse events? Like for
14 example, I'm just leaving it that way. And if
15 you want some further input on other
16 suggestions, I can refer to our post approval
17 study staff.

18 DR. BIRNBACH: Your motion, would
19 you like to be more specific to the FDA on
20 what that condition is?

21 DR. JEEVANANDAM: I guess the
22 problem is you don't have a control arm to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at what you compared this to, unless you
2 want to look at --

3 DR. BIRNBACH: Okay. Dr. Normand?

4 DR. NORMAND: I think they need to
5 have a comparison group. Otherwise we're
6 going to be stuck not knowing whether or not -
7 - you know, they'll find something and
8 everybody will think, "Oh my god. It's too
9 hard. We needed comparison groups." So they
10 aren't burnt if they -- if they find something
11 and it's sort of not that much different than
12 what we'd expect in a control arm.

13 DR. BIRNBACH: So this would be a
14 post market surveillance for adverse events,
15 including cardiac events, renal dysfunction,
16 ARDS. And there would also need to be a
17 comparison group. Any other comments?

18 DR. JEEVANANDAM: Could you use the
19 control arm of this present study as
20 historical control?

21 DR. NORMAND: I'm sorry. Okay, the
22 only issue would be if the standard -- if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things change over time, and that's -- that's
2 not a good idea to use a historical control
3 group. It's to the benefit of the sponsor
4 really to have a concurrent comparison group.
5 I wouldn't recommend a historical group.

6 DR. BIRNBACH: Okay. Any other
7 discussion? Yes, Dr. LoCicero?

8 DR. LOCICERO: Do we have to define
9 a control group now? Should we define it?

10 MR. MELKERSON: Any suggestions you
11 can make at this point in time will make
12 discussions with the sponsor much easier.

13 DR. LOCICERO: Okay. In lieu of the
14 randomized control trial, the Society of
15 Thoracic Surgeons, thoracic surgical database,
16 is collecting data on all -- on a large number
17 of patients.

18 There's been a recent report that
19 this is now becoming robust, similar to the
20 SDS database for cardiac surgery, and might be
21 a way to compare so that this were some
22 defined level of standard deviation above what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're seeing in that database. Then that
2 might be a trend that needs to be looked at.

3 DR. BIRNBACH: Dr. Normand?

4 DR. NORMAND: Okay, I'm not sure how
5 much time you want us to spend on designing
6 their post-market study. But probably based
7 on the conversations we heard today with
8 regard to practice style and the use of
9 various chest tube placements and things like
10 that, it would be nice if they could use
11 perhaps a database, but try and get
12 comparisons within the same institution at the
13 very least, to mitigate some of the practice
14 patterns that might rise and the results.

15 So they could potentially utilize
16 the data collection instrument in that
17 database, but I would again recommend using a
18 concurrent comparison group, and that
19 comparison group could be people or patients
20 treated within the same institution, which is
21 available in that database.

22 DR. MARINAC-DABIC: The other thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to think about with regard to suggesting the
2 use of the SDS data is what is the duration of
3 the follow up you're considering? Because SDS
4 doesn't have long-term follow up. It ends
5 with 30 days post-op.

6 DR. BIRNBACH: Any discussion about
7 duration of follow up?

8 DR. LOCICERO: The concern we have
9 are all under 30 days. These are things that
10 develop even in the hospital or out to 30 days
11 in comparison to what we have right now,
12 unless we decide that we need to make it
13 different.

14 DR. BIRNBACH: Dr. Normand?

15 DR. NORMAND: Again, I don't know if
16 this is maybe something the FDA needs to
17 assess, but I think sometimes we worry about
18 things beyond 30 days. And to the extent we
19 would worry about -- I know I do not being a
20 clinician, but again that's something that if
21 you had the hospital -- if you know where the
22 patient is treated, you could link to state

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 databases.

2 It could be cheap to get longer term
3 follow up as long as you have the identifiers.

4 And I run the SDS in our State, so I know
5 that's doable and it could be done. I guess
6 long-term would be important to determine from
7 the clinicians whether or not you are
8 absolutely comfortable saying 30 days and
9 that's it.

10 I know in other studies, we want to
11 go longer than 30 days. So I just want to ask
12 the group to think hard whether 30 days really
13 is it.

14 DR. BIRNBACH: Dr. Ries?

15 DR. RIES: I think particularly for
16 the renal issue, a longer term follow up would
17 be appropriate because the unsigning event may
18 be within 30 days, but really the issue is
19 whether there's any long-term consequence of
20 renal dysfunction.

21 DR. BIRNBACH: Any further
22 discussion? So the motion that we're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vote on is that this will require post-market
2 surveillance for trends of adverse events,
3 including ARDS, renal function or dysfunction,
4 and cardiac issues; that we'll also have a
5 comparison group hopefully within the same
6 institution and will go for at least 30 days,
7 but hopefully for a longer period than that,
8 maybe up to perhaps 90 days.

9 Is that the consensus of the motion
10 on the table? Okay, all in favor? Okay,
11 that's everyone. So for the record here we go
12 again: Dr. Ries, Dr. Jeevanandam, Dr. Wilcox,
13 Dr. LoCiciero, Dr. Wiswell, Dr. Loeb, Dr.
14 Domino, Dr. Brunson, Dr. Cassiere, Dr.
15 Stoller, Dr. Lillard, Dr. Normand and Dr.
16 Topoleski have all voted yes.

17 There are no no's, and there are no
18 abstentions. Are there other conditions?

19 DR. CASSIERE: I'm not sure if this
20 is appropriate, but we haven't talked -- we've
21 talked about open thorocotomy. Should there
22 be a limitation on although this device

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 physically can't be stuck through a VATS,
2 should there be a limitation on using this
3 device or similar technology from a company to
4 do it via VATS thoracotomy, or is that off-
5 base?

6 DR. BIRNBACH: Say again?

7 DR. CASSIERE: This product is
8 approved for -- the way it's given is with
9 open thoracotomy.

10 DR. BIRNBACH: Correct.

11 DR. CASSIERE: Most -- some of these
12 surgeries are done through VATS. Should there
13 be a limitation? I know technologically it
14 probably won't be for long. But instilling
15 this -- this device through a VATS thorocotomy
16 as opposed to an open thorocotomy.

17 DR. BIRNBACH: So the motion on the
18 table is to limit the use to open
19 thorocotomies? Is there a second for that
20 motion?

21 DR. JEEVANANDAM: Could I ask a
22 question? Why would you want to limit it to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 open? I mean I think if they did, they'd
2 develop a delivery system where you can give
3 it into a VATS -- during a VATS procedure.
4 You may actually have more utility in a VATS
5 procedure to prevent air leaks.

6 DR. CASSIERE: Well, the reason is
7 because the original study looked at just
8 open.

9 DR. LOCICERO: Well, I mean I don't
10 know that we're -- we have only looked at one
11 device here. There's only one device with one
12 applicator. We haven't seen any other
13 information. I'm unaware of any other
14 information.

15 DR. BIRNBACH: Well, I believe that
16 we need to have a second for this motion
17 actually if -- because if no one is going to
18 second the motion, then --

19 DR. TOPOLESKI: I'll second.

20 DR. BIRNBACH: Okay, so we have a
21 second to the motion. So is there any
22 discussion?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Wilcox?

2 DR. WILCOX: There is some confusion
3 as to what is open and what is not. And many
4 VATS procedures are also accompanied by a
5 small thorocotomy through which this
6 applicator could be -- deliver it's material.

7 So I'm not quite sure how to -- how to define
8 this to make it do what you would like it to
9 do.

10 DR. BIRNBACH: Dr. Loeb?

11 DR. LOEB: Just as a point of
12 discussion, I think it might be important also
13 since I heard in the motion the word
14 thorocotomy, which would limit its use for
15 abdominal procedures, and we do know that
16 there potentially would be problems with
17 putting it into the perineum for the animal
18 studies.

19 So my understanding is if you put
20 this up without a limitation of where it can
21 be used, clinicians may think, "Oh, gee, this
22 is a sealant that can be used in other areas."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we do note there is a problem in the
2 perineal cavity.

3 DR. BIRNBACH: And how would you
4 modify this condition?

5 DR. LOEB: I wouldn't modify it
6 because if you're only using it in open
7 thorocotomies, in addition to the fact that
8 you're precluding VATS, you're also precluding
9 abdominal procedures.

10 DR. BIRNBACH: Dr. Brunson?

11 DR. BRUNSON: I think the important
12 thing is that the application is designed for
13 the surface of the lung. And I think if we
14 want to say something, maybe we ought to have
15 a condition that limits it to usage on the
16 surface of the lung, and then it's up to the
17 surgeon to decide how I guess they put it
18 there. And that way we don't get into those
19 kinds of issues.

20 DR. BIRNBACH: Dr. Wilcox?

21 DR. WILCOX: I think that's an
22 important point because we haven't talked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about this being applied to a bronchial stump,
2 and that would be an entirely -- would have
3 entirely different implications than what we
4 talked about all day. So I think if the
5 consideration touches that it would be
6 important.

7 But I'm also -- this -- your motion
8 wouldn't preclude someone using it if they
9 used VATS, scopes and so forth to do the
10 resection. You had applied this through an
11 open thorocotomy, is that correct? I mean
12 that would be my choice if --

13 DR. CASSIERE: Well, I threw it out
14 there because there's been no discussion of
15 the way the surgery is done, whether it's open
16 or whether it's VATS or a combination of the
17 two. And is that relevant? And it may, it
18 may be relevant. I'm interested to see what -
19 - that's why I threw it out there as a motion.

20 DR. BIRNBACH: Dr. Stoller?

21 DR. STOLLER: So I would support the
22 notion of limiting its use to the surface of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the lung. I would speak against constraining
2 it to VATS because the surgeon wishing to be
3 in compliance would in fact then be incited
4 to make an incision in order to be in
5 compliance with the indication that would not
6 otherwise occur.

7 So I think that that constraint
8 might have the unintended consequence of
9 driving more incisions than would otherwise
10 occur. I recognize that that's a potentially
11 unlikely scenario, but I think that we have to
12 be mindful of the downstream impact of what
13 committees such as this recommend. So I would
14 speak against that.

15 DR. BIRNBACH: And in turn perhaps
16 put that forward as another condition. So
17 right now, the condition on the table that we
18 need to vote for would limit the use of this
19 device to open thorocotomy, thus precluding
20 its use for VATS. All those in favor of this
21 condition raise their hand.

22 All those opposed raise their hand.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay, so for the record -- oh, keep your
2 hands up because not everybody raised their
3 hand. So Dr. Ries, Dr. Jeevanandam, Dr.
4 Wilcox, Dr. LoCicero -- I'm sorry, it's been a
5 long day. Dr. Wiswell, Dr. Loeb I can't tell.
6 Dr. Domino is. Dr. Birnbach doesn't vote.
7 Dr. Brunson, Dr. Cassiere, Dr. Stoller, and
8 then we've got two, no -- none of you have
9 your hands up, correct?

10 Okay, so all those against -- all
11 those abstain? Got three abstentions and
12 that's Dr. Lillard, Dr. Normand and Dr.
13 Topoleski. Okay, are there any other
14 conditions?

15 DR. JEEVANANDAM: Well, as a
16 corollary to that I guess we would put the
17 condition that this is for the surface of the
18 lung.

19 DR. BIRNBACH: Okay. So we have a
20 motion for limiting this to the surface of the
21 lung. Is there any discussion on that? All
22 those in favor of limiting its usage to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surface of the lung? All hands are up. So do
2 I have to go through this every time? Sooner
3 or later I'm going to get LoCicero right.

4 Okay, so for the record, Dr. Ries,
5 Dr. Jeevanandam, Dr. Wilcox, Dr. LoCicero, Dr.
6 Wiswell, Dr. Loeb, Dr. Domino, Dr. Brunson,
7 Dr. Cassiere, Dr. Stoller, Dr. Lillard, Dr.
8 Normand and Dr. Topoleski all voted for
9 limiting this to the surface of the lung. Are
10 there any other conditions? Yes?

11 MS. PETERSEN: I suggest a labeling
12 indication, noting that the product is for use
13 of therapy of existing air leaks and not for
14 the prevention of potential air leaks.

15 DR. BIRNBACH: Is there a second for
16 that? We have a second. Is there any
17 discussion? Dr. LoCicero?

18 DR. LOCICERO: The intended use
19 states that as an adjunct to standard tissue
20 closure techniques for sealing or reducing air
21 leaks incurred during pulmonary surgery -- I'm
22 not sure this is different from the proposal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: So what you're
2 suggesting is since it's already written
3 there, we don't actually need to have this
4 condition? Okay.

5 DR. JEEVANANDAM: It doesn't -- it
6 says as an adjunct, but it doesn't -- what she
7 was implying is that you do your standard
8 procedures. You check the lung. If there's a
9 leak, then you go ahead and put this device
10 on. That just says as an adjunct that one
11 could interpret, "Well, I've stitched it. I'm
12 just going to reinforce it now." And then
13 before you even check for a leak.

14 So I think your implication was you
15 need to check for a leak, make sure there's a
16 leak, and then put the device on.

17 DR. BIRNBACH: Now, how about this?
18 The discussion we had this morning about the
19 fact that sometimes the leaks are so small in
20 the area you can't do anything now, but they
21 would want to put that on. Is that something
22 anybody wants to discuss?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. PETERSEN: My intent is to be
2 clear for surgeons that the product is to be
3 applied to known leaks and small things that
4 you can't suture or staple in other ways, not
5 as something that you apply across the entire
6 suture line to prevent anything just in case.

7 Because we acknowledge we are concerned about
8 the level of exposure of the patient to the
9 substance.

10 DR. BIRNBACH: Okay, so the motion
11 on the table would limit the use to air leaks
12 that you've got, and to small areas that you
13 otherwise can't suture, but not to prevent by
14 wholesale administration to the suture line.
15 Dr. Brunson?

16 DR. BRUNSON: I'm not clean on that.
17 So if there are small areas, as you say, that
18 cannot be sutured, do you have to demonstrate
19 the air leak? If you demonstrate the air
20 leak, then that covers it all anyway. See,
21 I'm confused because there will be areas that
22 you can't get to that you can use it on, even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 though you haven't demonstrated the air leak,
2 I guess.

3 DR. BIRNBACH: Perhaps I could
4 restate your condition that this agent not be
5 applied to the suture line to prevent air
6 leaks.

7 MS. PETERSEN: That would convey the
8 intent, yes.

9 DR. BIRNBACH: Dr. Wilcox?

10 DR. WILCOX: I'm real concerned
11 about being too instructive to the surgeon. I
12 mean I'd be much more concerned about saying
13 that -- in changing the instructions on the
14 insert that this not be applied to bronchial
15 stumps because there is some evidence that
16 that can give problems. But not to apply it -
17 - to say not to apply it to the suture line, I
18 think, is extending a little too far.

19 DR. BIRNBACH: Okay.

20 DR. WILCOX: If I can add without
21 demonstrated air leak, or something like that.

22 DR. BIRNBACH: Hold on. Who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seconded your original motion? Dr. Ries, do
2 you accept the amendment to that original
3 motion?

4 DR. RIES: Yes.

5 DR. BIRNBACH: Great. Now, we can
6 continue the discussion.

7 DR. LOEB: I'm satisfied with
8 limiting the total dose and don't feel that
9 the other limitation is necessary, and I think
10 it's too intrusive into clinical practice.

11 DR. BIRNBACH: Okay. So let's move
12 onto a vote. Those in favor of limiting this
13 so that it cannot be applied to the suture
14 line raise their hands. Those against this
15 limitation raise their hands. So for the
16 record, everyone has raised their hands other
17 than one. So we've got positive votes for Dr.
18 Ries, Dr. Jeevanandam, Dr. Wilcox, Dr.
19 LoCicero, Dr. Wiswell, Dr. Loeb, Dr. Domino,
20 Dr. Brunson, Dr. Cassiere, Dr. Stoller. Dr.
21 Lillard is not voting for; Dr. Normand and Dr.
22 Topoleski are. Dr. Lillard, are you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 abstaining? We have one abstention.

2 DR. RIES: And one correction. I
3 actually wanted to vote for the motion.

4 DR. BIRNBACH: I'm sorry, I missed
5 that. Who voted for the -- okay, so we have
6 one vote for the motion. That's Dr. Ries.
7 We have all the other votes against the motion
8 except for one abstention, which is Dr.
9 Lillard. Okay, are there any other
10 conditions? Dr. Ries?

11 DR. RIES: I'd like to suggest a
12 discussion on a condition regarding the
13 primary outcome of a possible post marketing
14 surveillance study that it not be -- that it
15 be something different than what was used in
16 the -- in this study.

17 DR. BIRNBACH: Such as?

18 DR. RIES: Such as a time to event
19 analysis since we're interested primarily in
20 clinical -- clinical outcomes, and the -- it
21 seemed to be based on the sponsors that the
22 most important clinical outcomes were -- were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the absence of air leaks in the removal of a
2 chest tube that those be -- those be the kind
3 of clinical outcomes that be considered, and
4 it be a time to event analysis.

5 DR. BIRNBACH: So the motion on the
6 table is that the post marketing study should
7 have a different primary outcome than the
8 studies that have been presented us today, and
9 should include a time to event analysis. Is
10 there a second for that motion? We have a
11 second, Dr. Normand. Any discussion on that
12 motion?

13 Okay, let's vote. All those in
14 favor of requesting that the primary outcome
15 of the post-marketing surveillance be
16 different raise your hands.

17 Okay, so this one I'll have to go
18 more slowly. This is -- okay, Dr. Ries says
19 yes. Dr. Jeevanandam says yes. Dr. Wilcox
20 says yes. Dr. LoCicero says yes. Dr. Loeb
21 says yes. Dr. Domino says yes. Dr. Brunson
22 says yes. Dr. Stoller says yes. Dr. Lillard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 says yes. Dr. Normand says yes, and Dr.
2 Topoleski says yes.

3 All of those against the motion?
4 Okay, so we've got one, Dr. Wiswell says no.
5 All of those -- oh, two, sorry. Dr. Wiswell
6 and Dr. Cassiere say no. All of those
7 abstaining? No one, okay.

8 Are there any other conditions?
9 Yes, Dr. Stoller?

10 DR. STOLLER: I would suggest that
11 if in fact a post marketing study evaluated a
12 time to event analysis that the ascertainment
13 of the air leak be done by an independent
14 blind observer.

15 DR. BIRNBACH: In a post market
16 surveillance. Do we have a second? Dr.
17 Normand seconds. Discussion? Could you
18 elucidate a little bit more perhaps on how you
19 would design this post market? Since now
20 anyone can use this, would you only select one
21 subgroup that would have to -- because they'd
22 have to blind this. You would have a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 difficult time telling surgeons you can start
2 using this, but every time you use it you're
3 going to have to find a blinded observer in
4 your hospital after --

5 DR. STOLLER: Right.

6 DR. BIRNBACH: Is that actually what
7 you want?

8 DR. STOLLER: I'm fully aware of how
9 confusing the recommendation is. It's meant
10 to weight in on my level of confidence as I
11 alluded to before. The dichotomous yes/no
12 assessment doesn't quite allow for an
13 assessment of confidence in the outcome. And
14 so I'm trying to communicate that in a
15 somewhat unconventional manner.

16 So I'm not sure I could design the
17 study, but I'd like at least to be part of the
18 record as to reflect the comments that I think
19 occupied most of the committee's discussion
20 for most of the day.

21 DR. BIRNBACH: All right, so
22 discussion? Yes, Dr. Loeb?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LOEB: Yes, I'm not sure how
2 that would look in a typical post market
3 surveillance, but I think we can expect that
4 when this product is released that there will
5 be additional studies that are done either
6 with the support of the company or without the
7 support of the company, looking at other types
8 of efficacy.

9 And I don't know if we have a role
10 and the power to suggest or to mandate that
11 the company perform additional studies. I
12 would not suggest linking that type of a study
13 to the adverse events study, which is going to
14 by necessity be very large.

15 But if we can suggest a study
16 smaller in scope that would look at some of
17 the things that -- that I know have been
18 raised, and that I still wonder about in terms
19 of when the air leaks might occur after
20 application when they hadn't been there, total
21 time of air leaks, some of the -- some of the
22 things that we haven't seen. But I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think that that would belong in a -- in an
2 adverse event type study.

3 DR. BIRNBACH: Just for
4 clarification, Mr. Melkerson, can this panel
5 require a second post study?

6 MR. MELKERSON: There's nothing
7 wrong with having multiple post market
8 surveillance studies to address different
9 questions. The question the FDA would have to
10 the panel would be what question are you
11 trying to address with that study, or that
12 condition?

13 DR. BIRNBACH: Dr. Normand?

14 DR. NORMAND: I wasn't going to
15 answer that question, so maybe somebody else
16 wanted to. I was going to make a different
17 point.

18 DR. BIRNBACH: Make a different
19 point. We'll go back to that.

20 DR. NORMAND: Again, I think it's
21 difficult as a group of us to sit around here
22 and design a post market study that would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 efficient and rigorous. With that said, there
2 are some designs you could capitalize on the -
3 - the original study we talked about where you
4 might do some sub-sampling and be efficient,
5 so not having to go to an entirely new
6 population.

7 And so although I understand people
8 were worried about the amount of resources it
9 would take to do that, but two studies doesn't
10 make much sense to me. You could do one
11 study, do some sub-sampling, get an
12 independent trainer that's going to go around
13 and do it for some sub-studies, and it's
14 doable. It's not going to break the bank. So
15 that's my sense.

16 DR. BIRNBACH: All right, so the
17 motion on the table, for the record is that --

18 DR. NORMAND: It'd be blinded.

19 DR. BIRNBACH: -- post surveillance
20 -- the post release surveillance would be
21 required to have a blinded observer. Dr.
22 Stoller?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. STOLLER: So let me own that.
2 In response to the question, I would say that
3 I think that from my own point of view, the
4 sponsor needs to be given credit for having
5 satisfied the primary outcome measure, and I
6 would argue in retrospect that the rigor
7 around the primary outcome measure would not
8 necessarily satisfy my own standards for
9 approvability.

10 So in that context, I would argue
11 that the question to be answered is the -- the
12 occurrence of the actual efficacy question of
13 does it stop the post -- post op air leaks,
14 and over what time frame? Which I think is
15 incompletely evaluated, admittedly a
16 fundamental question to this -- to this
17 application, but not completely elucidated in
18 my view.

19 DR. JEEVANANDAM: I think it's a
20 post market surveillance, and then we had
21 talked about three adverse event issues that
22 need to be tracked. I think if anything, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sponsor has shown that this device does stop
2 air leaks. So I don't know if we need to have
3 air leaks as a part of the post surveillance
4 study.

5 I think we should just track the
6 adverse events that we had doubts about --

7 DR. BIRNBACH: The motion that we're
8 discussing is whether or not to have a blinded
9 observer, not what the post market
10 surveillance is going to be.

11 DR. JEEVANANDAM: But if -- if --
12 sorry.

13 DR. BIRNBACH: So if you're saying
14 you want a blinded observer, but not to look
15 at air leaks, is that --

16 DR. JEEVANANDAM: Okay, I'm saying
17 we don't need to look at air leaks. We don't
18 need to look at air leaks. You need a blinded
19 observer, because if you're going to look at
20 the other adverse events, the -- unless the
21 surgeon is putting it out, it's going to be
22 pretty blinded.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: Dr. Normand?

2 DR. NORMAND: That's what I was -- I
3 was agreeing if you're going to look at air
4 leaks, it needs to be blinded.

5 DR. BIRNBACH: So let's vote on this
6 independent blinded observer. Those in favor?
7 Okay, Dr. Wiswell, Dr. Stoller, Dr. Lillard,
8 Dr. Normand, Dr. Topoleski vote yes. All
9 those against? Dr. Ries, Dr. Jeevanandam, Dr.
10 Wilcox, Dr. LoCicero, Dr. Loeb, Dr. Domino,
11 Dr. Brunson, Dr. Cassiere vote no. All those
12 abstaining? None, and what was that vote
13 since I wasn't counting? Did anyone actually
14 from the FDA count?

15 MR. MELKERSON: Five-eight.

16 DR. BIRNBACH: Five-eight, so no
17 need for me to vote. Okay. Are there any
18 other conditions?

19 DR. CASSIERE: I think one of the
20 things we forgot to add on besides the renal,
21 the ARDS and the cardiac is readmission rate
22 in 30 days. Because we're concerned about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 late pneumothoraxes, and then if you take a
2 look at re-admission to the hospital, the
3 assumption would be if someone developed a
4 pneumothorax they'd be re-admitted to the
5 hospital within 30 days. I think that may be
6 a reasonable thing to tack onto the
7 surveillance.

8 DR. BIRNBACH: So the motion on the
9 table is that we add re-admission to our
10 previous list of what we're going to do. That
11 was number 2, motion number 2 about post
12 market surveillance. Is there a second for
13 evaluating readmission rights?

14 DR. BRUNSON: Second.

15 DR. BIRNBACH: Any discussion? Yes?

16 DR. SPINDELL: Is it re-admission
17 specifically for pneumothorax or all re-
18 admissions?

19 DR. CASSIERE: All re-admissions.

20 DR. BIRNBACH: We ready to vote?
21 All of those in favor of adding re-admission
22 say aye or put up your hand. Okay, so we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do this again here. So much fun.

2 Dr. Ries, Dr. Jeevanandam, Dr.
3 Wilcox, Dr. LoCicero. Let's see, Dr. Wiswell,
4 that was a yes, right? I can't see; Dr. Loeb
5 is hiding you. Dr. Loeb, Dr. Domino, Dr.
6 Brunson, Dr. Cassiere, Dr. Stoller all vote
7 yes. Dr. Lillard is voting yes. Dr. Normand
8 is, and Dr. Topoleski is yes or no? Yes.

9 So everybody is voting yes except
10 for Dr. Normand. Dr. Normand is voting to
11 abstain or no. Okay, so one vote for no and
12 no abstentions. Are there any other added
13 conditions? Dr. Loeb?

14 DR. LOEB: I'd like to have I guess
15 under precautions a statement that -- that the
16 sealant is effective for short-term closure of
17 air leaks, and in the weakest language
18 possible, "may," I guess, "cause later air
19 leaks," or may -- yes, something --

20 DR. BIRNBACH: Maybe associated with
21 delayed air leaks?

22 DR. LOEB: Delayed air leaks, thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you. "May be associated with delayed air
2 leaks." Just something in there to alert
3 clinicians that -- to be a little bit wary of
4 that. And I would tack on that I think that
5 I've heard some indication that that might be
6 lifted after successful completion of a post -
7 - a later study that would prove that that's
8 not a problem.

9 DR. BIRNBACH: Okay, so the motion
10 on the table would be for a temporary
11 precautionary statement that this is short-
12 term, and may be associated with delayed air
13 leaks, or some language that conveys that.

14 Is there a second to that motion?
15 Dr. Ries seconds it. Any discussion? Those
16 in favor of adding the temporary precaution
17 that this may be associated with a delayed air
18 leak? We're voting.

19 Okay, so here we go again. Dr. Ries,
20 Dr. Jeevanandam, Dr. Wilcox, Dr. LoCicero, Dr.
21 Wiswell, Dr. Loeb, Dr. Domino, Dr. Brunson,
22 Dr. Cassiere, Dr. Stoller, Dr. Lillard and Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- oh, I thought -- you didn't? Okay, let's
2 go back again. You didn't vote? Okay.

3 So Dr. Ries votes yes. Dr.
4 Jeevanandam votes yes. Dr. Wilcox votes yes.
5 Dr. LoCicero votes yes. Dr. Wiswell votes
6 yes. Dr. Loeb votes yes. Okay, now, Dr.
7 Brunson votes yes. Dr. Cassiere votes yes.
8 Dr. Lillard votes yes and Dr. Topoleski votes
9 yes.

10 Those who vote no? Okay, Dr. Domino
11 votes no. Dr. Stoller votes no, and Dr.
12 Normand votes no. That leaves no one left to
13 abstain. Are there any other conditions? Dr.
14 Topoleski?

15 DR. TOPOLESKI: I would like to move
16 that perhaps somewhere either under the
17 warnings or precautions a statement that the
18 time dependence of the strength and the
19 adhesive properties of this sealant have not
20 been evaluated.

21 DR. BIRNBACH: So the motion on the
22 table is that we add language saying that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 duration -- would that --

2 DR. TOPOLESKI: Well, the time
3 dependence.

4 DR. BIRNBACH: Time dependence.
5 That's why I asked.

6 DR. TOPOLESKI: Well, duration is
7 how long it will maintain a certain strength.
8 Time dependence refers to a functionality.
9 Could be one percent per day, ten percent per
10 day, 30 percent per day. Duration is the
11 clinical term, but a scientific engineering
12 term would be time dependence, I think.

13 DR. BIRNBACH: Do I have a second
14 for the motion that we add that the time
15 dependence is unknown? No one has seconded
16 the motion. Are there any other conditions?
17 Hearing none, I assume that we're ready for
18 the main motion vote.

19 We will now vote on the main motion.

20 With a show of hands, please indicate if you
21 concur with the recommendation, that the above
22 named PMA be found approvable with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conditions that we discussed.

2 Voting members who are raising their
3 hands indicating that they concur with the
4 recommendation are, in no particular order:
5 Dr. Ries, Dr. Jeevanandam, Dr. Wilcox, Dr.
6 LoCicero, Dr. Wiswell, Dr. Loeb, Dr. Domino,
7 Dr. Brunson, Dr. Cassiere, Dr. Stoller, Dr.
8 Lillard. Dr. Normand, is that up or not?
9 Down. Dr. Topoleski.

10 All of those against the motion?
11 Dr. Normand votes against. And there are no
12 abstentions. Now, I would like to go over
13 each of you, and have you tell us -- actually
14 before I do that, I have to announce the
15 decision.

16 It is the recommendation of the
17 panel of the FDA that the PMA P010047, for the
18 ProGEL Surgical Sealant from NeoMend,
19 Incorporated, be found approvable with the
20 stated conditions. I would now ask each panel
21 member to state the reason for his or her
22 vote, starting with Dr. Ries.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RIES: I think my vote was based
2 as much on hope as substance. I am swayed by
3 the sponsor's argument that this is an
4 important problem and a compelling need for a
5 product such as this. And I think the product
6 does control leaks.

7 And I think if, as Dr. Cerfolio said
8 in his summary, if the data really supported
9 that you could reduce air leaks and remove
10 chest tubes sooner, that this would be a no-
11 brainer. I don't think the current data
12 actually support that because it doesn't seem
13 to be a difference. But I certainly would
14 think this is a promising product that
15 deserves more attention.

16 DR. JEEVANANDAM: I concur. I think
17 this -- this study shows that this will
18 decrease air leaks, will eliminate air leaks
19 in a significant proportion of patients.
20 However, I was just concerned about a couple
21 of issues, particularly residual space at the
22 30-day chest x-ray, potential cardiovascular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effects and the potential renal effects.

2 So that's why we had -- I
3 recommended post market surveillance.

4 DR. BIRNBACH: Dr. Wilcox?

5 DR. WILCOX: This product in my
6 opinion has answered the old admonition about
7 first do no harm. So I think it's been
8 demonstrated that it's a safe product.

9 The beneficial effects are less
10 obvious. Having not been, the evidence
11 strongly suggested that it will be a benefit
12 to patients undergoing pulmonary resection.
13 So I voted yes.

14 DR. BIRNBACH: Dr. LoCicero?

15 DR. LOCICERO: All thoracic surgeons
16 seek the holy grail of no air leak after
17 thoracic surgery. This may or may not be the
18 product.

19 DR. BIRNBACH: Dr. Wiswell?

20 DR. WISWELL: I think we've seen
21 that the major end point was clearly answered
22 and achieved. I'm quite hopeful that the post

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approval study will show perhaps that we are
2 going to prevent some empyemas, maybe some
3 other complications by not having those air
4 leaks.

5 So I'm -- I'm hopeful about that. I
6 shared some concerns, but a low level of that
7 concerning the ARDS, renal, cardiac, etcetera
8 issues. And so I think that they, in my mind,
9 have potential benefits. And benefits
10 outweigh the risks.

11 DR. BIRNBACH: Dr. Loeb?

12 DR. LOEB: I felt that the pre-
13 clinical studies did a good job of
14 demonstrating that it was a safe product. I
15 thought that the clinical study did achieve
16 the initial objectives of which it set out,
17 and like every good study, raised a lot of
18 other questions that we wrestled with.

19 I think that this product has a
20 relatively low chance of causing injury, and
21 some chance of improving care for patients.

22 DR. BIRNBACH: Dr. Domino?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DOMINO: I felt that the
2 clinical study, these kinds of studies, are
3 very difficult to do. And it was small, and
4 it didn't address all the questions, and I --
5 on the other hand, I think that it
6 demonstrated a reduction in leaks, in at least
7 the initial perioperative, I would say,
8 several day period. And I look to the post
9 approval surveillance data to really test the
10 idea about safety issues.

11 DR. BIRNBACH: Dr. Brunson?

12 DR. BRUNSON: I think that this
13 product addresses an important clinical
14 problem that we deal with in medicine.
15 Besides that, with the evidence that was
16 presented, while we did have questions, I'm
17 satisfied that with the post market
18 surveillance study that we will do, that it
19 will address some of those concerns. And I
20 think overall, it has shown its efficacy, and
21 I think will be a good addition to the
22 clinical practice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIRNBACH: Dr. Cassiere?

2 DR. CASSIERE: My positive vote for
3 this product was based upon the fact that the
4 primary end point was clearly shown by the
5 company. And also given the fact that there
6 really is no adequate therapies for air leaks
7 post thoracic surgery, I'm confident that the
8 safety profile will bear out with the post
9 marketing set up that we have.

10 DR. BIRNBACH: Dr. Stoller?

11 DR. STOLLER: I voted for based on
12 again the great promise of the product. I
13 think that the sponsor needs to be given
14 credit for having satisfied the agreed upon
15 primary outcome measure, but I would argue
16 that in some ways the primary outcome measure
17 and the design of ascertaining it is less than
18 ideal.

19 In fact, were I to do this
20 recognizing that the retrospectoscope is
21 20/20. I would insist on blinded
22 ascertainment of the outcome. I would do it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in a time dependent manner, and I would have
2 independent radiographic ascertainment of all
3 films in a blinded way over time so that one
4 could really sink one's teeth into the
5 efficacy of the primary outcomes.

6 So my vote is yes. My level of
7 confidence in the yesness is rather low.

8 DR. BIRNBACH: Dr. Lillard?

9 DR. LILLARD: My vote in favor was
10 based on the fact that the -- I felt the
11 sponsor addressed or proved the primary end
12 point of effectiveness. While this initial
13 study hadn't been powered for determining the
14 complete safety, I think the relative safety
15 questions we have, we address with post
16 markets.

17 DR. BIRNBACH: Dr. Topoleski?

18 DR. TOPOLESKI: I voted yes because
19 I was convinced by the sponsor that the
20 product had an affect on reducing air leaks.
21 I had a small concern that we know very little
22 about how the strength or the adhesiveness and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thereby the effectiveness degrades. But I'm
2 sure that the sponsor would recognize that
3 that would be valuable data to produce in the
4 future.

5 DR. BIRNBACH: Dr. Normand?

6 DR. NORMAND: I voted no, and the
7 reason why voted no were probably the reasons
8 listed by my colleague down the row. And that
9 relates to A, the lack of blinding of the
10 primary end point introduces such bias into
11 the -- to that particular end point. Even
12 though that's the way it was designed, the
13 fact of the matter is it's biased, and so it's
14 very -- poses lots of difficulties
15 interpreting the primary end point.

16 From a statistical standpoint,
17 whether the primary end point was met or not
18 is questionable. I worry when my colleagues
19 see a P value of .005 and think that it's been
20 shown and get you P value for anything. We
21 just have to make sure it's the right tests,
22 so I'm worried about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that we didn't see any
2 benefit in lengths of stay based on sort of
3 the correctly done statistical analysis. But
4 I think that primarily summing it all up, it
5 seemed to me that the efficacy did not
6 outweigh the potential safety concerns. And
7 by that, I mean we're introducing a gel into a
8 patient's body. Now we have the potential for
9 air leaks that never in the past had been
10 treated, to now be treated.

11 I don't know if that's a good thing
12 by the way. And so the efficacy end point
13 wasn't reached in sufficient, in my mind, to
14 outweigh the potential harms and the unknowns.

15 And I based -- my assessment is based on the
16 data presented and not necessarily on any
17 hopefulness that I may have.

18 DR. BIRNBACH: And our Industry and
19 Consumer Representatives, if you'd like to
20 give your opinions? Dr. Spindell?

21 DR. SPINDELL: No comment.

22 DR. BIRNBACH: And Ms. Petersen?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. PETERSEN: I would recognize the
2 desire to do something about air leaks, that
3 it is a great concern of thoracic surgeons and
4 also of patients. I think we have seen some
5 positive indications in the data that was
6 presented today, although like many panel
7 members, I have some concerns about some of
8 the secondary end points, and also about the
9 statistical analysis and the blinding.

10 I hope that as the FDA goes forward
11 in looking at ways to get the product to be
12 approvable, that there is attention paid to
13 the use of the quantity administered to
14 patients as we learn more about the product to
15 ensure consumer safety.

16 DR. BIRNBACH: At this -- I'd like
17 to take this opportunity now to thank the
18 panel, the FDA and the sponsor. Mr.
19 Melkerson, is there anything the FDA would
20 like to add?

21 MR. MELKERSON: I'd would just add
22 I'd like to thank the sponsor on their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation, as well as the staff for putting
2 their time and effort, as well as your time
3 and effort to come here. Thank you very much.

4 DR. BIRNBACH: The June 12th, 2008
5 meeting of the Anesthesiology and Respiratory
6 Therapy Devices Panel is now adjourned. Thank
7 you all.

8 (Whereupon, the above-entitled
9 matter went off the record at 4:41 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701