

1 DR. BIGBY: The reason behind that,
2 though, is sort of stability and availability
3 of the product. I mean, if they're only
4 going to make it in the single dose -- you
5 know, like a single injection 45 and 90,
6 you're either going -- you're going to throw
7 some away.

8 Hold on one second. Can you
9 clarify sort of how it is packaged and
10 presented and what's the stability?

11 DR. GUZZO: So the drug will be
12 delivered in liquid in vial in -- 45mg liquid in
13 vial or 90mg liquid in vial. Once the vial is
14 open, the stability is only five hours, so
15 there's no preservative in it so it would have
16 to be used.

17 DR. RINGEL: I still don't necessarily
18 see that as a problem. Metholicimab (?) people
19 use portions of vials and throw them away if
20 they need to. If a patient is seen by a
21 physician, he okays the administration, writes
22 that prescription, the patient gives himself the

1 dose, I don't see a problem.

2 DR. THIERS: But I think, again,
3 sticking with the tempo, the tone of the
4 discussion today, I think the only choices that
5 we're really being asked to choose from are 45
6 or 90mg. I don't think there's any discussion
7 of mg/kg dosing. Am I correct? I think what
8 you're asking us to choose from is the two,
9 either 45 or 45 and 90, but the mg/kg was not
10 discussed.

11 DR. WALKER: No, there were two dosing
12 regimens. One was the sponsor's original
13 two-step dosing, but the third was the one that
14 you heard from the FDA presenter who had three
15 potential doses by weight.

16 DR. THIERS: Right, but we never
17 talked about mg/kg based on the patient's exact
18 weight. That's not on the table.

19 DR. WALKER: No, no, not continuous.
20 We talk about two cut points, one cut point or
21 two cut points, so two available doses or three.
22 And patients would fit into one of those groups.

1 DR. DRAKE: How does this question
2 allow us to answer which one of the three? It's
3 sort of a --

4 DR. WALKER: It allows you to answer
5 that you would either support having two
6 available doses or three available doses based
7 upon patient weight. It's what you do with that
8 extra 6 or 7 percent, potentially, of --

9 DR. BIGBY: Dr. Levin?

10 DR. LEVIN: No.

11 DR. BIGBY: You've got to turn your
12 microphone off.

13 So let me ask the sponsor, do you
14 have a big objection to having an
15 intermediate dose? And if so, why?

16 DR. GUZZO: The sponsor would support
17 a two-dose regimen for the reasons that I
18 outlined. First of all, we feel that the
19 high-weight patients will benefit greatly from
20 the 90mg dose. We would not support a
21 three-dose regimen. We have not studied the
22 67.5mg dose. And in addition, the data that the

1 FDA showed even suggest -- and when we look in
2 our data, even looking at a 90mg dose in that
3 mid-weight population, we see no benefit. And
4 even the data that the FDA suggested at week 28,
5 where they said there was some benefit in our
6 clinical trial -- I want to remind you, that's
7 with the 90mg dose in the mid-weight patients.
8 So we have not studied a 67.5mg dose.

9 We don't see the benefit of
10 exposing that mid-weight group of patients to
11 additional drug, and even when you look at
12 the FDA modeling, the benefit in a model is
13 only 5 to 6 percent, and it's not realized in
14 the clinical population.

15 DR. CRAWFORD: Can I ask a follow-up
16 to that? Thank you. Dr. Guzzo, I don't
17 remember the speaker, but one of the sponsors,
18 when it was discussed would there be an
19 intermediate or mid-range dose had actually
20 stated the sponsor didn't see the need for the
21 complexity, and I didn't understand that
22 response. Can you elaborate on that?

1 DR. GUZZO: Well, I think our main
2 objection is giving the patient additional drug
3 without additional benefit that we can see. Or,
4 even in the model, not substantial benefit.
5 Will it add additional complexity? Yes, it
6 will, because now you have a third dose. And so
7 people make mistakes if they have three doses to
8 choose from.

9 Additionally, I think it will -- if
10 longer down the road, we have, as Dr. Bigby
11 pointed out, an auto-delivery device which is
12 fixed dose, it adds additional complexity
13 there. Finally, there are issues -- since
14 that drug delivery at 67.5 is not available
15 right now, we will have to use 90mg and waste
16 a significant amount of drug.

17 DR. BIGBY: Hold on one second. If
18 you want to speak, turn your light on.
19 Everybody can talk, it's just -- so I don't
20 know which order you came out at.

21 DR. STERN: So I guess I -- to me,
22 going from two to three is not complex. And if

1 you believe there's a relationship between
2 weight and needed dose, the idea that somehow
3 something happens to you if I were to put on 20
4 pounds that isn't happening to me now relative
5 to whether I weighed -- when I weighed 60kg in
6 high school -- just doesn't make scientific
7 sense.

8 So it's true that given how the
9 product's going to be administered, kilogram
10 per kilogram or some sliding scale makes no
11 sense, but I don't see how you can argue that
12 there should be a cut point, and somehow it
13 only makes sense at 100kg.

14 You know, your studies that you say
15 don't support the intermediate dose are so
16 woefully underpowered that we can't say
17 anything about them, but either weight
18 matters or weight doesn't matter. And if it
19 matters, it may not be linear, it may be some
20 complex function. But the idea that that
21 function for your drug will happen to have
22 the critical period between 70 and 100kgs

1 strikes me as extraordinarily unlikely.

2 DR. KATZ: You're concerned about
3 overdosing people. On the other hand, despite
4 the small numbers, we haven't talked about
5 somebody who is 50kg who's 100 percent of them
6 are responding. So clearly in clinical
7 practice, if you have 100 percent response with
8 any of these drugs, you give less drug. Now,
9 you're starting them off with more drug. You're
10 treating a person who's 110 pounds with the same
11 dose that you'd give somebody 190 pounds -- 110
12 pounds, 190 pounds. Clearly you've shown that
13 the people over 100 kilos don't respond as well
14 to the lower dose, so maybe for all we know,
15 50kg would get half the dose.

16 So even though it's questionable on
17 that 70 to 100 kilo -- and I would agree with
18 you on that, of the 6 percent, for all we
19 know, there's a 20 percent difference down
20 here.

21 DR. GUZZO: Yes. I'm sure there is a
22 range of dosing, but I think the clinical

1 data -- with the clinical data that we have, I
2 think we've demonstrated that between 90 and 45,
3 we don't see a narrow therapeutic index. Fixed
4 dose biologics are well-recognized. You would
5 see the same range of dosing with the three
6 biologics that are on the market and are fixed
7 dose. But again, I do think that for this
8 mid-weight, even in the model that the FDA
9 proposes, we don't think that the benefit is
10 significant enough to necessitate having a third
11 dose for them.

12 DR. BIGBY: Tor?

13 DR. SHWAYDER: Several comments. With
14 due respect to the gentleman who was talking
15 about self-administration while playing soccer,
16 I have plenty of patients who say if a little
17 works, twice as much works twice as well, and
18 you know if they have self-administration in the
19 home, your psoriasis flares before you go on a
20 date, you're going to give yourself two shots.
21 That's just going to happen.

22 Now, I saw your data on monkeys

1 with IV, and I think -- I can't remember the
2 other dosing, 45mg/kg, I think it was. Is
3 there any data in human -- if you give two
4 doses instead of one for someone who doesn't
5 need it, was there any toxicity from it?

6 DR. GUZZO: So in the Phase 3 studies,
7 we gave -- in the first Phase 2 study, we gave
8 four doses of 90 and the safety profile was
9 similar. In our MS studies, we administered
10 significantly more drugs, so that the
11 concentration was approximately -- the exposure
12 was approximately 30 times what it was in our
13 psoriasis studies. And the AE profile was
14 similar across the placebo groups and the active
15 treatment groups, and we saw no dose response.
16 So we do have significant multiples of data.

17 DR. SHWAYDER: Did you present that
18 this morning? Was I asleep or something?

19 DR. GUZZO: No, I did not present the
20 MS data. But again, I can show you that.

21 DR. SHWAYDER: The other comment I
22 have is, when it comes out, us pediatric

1 dermatologists are going to use it, and we'll
2 just figure out ourselves what the mg/kg dose is
3 by hook and by crook, and it will probably be
4 done outside the area where there are too many
5 lawyers, like -- you know, in Europe or South
6 Africa or something, but we're going to need to
7 know that data. I was hoping it would come from
8 Centocor and not by hook and by crook.

9 DR. BIGBY: This part of the
10 program is to hear the discussion from the
11 panel, and I think we should use the FDA and
12 the sponsor to answer sort of the factual
13 questions and not engage them in a discussion
14 of pros and cons.

15 DR. CALLEGARI: Mr. Chairman, I just
16 need to address the dose issue, because it was
17 raised -- excuse me. Again, I'm Peter
18 Callegari. It was raised that a patient might
19 overdose themselves or dose themselves
20 equivalently.

21 The proposed distribution for this
22 product is direct delivery to the patient

1 with a single dose that's required, so the
2 patient will not be able to overdose or
3 change the interval, because that's the
4 distribution model that exists.

5 DR. STRAHLMAN: With regard to this
6 question, I wanted to go back to the slide
7 that -- forgive me, I'll pronounce your name
8 incorrectly probably, but Dr. Jadhav had
9 mentioned earlier. I think the FDA has asked us
10 for two choices -- the two doses or the three
11 doses. I first just want to make just a slight
12 comment to say that the FDA model is an
13 outstanding piece of work, and validate what
14 looks like a very linear direct relationship
15 between weight and the dose, just speaking from
16 the science.

17 In many medications, for adults,
18 the window is very large. Many, many drugs
19 are given at the same dose for someone who
20 weighs 120 pounds and 180 pounds, so I think
21 the question, in choosing between two doses
22 and three doses, the slide that you showed,

1 shows the model and the elegance of three
2 doses, and then on the left-hand side was the
3 actual data itself, what was observed,
4 understanding the limitations of size in the
5 study, but in that midrange, you didn't see
6 the difference that you saw in the top dose.

7 So I just put these thoughts before
8 the committee in making that choice, because
9 we have the practical issue of what was
10 actually observed and we have a model that
11 elegantly -- you know, if anything, validates
12 the observation. And also, like I said, it's
13 just really thrilling to see that piece of
14 work.

15 So I guess I wanted to just say for
16 purposes of the discussion of the committee,
17 it almost feels like possibly a practical
18 decision. We have two doses, they have been
19 studied, we have some observational data. We
20 have a model that shows that a third dose
21 might be an approach. The question is, which
22 one do we choose, and is there anything else

1 we might want to recommend either now or
2 later to look into that, and not only for the
3 intermediate dose, but to the questions that
4 were posed earlier about very low-weight
5 patients. And I think we can separate the
6 issue of the choice that we have before us
7 right now and what we may consider
8 afterwards.

9 I hope that's helpful.

10 DR. BIGBY: I'd like to make a
11 comment. I think that if you look at all of
12 the data provided, I think we can all come to
13 an agreement that there is a gradation in
14 dose response such that if you had an
15 infinite number -- not an infinite
16 number -- but if you had more increments of
17 being able to deliver the drug, you could
18 match the dose more precisely to the
19 patient's weight.

20 However, we have to live in the
21 real world. You can't expect that the
22 sponsor is going to make 10 different doses

1 so that we can do this. And I think the data
2 that they did present, you don't mis-serve
3 very many people in the middle by having just
4 two doses, and it's not so clear to me that
5 you make the lives of those people between 70
6 and 100 kilograms so much better by providing
7 that middle dose that it is worth the
8 increment in work and expense to product this
9 third dose.

10 That's just my opinion about it.

11 Lynn?

12 DR. DRAKE: Very eloquently stated,
13 Michael. I apologize, Mr. Chairman, I have to
14 kind of jump to a question that's later because
15 I think it's related. And that has to do with
16 the sponsor's statement they'd like to just
17 distribute directly to the patient. We haven't
18 decided yet -- made recommendations with respect
19 to self-administration. This is a
20 first-in-class drug. I'm apprehensive, very
21 apprehensive about just turning this loose with
22 patients. I have the utmost respect for

1 patients. I think they're smart and they do a
2 better job than we possibly can credit them for.
3 But I would still like the physician involved in
4 the dosing.

5 Now, if the physician is still
6 involved in the dosing, then I think we can
7 more accurately define who needs what dose.
8 So to me, they're related. I don't know how
9 you separate them, because direct
10 distribution to a patient at home without a
11 physician interval means I vote one way; on
12 the other hand, it means if the doctor is
13 making the decision based upon everything
14 else they should be observing on this
15 first-in-class drug, that's a different
16 scenario. And I don't know how to answer
17 that.

18 Maybe you could help me, Michael.

19 DR. BIGBY: So what way would you
20 vote if the drug was going to the doctor, and
21 what way would you vote if the drug were
22 being directly sent to the patient?

1 DR. DRAKE: I knew you'd come back
2 with that question.

3 I think in a real word, we have to
4 be practical. On the other hand, I think Bob
5 Katz has raised some very legitimate points.
6 So I would like -- as with many things, I
7 guess on a first-in-class drug, I would be
8 way in favor of better dosing range available
9 to the physician, and let the physician be
10 making that decision until we have more data.
11 This is a very small cohort. It's a very
12 small amount of patients. It's a
13 first-in-class drug.

14 I think it should not be
15 self-administered with just two well-defined
16 doses. I still think the physician has to be
17 involved in the decision-making process. So
18 I don't know if that answered your question.

19 If I had to vote, I'd say let's do
20 the three-step and let's leave it in the
21 hands of the physician until we have more
22 data.

1 That's always an ominous sign when
2 nobody responds.

3 DR. BIGBY: So this is an
4 interesting thing to have to call into
5 question. I think what they want is some
6 consensus from the panel about which dosing
7 paradigm people favor.

8 Go ahead.

9 DR. RINGEL: I'd like to ask the
10 company -- if the panel votes for the
11 three-tiered dosing, what is the manufacturer
12 going to do with the packaging? Are you going
13 to keep it the same and have people try to
14 adjust it on their own, or are you going to come
15 out with a third dose?

16 DR. GUZZO: I just want to clarify
17 that the physician will decide on the dose,
18 whether it's administered at home or whether
19 it's administered in the physician's office.
20 And as Dr. Callegari said, our plan with a
21 two-dose regimen was to only deliver the drug to
22 the patient, the exact amount of dose, so there

1 would be no decision on how the dose is given.

2 However, we only have a 90mg vial
3 and a 45mg vial, so in order to develop a
4 67.5mg vial, it takes a long time to develop
5 stability data on that.

6 So we would not have that
7 available.

8 DR. DRAKE: Cynthia, as a follow-up
9 question to that -- Mr. Chairman, may I ask her
10 a follow-up question? You know, right now, as a
11 physician, when I write things, I frequently
12 rely upon the pharmacist to also make sure the
13 dosing is correct. I mean, pharmacists are very
14 good at their job.

15 If we're going to directly
16 distribute this to the patient, again I go
17 back to the issue this is a first-in-class.
18 What if there's a mistake made? Because
19 mistakes do happen. There's no checks and
20 balances in this system. Even if the
21 physician writes the prescription one way, if
22 it's mailed directly to the patient, there's

1 no protective barrier there, or additional
2 input to make sure it's the correct dose. So
3 how would you address that issue? And I see
4 Stephanie may have a comment on that.

5 DR. GUZZO: I'm going to have
6 Dr. Callegari explain the distribution.

7 DR. CRAWFORD: The proposed
8 distribution? But may I make a quick statement?
9 I am a pharmacist, or at least the great state
10 of North Carolina seems to still think so, but
11 as you're talking about this, please tell us
12 more about the sponsor's proposed distribution
13 model. I'm not clear, Dr. Callegari, what you
14 mean by direct delivery to the patient. That
15 could be via a retail pharmacy, via mail order
16 pharmacies, coming from some other source, or it
17 could be office-based. It would all be direct
18 to the patient, so please clarify what is
19 proposed.

20 DR. KIMBALL: I'm just going to make a
21 quick comment, as someone who uses drugs like
22 this all the time, how I think in reality this

1 will pan out.

2 In general, those of us who use
3 drugs like this do see patients every three
4 months for lots of different reasons -- we
5 want to make sure they're okay, that they're
6 maintaining, that there's no safety issues.
7 The issue about administering in the office
8 is, I can't buy the drug ahead of time and
9 give it to them at that visit, because I then
10 potentially waste the drug if I make a
11 change.

12 So what I think practically is
13 optimal for the patients, they come in and
14 see me -- I say this is great, let's continue
15 your therapy. I'm writing the
16 prescription -- I'll let Dr. Callegari go
17 over how that actually then gets to the
18 patient -- the patient then receives it at
19 home and injects at home. It saves them an
20 additional trip to the office, which is where
21 I think the big gain is for the patient.

22 I don't think any of us are likely

1 to prescribe this without having a close
2 relationship with our patients to make sure
3 they're doing okay. I think we all do that
4 on a regular basis, and so that's where I
5 think the benefit for the patients really is.

6 DR. CALLEGARI: There is no intent to
7 have this delivered to the physician's office
8 and have the physician pay for it. This is a
9 patient-based payment. There will be an
10 SVP-like distribution, with the direct delivery
11 to the patient based on the prescription written
12 by the physician, the prescribing physician.
13 Through the SVP Pharmacy, there will be -- there
14 are checks and balances to make sure the
15 appropriate dose is delivered to the patient,
16 since there are really only two dose choices
17 that are present.

18 DR. BIGBY: Does it come at room
19 temperature?

20 DR. CALLEGARI: You know, I actually
21 don't know the answer to that question, and I
22 will defer to one of my colleagues to deliver

1 it.

2 DR. KIMBALL: Many of the drugs we use
3 this way that get shipped to the patients do
4 require refrigeration, and I will say the
5 pharmacies are expert at getting it to them in
6 an adequate kind of way. And I would say again,
7 if a patient couldn't do the injections at home,
8 you would then say, bring your drug in and we'll
9 do it for you, or arrange a different
10 alternative. So I think a lot of these
11 patients -- initially especially -- will be very
12 experienced in self-injection because many of
13 them will have been on biologic therapy before.

14 I think having the option is
15 important.

16 DR. BIGBY: Currently, is the drug
17 shipped at room temperature or --

18 DR. CALLEGARI: It's shipped at room
19 temperature. It's like etanercept and Humira
20 and that sense.

21 DR. CRAWFORD: Please clarify -- it's
22 still unclear. I'm a patient. I get a

1 prescription from Dr. Drake. What happens next?
2 Please go through all the steps, please. Don't
3 say direct delivery. Presuming it was approved.

4 DR. CALLEGARI: The patient would then
5 need to contact a specialty pharmacy provider,
6 and then the specialty pharmacy provider would
7 verify the prescription is correct, verify with
8 the physician, and then would be allowed to ship
9 directly to the patient.

10 DR. BIGBY: Does it come in the
11 preloaded syringe?

12 DR. CALLEGARI: This is liquid in a
13 vial. It will come liquid in a vial. Forgive
14 me, I misspoke, it is not room temperature, it
15 is 2 to 8 degrees.

16 DR. BIGBY: And it comes in a
17 breakable (inaudible) vial? Puncture?

18 DR. CALLEGARI: It's a puncture vial.

19 DR. JONES: It's a glass vial.

20 DR. BIGBY: Rubber stop?

21 DR. CALLEGARI: Yes, rubber stop.

22 DR. SHWAYDER: I have a question. How

1 easy is it for a layperson to tell whether the
2 medicine is ready for injection as opposed to
3 being cloudy? I mean, are you going to have
4 little pictures in the inserts saying yes, no,
5 maybe? And then what happens? They send it
6 back and five weeks go by before they get
7 another vial?

8 DR. CALLEGARI: There will be videos
9 provided to the patient. There will be a
10 potential for direct instruction into the
11 patient's home via a nurse to help assist in the
12 initial injection process. This is a model
13 that's been used -- liquid in vial is a model
14 that has been used with other biologics as well
15 prior to auto-injectors, so it's not a
16 reconstitution phenomenon, it's a liquid in
17 vial, so it requires drawing it up into a
18 syringe. So the earlier sub-cu injectable
19 anti-TNF agents, that's the way they are
20 delivered.

21 DR. JONES: Right. It's supplied as a
22 sterile solution in a single-use Type-I glass

1 vial with a coated stopper. It does not contain
2 preservatives. It's stored between 2 to 8
3 degrees Centigrade.

4 DR. BIGBY: Just a comment about
5 the video. I have given out, when they had
6 them, many, many isotrentinoin videos. I
7 don't know a single patient that ever looked
8 at the videos.

9 Other comments? I don't know -- I
10 almost don't know how to proceed with this
11 one. I think what we could do -- I mean, if
12 the two choices are two doses or three doses,
13 we can sort of get a sense of the panel on
14 that one, and then we can go around and
15 people can make their final comments with
16 their name attached, no more anonymous
17 comments.

18 So if the two choices are limited
19 to two or three doses, how many would vote
20 for two doses? And how many would vote for
21 three doses? And abstentions?

22 DR. KATZ: Abstain. Should I give the

1 reason, or do you want that afterwards?

2 DR. BIGBY: No, go right ahead, but
3 also give your name. Give your name.

4 DR. KATZ: This is Robert Katz. We're
5 still ignoring chart 72, where patients 110
6 pounds, which is not that unusual, are being
7 dosed with a drug that's been shown to be
8 dose-relevant as the same dose as somebody who's
9 200 pounds, less than 100 kilos. So we're
10 dosing somebody -- group of patients,
11 100 percent of which out of 13 patients have
12 responded with a PASI 75, and for all we know,
13 they responded with a PASI of 90, which is
14 fabulous because the drug looks fabulous, but we
15 may well be overdosing them. Then you have to
16 tell the patient when they get the medication
17 that 45 grams -- only give two-thirds of that.
18 It's complicated enough without doing that.

19 So I don't know -- I can't vote on
20 that.

21 DR. STERN: So Rob Stern. I voted for
22 three. Once I heard about the dose delivery

1 system, the arguments about another vial went
2 out the window. It seems to me it's fairly easy
3 if people are given the intermediate dose to
4 send them the 90mg and have clear instructions
5 in the syringe that you have a mark when you're
6 getting it for the intermediate dose and another
7 mark for the full dose, and instructions, and
8 that there will be some left in the vial. So I
9 don't see the arguments about delay. And to me
10 when you have something that's reasonably linear
11 with weight in terms of its effectiveness, why
12 not use it as optimally as you can?

13 I had almost been persuaded when I
14 thought there were self-injectors, and that
15 gets to be much more complicated in terms of
16 development, but if you're doing it the
17 old-fashioned way, we can use old-fashioned
18 principles.

19 DR. MAJUMDER: I'm Mary Majumder. I
20 voted for the two-step, even though I found it
21 very difficult to make a decision, because I
22 thought the argument was compelling. It just

1 seemed to me that practically, it would be
2 simpler, and if a physician is deciding to take
3 control of the process, then there's the
4 opportunity for more gradations.

5 So then you're -- why just stop at
6 three? But in terms of just practicality,
7 two seem to have something to be said for it.

8 DR. BIGBY: I'm Michael Bigby. I
9 voted for just two doses, for the very reason
10 that I think even though you could optimize a
11 group in between, it would be more sort of
12 work to the sponsor than it's worth, and that
13 I think what will happen in practice is that
14 the dose adjustments will be made in
15 consultation between the patient and the
16 doctor.

17 DR. THIERS: Bruce Thiers. I voted
18 for two, not with a lot of enthusiasm. I would
19 certainly like to see a 67.5mg vial. I'm not
20 sure -- if there was a comment that it would be
21 an ordeal to do stability studies on, I'm not
22 sure how long that would take, but given that

1 this is going to be a -- I'm sure a popular and
2 high-priced drug, I think that the sponsor
3 should show some initiative and do those
4 studies. And similarly, as Bob Katz mentioned,
5 for the skinny people on this planet, I think
6 there should be some studies with less than 45mg
7 dosing.

8 DR. LEVIN: Arthur Levin. I voted for
9 two, and I second everything that Bruce said.

10 DR. CRAWFORD: Stephanie Crawford. I
11 would recommend three, because I found the
12 analysis of the FDA to be more compelling. In
13 addition, if there were to be
14 self-administration by the patient, I believe a
15 mid-range dose would result in fewer errors in
16 terms of self-administration dosing.

17 DR. DRAKE: Lynn Drake. I voted for
18 three because I'd like to ditto -- it's
19 interesting to me. I think Rob and Bruce said
20 the same thing in many respects, except they
21 voted differently. But I agree with both of
22 them. One reason I would like the three -- as a

1 matter of fact, I'd probably like 23 -- the
2 reason being is I think that side effects and
3 drug reactions and what not are so
4 dose-dependent. And if we have a 50kg person,
5 if we have a skinny person, and we can give them
6 less drug and get the same effect, I think we
7 ought to go that way, because the higher dose,
8 the more the side effects, the more the
9 immunosuppression. And I'm falling back on,
10 above all else, do no harm. I'd like to see
11 this -- you know, I think the drug's very
12 efficacious, but I really would like to see more
13 control of the dosing.

14 I don't think we need to give any
15 patient more drug than they need. It just
16 increases all the bad side effects.

17 DR. HECKBERT: Susan Heckbert. I
18 voted for the two doses. I voted that way
19 because that's what's been studied, although I
20 suspect that what the analysis the FDA has done
21 is correct. But I'd like to see it studied
22 before I would recommend it. And I also agree

1 with Dr. Katz and others who have indicated that
2 the people with very low or on the low end of
3 body weights should also be studied separately.

4 So I think more study is needed for
5 the low-weight individuals as well as whether
6 that intermediate dose is appropriate.

7 DR. RINGEL: Eileen Ringel. I voted
8 for the two-tier system simply because that's
9 what the drug company has studied and that's
10 what they're willing to do. I don't think Rob's
11 system will work, although I would love it if it
12 did because of the evil insurance companies.
13 They are not going to allow you to dispense the
14 90mg dose to people who weigh less than 100kg.
15 They simply aren't. So I'm going to vote for
16 the two-tiered system, but strongly encourage
17 the drug company to look at the middle range.

18 DR. SHWAYDER: Tor Shwayder. I
19 encourage the drug company to look at both the
20 lower and the upper range, and ideally work out
21 a mg/kg dosing for the less than 100 pounders.
22 I always dose methotrexate so that I can still

1 see one or two plaques because then I know I'm
2 not giving too much, because once they're clear,
3 you could be giving 100 or 200 times the dose
4 you're giving.

5 I also want to make sure that it's
6 listed as suggested and not mandatory for
7 many reasons, but the least of which is what
8 Dr. Ringel just said, is that the insurance
9 companies won't give you the 90mg because
10 somebody wrote in a monograph someplace that
11 you have to be over 100 kilos.

12 DR. BIGBY: There was a statement
13 made during this discussion about side
14 effects being related to the dose given, and
15 I just want to give the sponsor a chance to
16 respond to that.

17 DR. YEILDING: Thank you very much.

18 I think I had reviewed in my
19 overview of safety that we have not observed
20 any safety -- any dose-related safety issues
21 either in our Phase 3 trials, in our Phase 2
22 trial, where we studied both lower and higher

1 doses or in our Phase 1 study where we
2 studied IV administration as well as
3 subcutaneous administration. So we have not
4 observed any dose effects in terms of safety.

5 As Dr. Jones points out to me as
6 well, we actually have studied -- in other
7 indications, we've studied much higher doses,
8 as high as 180mg weekly for four consecutive
9 weeks, and then every four weeks for up to
10 approximately six months -- 19 weeks, in our
11 multiple sclerosis trial, where we observed
12 no dose-related safety events.

13 DR. DRAKE: Since I'm the one that
14 made that statement, I think that that answer
15 was helpful, actually. I would have liked to
16 have seen that data presented today because it
17 would have given us a little bit of background,
18 but as a general rule, you always want to -- in
19 my mind, you want to treat with as minimal a
20 dose as you can treat and get the efficacy you
21 want.

22 Because remember, the duration is

1 steady, which is the next question, or one of
2 the other questions. We only have 18 months
3 really of knowledgeable data. I mean, I'm
4 not anti this drug. It's very interesting.
5 I find myself in a conundrum here. But I
6 feel like I don't have enough information.
7 We're being asked very hard questions with
8 maybe too little information. And that's the
9 point I was trying to make, Michael, is that
10 I think we need a little more information.

11 DR. BIGBY: I think we'll move on
12 to question four. Has the applicant provided
13 sufficient information to inform patients,
14 physicians, regarding when and how to stop
15 treatment with ustekinumab?

16 DR. THIERS: Nobody knows how to
17 pronounce it. Including me.

18 DR. BIGBY: Open for discussion.

19 DR. STRAHLMAN: I just had a
20 clarification question, because FDA has of
21 course asked the committee to give some
22 suggestions on this. But just to be clear, has

1 the company done what FDA has asked with regard
2 to safety, and the requirements that you
3 outlined? Because that is a different question.
4 So I didn't want to leave the impression that
5 something was left undone that hadn't at least
6 been asked about.

7 DR. WALKER: I think that's actually a
8 very interesting question, and I'll answer it
9 this way. These therapies have been developed
10 over a long period of time through various
11 meetings with FDA, through various protocols,
12 and what we're looking for is as we evolve and
13 look at these biologic products, I think we all
14 have to understand what would be the very best
15 way to label these for patients and physicians.

16 So based upon the question we've
17 asked, what we're interested in is hearing
18 from the committee as to whether they would
19 feel that the information exists to inform
20 patients and physicians about this question.
21 And that's really the basis for our question
22 today.

1 DR. KATZ: Are we discussing question
2 four?

3 DR. BIGBY: Absolutely, we are.

4 DR. KATZ: This is, as we alluded to
5 before, basically how and when to stop treatment
6 and lower dosages -- as you mentioned and I
7 mentioned before that, it's implicit in
8 everything we do with every drug we do, whether
9 you prescribe a drug for acne or for topical
10 treatment for psoriasis or methotrexate or
11 Enbrel or whatever.

12 So you can't give instructions
13 ahead of time as to inform patients when and
14 how to stop treatment -- stop treatment when
15 you're 80 percent better or 60 percent
16 better. The doctor is going to see the
17 patient; they're going to get sufficiently
18 better. They're going to have only one or
19 two patches left, which you like to know
20 you're not overdosing, so you're not getting
21 them 100 percent better. They're 100 percent
22 better, you're going to lower the dose and

1 you're going to increase the interval.

2 So I don't think this can be
3 stated. I think that's not going to be the
4 company's responsibility. It will be the
5 physician's responsibility.

6 DR. STERN: I think that the design of
7 these studies were such that -- I know I'm a
8 very simple person, but even interpreting what
9 was best to do up to week 40 with the number of
10 different crossovers and the size of the groups
11 is very difficult to judge in a quantitative
12 sense. So on the one hand, I echo Dr. Katz's
13 position that it will take clinical practice.

14 For the company, I would say that
15 this is about the information we have for the
16 comparative agents, and to me, the real issue
17 about when to stop treatment or how much to
18 use is not so much an efficacy, because
19 that's an individual experience issue -- and
20 they have reasonably addressed the issue of
21 do you get rapid flares with
22 withdrawal -- but it comes down to when will

1 we have information about how long we can
2 continue the drug in the individual patient
3 for whom it continues to be effective?

4 So I guess I would say they've
5 given us all we can expect on the efficacy
6 side before approval. We'll learn from
7 clinical experience with respect to
8 continuing efficacy, but they haven't told us
9 how long it's safe to use this drug by any
10 stretch of my imagination.

11 DR. BIGBY: Other comments?

12 DR. CRAWFORD: Hi. Crawford. I'll
13 make it quick. Just looking at how the question
14 is asked, the sponsor presented data on some
15 patient subjects who were discontinued from the
16 trials for various reasons. Long-term data are
17 not yet available. So the strict answer is,
18 have they provided some information? Yes. Is
19 it sufficient?

20 We don't know. So it's hard to
21 answer this one.

22 DR. BIGBY: Let's put this to the

1 vote. Those who would vote yes in answer to
2 this question, raise your hand. So there was
3 one yes. Those who would vote no to this
4 question, raise your hand. That's 10. And
5 abstentions. That's none.

6 Tor, do you want to start us off
7 with another recitation of names?

8 DR. SHWAYDER: Tor Shwayder. I voted
9 no. I don't think the question was addressed
10 really by the data.

11 DR. RINGEL: I voted no because --

12 DR. BIGBY: Name.

13 DR. RINGEL: I'm sorry. Ringel. I
14 voted no because I don't think it was addressed,
15 but I don't think it's possible to have been
16 addressed. I'm sort of in a quandary, but I
17 guess not. I'm not blaming anyone for it.

18 DR. HECKBERT: Susan Heckbert. I
19 voted no, that they've not provided sufficient
20 information, but I think we've heard from the
21 dermatologists that they can operate without
22 that information in the early going, although

1 they'll be happy to have more information as
2 time goes on.

3 DR. DRAKE: Lynn Drake. I voted no.
4 I think the reason that -- as the question was
5 asked, the information has not been provided.
6 On the other hand, how long to continue
7 treatment usually comes out in the wash. You
8 should begin treating it and using the drug.

9 DR. CRAWFORD: Stephanie Crawford. I
10 voted no, for reasons previously stated.

11 DR. LEVIN: Arthur Levin. No.

12 DR. THIERS: Bruce Thiers. I voted no
13 because I don't think we have this information
14 for any drug that comes on the market. And as
15 Bob Katz said, it's just by clinical experience
16 that we learn how to do it.

17 DR. BIGBY: Michael Bigby. I voted
18 no. So the issue for me here is when you
19 stop the drug and the psoriasis gradually
20 comes back, I think we have no data to know
21 whether or not in those patients in whom it
22 stopped and psoriasis got real bad, what

1 their response to therapy again would be.

2 So therefore, if it's not good and
3 the drug is safe, should you stop it at all?
4 So I think the answer is definitely no, and
5 I'm not so sure that we're going to find that
6 out by studies that the company does.

7 DR. MAJUMDER: Mary Majumder. No, for
8 reasons previously stated.

9 DR. STERN: Rob Stern. No, for
10 reasons I stated, and also for Michael's
11 reasons.

12 DR. KATZ: Robert Katz. Yes, the
13 company has provided as much information as they
14 can. The rest will have to be determined
15 clinically. And they also provided information
16 as to what happens when you stop. There's a
17 very, very gradual response, and so they've
18 given us what we have to know and then the rest
19 will -- as Lynn said, come out in the wash.

20 DR. BIGBY: We're going to move on
21 to question five.

22 Discuss the critical safety

1 concerns with ustekinumab and the sufficiency
2 of the database to characterize them. Have a
3 sufficient number of subjects been studied?

4 DR. STERN: Cancer, infection, and
5 with respect to sufficient number of patients at
6 interval, are you kidding me?

7 DR. BIGBY: Other comments?

8 DR. KATZ: I think it's a little
9 deceptive to talk about patient years. So it's
10 not the sufficient number of patients, it's the
11 length of time. So you could have lots of
12 patients for one year, you're not going to be
13 reassured about the long time, long-term
14 occurrence of immunosuppression and so forth,
15 lymphoma.

16 So it's length of time which is
17 important, which will -- and so the number of
18 patients, I would say it's okay -- the more
19 the better, but sufficient length of time,
20 we'll get with time. The drug is very
21 effective, and we'll get into the
22 registration issues afterwards, I assume,

1 following questions.

2 DR. BIGBY: I thank you. I left
3 out that last line. Have subjects been
4 followed for a sufficient length of time? So
5 those are all part of this question five.

6 DR. SHWAYDER: This is the most
7 worrisome question for me. Just in five
8 minutes, and when I cross-reference on pubmed,
9 IL-12 and angiogenesis, I came up with eight
10 articles just in 2007. Use of IL-12 to treat
11 cancer, IL-12 positive tumors, patients survive
12 longer than IL-12 negative. IL-12 deficient
13 promotes photo carcinogenicity in mice. IL-12
14 acts as a tumor suppressor in human B-cell
15 malignancy. IL-12 was cytostatic when given as
16 an antitumor. IL-12 and 23 promote inflammatory
17 spots as the tumors. IL-12 at the angiogenic
18 cytokines helpful in tumors.

19 So I don't know if we're opening a
20 Pandora's box by blocking IL-12, and that's
21 really the elephant in the room with this.
22 They've had a couple dozen patients. They

1 have a little bit of data -- I was just
2 looking at slides 117 through 120 and I just
3 don't know that the N (?) is big enough to
4 answer that question. And that's what I said
5 we have 20 years when we have 20 years, but
6 that's the question we need to answer for
7 patient safety.

8 DR. THIERS: I think that's the
9 whole -- the crux of the matter. I think it's
10 going to turn out we're all convinced about the
11 efficacy of the drug. Are we comfortable enough
12 about the drug to let it go on the market and
13 wait for X years to see what happens? Because
14 we don't know how long you have to wait to see
15 if this is a safe drug in terms of
16 carcinogenicity. That's the issue. And I'm not
17 sure there's a right or wrong or a yes or no
18 answer to it, but that's what we have to come to
19 grips with.

20 DR. DRAKE: I'd like a point of
21 clarification from the FDA. I brought up the
22 issue of promises made, results not

1 delivered -- through nobody's fault. I'm not
2 blaming anybody -- if in fact the -- what I
3 think -- the kindase grappling (?) I think -- we
4 know the efficacy pretty good. And we have
5 concerns about some other variables, even if
6 this -- and Centocor, I apologize to the sponsor
7 right now because you're being painted with a
8 broad brush, which is totally unfair to you
9 because you haven't been at this
10 table -- particularly with this issue, I don't
11 think. Why yes, they have. Excuse me. Beg
12 your pardon. Yes. Can I take back my apology?

13 My question is, how can the FDA
14 make sure that some of these questions that
15 the advisory committee has posed that we feel
16 we really need answers to, what's your
17 enforcement authority? There's some new
18 regulations out there where I think you can
19 request absolutely that these studies be
20 done. What are your remedies, so to speak,
21 if the studies are not done? Can you give us
22 an update on that?

1 DR. BEITZ: Let me just address that
2 briefly. We do have under the FDA Amendments
3 Act the ability to require studies
4 post-approval. We're still evaluating the
5 complexities of this new authority, so it's very
6 hard for me to say today what we might do down
7 the road. But the law does give us the ability
8 to invoke civil penalties in the event that we
9 find that a particular requirement was not
10 fulfilled in the way that we had all thought,
11 but I can't really give you any specifics today.

12 DR. STERN: Michael? And to Lynn's
13 point, we should remember that at least as
14 proposed by the sponsor, in contrast to the drug
15 that the sponsor has a track record with, which
16 is an infusion that takes three hours -- and
17 we've heard from someone who's an investigator
18 about how little progress they've been able to
19 make in terms of an optional safety study -- we
20 would have here a drug that you basically get
21 through the mail and that is not under the same
22 degree of supervision in a medical facility.

1 So one has to wonder about, if
2 that's how well you do when you have the
3 patients captive for three hours, how are you
4 going to do when you have them captive for
5 three minutes when they come in for their
6 appointment?

7 DR. BIGBY: Hold on. Dr. Heckbert,
8 you had a comment? No. Eileen?

9 DR. RINGEL: I'm going to use this
10 opportunity to just discuss three things that
11 have to do with safety that have been niggling
12 at me and I want to just get them on the record.

13 The important issue is clearly
14 malignancy, number one, and infection, number
15 two, and that's fairly obvious to everyone.
16 Let me just say three things.

17 When I looked at the data when it
18 came from the company, there seemed to be a
19 little blip in the incidence of influenza.
20 You've got a lot of older people here that
21 are on immunosuppressive agents. We have no
22 idea if the influenza vaccine is going to

1 work. I noticed that they tested the
2 pneumococcal vaccine. I'd really like to
3 know if they tested the influenza vaccine,
4 and people die of influenza.

5 That's number one.

6 Number two is they kept on talking
7 about MI and stroke, but they didn't talk
8 about acute coronary events and TIAs, and
9 that's terribly important. I mean, people
10 may not be listed as MI because they had
11 treatment. They had thrombolytic therapy or
12 whatever, and they never had the MI, but they
13 still had an acute coronary event and they
14 would have had they not been treated. That
15 needs to be included.

16 And the third thing has to do with
17 asthma. I believe there were three
18 asthmatics in this study. That's not enough.
19 We need to look at that. And I know those
20 are small points, I just wanted to get them
21 on the record, and there they are.

22 DR. KATZ: To bring up the point that

1 Dr. Heckbert brought up, what recourse and
2 follow-up, wouldn't that involve a discussion of
3 question number eight at the bottom of the page?

4 DR. BIGBY: Right.

5 DR. KATZ: So I would assume, Doctor,
6 that the FDA does have some recourse on really
7 getting the follow-up. We'd have to deal with
8 that with question eight.

9 DR. BIGBY: I also had just one
10 comment about the safety issue. I agree with
11 what's been said about infection, malignancy.
12 I mean, it is both theoretically, and based
13 on animal and some human data unlikely that
14 certainly infection won't be a problem.

15 In terms of cardiovascular risk,
16 the sponsor slide number 124 doesn't actually
17 exclude a risk difference of 1 percent for
18 cardiovascular events, which means that you'd
19 have one extra event for every 100 patients
20 treated so that the existing data doesn't
21 exclude a risk of 1 percent.

22 You were asking for an opportunity

1 to respond to -- yeah?

2 DR. KRUEGER: So without a slide, I
3 just want to make the comment that the committee
4 is concerned about the consequences about
5 blocking IL-12 in humans. This particular
6 antibody is very selective in that it blocks the
7 p40 subunit, and therefore blocks IL-12 and 23.
8 But I want the committee members to understand
9 that all of the other drugs that are biologics
10 and some of the non-biologics, including
11 cyclosporine, are strong inhibitors of the p40
12 cytokines, and they effectively block the
13 production of the p40 cytokines as well as
14 having immune effects outside of this axis.

15 And therefore, the safety data that
16 exists with cyclosporine and with the other
17 biologics are actually relevant to your
18 concerns about what happens in humans when
19 IL-12 is blocked, because it has been blocked
20 in thousands of patients who have been
21 treated with not only biologics, but also
22 cyclosporine.

1 DR. CALLEGARI: I really need to
2 address clearly some misinformation in terms of
3 our ability to fulfill regulatory commitments.
4 I certainly cannot speak for other sponsors, but
5 I want this committee to realize that we have
6 met our post-marketing regulatory commitments.

7 This is a slide of post-marketing
8 regulatory commitments. The first is TREAT.
9 It is a 5,000 patient Crohn's disease
10 registry, enrolled on time in the United
11 States as part of a post-regulatory
12 commitment that we had to the Agency. The
13 second, the PSOLAR registry -- and I'll be
14 glad to talk a bit more about that, but the
15 PSOLAR registry, we also had first patient in
16 on time. It is ongoing, and I can correct
17 some misperceptions about that.

18 In addition, in agreement with the
19 Agency, we've gone ahead and initiated a
20 Pediatric Crohn's Disease Registry which we
21 are currently enrolling. Just recently
22 initiated, it's a 20-year registry.

1 So aside from that, we have also
2 supported a number of other projects
3 including CORRONA, the rheumatic disease
4 registry form Fred Wolfe, as well as we've
5 fulfilled a lymphoma-pooled analysis that the
6 Agency had requested from us.

7 In addition, we are currently
8 conducting a pregnancy research initiative,
9 which again, we agreed to with the Agency and
10 we are conducting it. So in terms of our
11 ability to fulfill regulatory requirements,
12 please don't paint industry with as broad a
13 brush. Centocor has fulfilled their
14 regulatory requirements as requested.

15 Next slide, please. The second
16 thing I want to address is PSOLAR. A lot has
17 been discussed about PSOLAR, and I need to
18 remind you that PSOLAR represents only one
19 aspect of the comprehensive risk management
20 plan that we've proposed today.

21 The second thing I want to clarify
22 is some of the dates around PSOLAR.

1 Infliximab was indeed approved in September
2 2006. However, the post-marketing commitment
3 to launch PSOLAR started on July 1, 2007,
4 with agreement with OSC -- the FDA and OSC.
5 There are three phases of release in this
6 registry. This was agreed upon by the
7 steering committee.

8 The first phase, the initial phase,
9 was -- as I had said earlier, the initial
10 phase was for user acceptance testing of the
11 CRFs, the Clinical Research Forms. The
12 second phase is an expanded phase and the
13 third phase will ultimately be full (?).

14 The first phase took about six
15 months of user acceptance testing in 30
16 sites, and currently, there are 485 patients
17 enrolled as of June 16th. What has happened
18 is we are currently expanding the number of
19 sites, and we are currently enrolling 40
20 patients a week from these sites. So the
21 proposal is ultimately to expand the number
22 of sites -- the limitations on expansion came

1 from clarifying the CRFs, and now, we fully
2 intend to expand the sites.

3 In addition, for ustekinumab, we no
4 longer have to go through any of the
5 initiation start-up because we've already
6 done that. We spent time developing and
7 validating and testing the electronic data
8 capture forms. Thank you.

9 DR. STERN: Michael, I would like to
10 ask two questions. The first is, could you
11 please tell me, in the history of epidemiology,
12 any 450-center study that has been successful in
13 robustly evaluating any endpoint? I'm not aware
14 of that. Where I come from, we call 450 site
15 studies seeding studies, not research studies.

16 And the second is, am I correct
17 that you had within one year an 8 percent
18 loss to follow-up in your Crohn's disease
19 study already in the first year?

20 DR. CALLEGARI: That is true. No, no,
21 not in the first year. Per year. But we've
22 continued to enroll that study. We enrolled

1 over 6,000 patients in that study.

2 DR. STERN: Right. So where I come
3 from, if you're losing 8 percent per year, your
4 ability to detect substantial increases in the
5 risk of important endpoints goes out the window
6 after a couple of years. PSOLAR is only my
7 experience.

8 DR. CALLEGARI: PSOLAR is not a
9 seeding study. It is a clinical trial. No one
10 is compensated for putting Remicade patients in
11 the trial any more than they are compensated for
12 putting non-Remicade patients in the trial. And
13 it has -- it follows good clinical practice
14 guidelines, there are design endpoints, there is
15 an independent steering committee that exists
16 within the trial proper. So that is not a
17 correct characterization of that trial.

18 DR. STERN: So could you tell me about
19 these epidemiologic studies that have had 450
20 centers that have contributed substantially to
21 our understanding of the safety of a therapy and
22 long-term use?

1 DR. CALLEGARI: Before I turn it over
2 to my colleague, Dr. Berlin, I can tell you that
3 our other registry commitments, as Dr. Siegel
4 from the FDA had mentioned earlier, have indeed
5 contributed to the Agency's ability to interpret
6 signal that they've detected in their AERS
7 dataset.

8 In addition, they have provided a
9 number of new insights into the disease
10 proper -- Crohn's disease, even rheumatoid
11 arthritis, through various publications and
12 presentations at national meetings.

13 I'm going to defer to my colleague,
14 Dr. Berlin, to address your 450-site
15 question.

16 DR. BERLIN: Thanks. I'm Jesse
17 Berlin. I head the epidemiology group within
18 Johnson & Johnson Pharmaceutical Research and
19 Development. I'm not going to have those
20 numbers off the tip of my nose, head, but there
21 are some well-known very large epidemiologic
22 studies. In fact, it's a Zodiac study that was

1 just completed in the CNS area. It's a Pfizer
2 study comparing ziprasidone with olanzapine.
3 And I think it's on the order of 400 or more
4 centers. That was actually initial
5 randomization, but became essentially an
6 observational study after that.

7 With respect to your -- so I think
8 if we looked, we could find examples of
9 studies. There are some I know in the oral
10 contraceptive literature as well where -- in
11 the oral contraceptive literature, there are
12 some very large studies that have looked at
13 broad population-based enrollment on that
14 same order of very, very large numbers of
15 studies.

16 With respect to the loss of
17 follow-up, no one's going to argue that
18 8 percent loss per year is not something that
19 would concern us. I think there are two
20 points in response to that. One is there may
21 be 8 percent per year, but I'm assuming that
22 there will be a core of people, and granted

1 with a loss of sample size, who will be
2 retained throughout for long-term follow-up.
3 So the 8 percent a year alone doesn't
4 preclude the possibility of at least a core
5 of patients being followed for longer-term.

6 The other point I'll say in
7 response is that at least if you look in the
8 anti-TNF data, somebody mentioned this
9 morning that randomized trials, the
10 controlled portions of the randomized trials
11 have been able to demonstrate -- maybe I'm
12 not supposed to be saying this -- we've seen
13 a fairly clear increase in lymphoma risk, for
14 example, over the 12- to 16-week follow-up
15 period from the anti-TNF drugs. So I'm not
16 completely convinced myself that multiple
17 years of follow-up, although valuable, are
18 going to be necessary to detect all signals.

19 DR. JONES: Dr. Bigby, can we address
20 Dr. Ringel's question?

21 DR. BIGBY: Sure.

22 DR. YEILDING: Thank you very much.

1 In terms of asthma, I just wanted to point out
2 that 8 percent of subjects in the clinical trial
3 had asthma, so a total of approximately 160
4 subjects in the clinical trials had asthma. I
5 just wanted to correct that. I think I had
6 provided that information on my medical history
7 slide, and we looked at adverse events of
8 asthma. Adverse events of asthma are very low.
9 No serious adverse events of asthma in the
10 ustekinumab treated group.

11 The only serious adverse event that
12 we observed was in a placebo-treated subject.
13 No treatment discontinuations due to asthma,
14 and patients responded appropriately to
15 therapy. There was nothing unusual about
16 their course, and we actually looked at that
17 fairly carefully.

18 In terms of influenza, and you are
19 correct, we pointed out in our briefing
20 document that when -- if you could bring the
21 slide up -- we pointed out in our briefing
22 document that when we look at data through

1 the BLA cutoff, so that includes the
2 controlled and the uncontrolled portions of
3 the trials, we do see a different rate of
4 influenza -- 2.7 compared to 7.

5 Now, if you look at the placebo
6 control period only, we don't see a
7 difference in rate of influenza, and we
8 believe that the reason for the difference in
9 the BLA cutoff is that very little of the
10 trials after the placebo control period was
11 conducted during flu season.

12 Only 13 percent of placebo
13 follow-up occurred during flu season. So
14 that -- in the T08 study, that's on average
15 4.1 weeks per patient; in the T09, that's 2.6
16 weeks on average. And that compares to the
17 active treatment groups where we had
18 41 percent of follow-up.

19 Now, what we did -- you can go to
20 the next slide -- what we did was to look at
21 other viruses, because we wondered whether
22 this could possibly represent a signal in

1 terms of viral infections. And if you look
2 at other viral infections, looking at placebo
3 in ustekinumab groups, controlled for
4 follow-up, you can see that if we look at a
5 variety of different viral infections, in
6 general, we're not seeing a difference rates
7 of viral infections.

8 DR. RINGEL: I'm just curious. Did
9 you look at the response to influenza vaccine?

10 DR. YEILDING: We did not look at the
11 response to influenza vaccine. We do know that
12 we had a number of investigators that inquired
13 about the use of influenza vaccine. We've
14 encouraged investigators not to use the live
15 attenuated version of the vaccine, but we know
16 that a number of patients used the non-live
17 injection, the flu vaccine. Obviously, we don't
18 have data on its efficacy.

19 DR. BIGBY: Other comments?

20 DR. KATZ: Relative to the concern
21 about long-term suppression by IL-12 that
22 Dr. Shwayder brought up, Dr. Krueger, you're

1 hardly reassuring saying that the same thing
2 occurs with cyclosporine. Who's using that long
3 term? And this drug is so effective, it
4 certainly is going to be used long-term and so
5 it's not an analogous situation.

6 DR. BIGBY: So if no one objects,
7 we can put this to a vote. So I won't read
8 the opening sentence, but have a sufficient
9 number of subjects been studied? Those who
10 would like to vote yes to this, raise your
11 hand. Zero. Those who would vote, no, raise
12 your hand. I think that's everybody.

13 Are there any abstentions? One
14 abstention. So since you are unique among
15 us, you can start the discussion.

16 DR. CRAWFORD: Thank you,
17 Mr. Chairman. The reason I
18 abstained -- Crawford -- the reason I abstained
19 is because I would have had to make a split
20 vote, which is not a possibility in answering
21 the question have a sufficient number of
22 subjects been studied. The numbers that were

1 presented in the trials are well above the
2 minimum guidelines that were referred to. On
3 that side, yes. My split, however, is in terms
4 of were all the trials adequately powered to
5 date to find some safety events, and the answer
6 is clearly no.

7 DR. BIGBY: Lynn? We'll go that
8 way to the end.

9 DR. DRAKE: Lynn Drake. I voted no.

10 DR. HECKBERT: Susan Heckbert. I
11 voted no. Certainly for malignancy, there's no
12 way that this is a sufficient number.

13 DR. RINGEL: I voted no.

14 DR. SHWAYDER: Tor Shwayder. I voted
15 no.

16 DR. BIGBY: Bob?

17 DR. KATZ: Robert Katz. No.

18 DR. STERN: Rob Stern. No.

19 DR. MAJUMDER: Mary Majumder. No.

20 DR. BIGBY: Michael Bigby. No.

21 DR. THIERS: Bruce Thiers. No, simply
22 because I don't think there were enough patients

1 to identify rare side effects.

2 DR. LEVIN: Arthur Levin. No.

3 DR. BIGBY: We'll vote on the
4 second part of this question. Have subjects
5 been followed for a sufficient length of
6 time? Those voting yes, please raise your
7 hand. Those voting no, please raise your
8 hand. And abstainers?

9 We'll start with you this time,
10 Robert.

11 DR. KATZ: I feel ambivalent voting no
12 on this because the drug is so effective that I
13 could be convinced to vote yes on both these
14 points temporarily if we considered question
15 eight with a mandatory registry where we would
16 get guaranteed long-term follow-up. So without
17 that, since we haven't approached that yet, I'd
18 have to vote no. But that could be changed if
19 other things came to the fore.

20 DR. STERN: No. Rob Stern.

21 DR. MAJUMDER: Mary Majumder. No,
22 because on this question what I'm hearing is a

1 consensus that for things like malignancy, you
2 would need to follow patients for a longer
3 period of time -- and with the understanding
4 that question eight, which relates directly to
5 approval and further studies, is a different
6 question.

7 DR. BIGBY: I was admonished that
8 I'm supposed to announce that the vote on
9 this one was yes, 0, no, 11, abstentions, 0.
10 I'm Michael Bigby, and I voted no simply
11 because the number of years in the patient
12 years are insufficient for things, especially
13 like malignancy.

14 DR. THIERS: Bruce Thiers. I voted no
15 because the answer is no, but it does not mean
16 that the issue cannot be addressed by the
17 sponsor, but the answer to the question as
18 written is no.

19 DR. LEVIN: Arthur Levin. No.

20 DR. CRAWFORD: Stephanie Crawford.

21 No.

22 DR. DRAKE: Lynn Drake. No. As a

1 caveat, the sponsor's slide that showed that the
2 studies, the post-marketing surveillance have
3 been enrolled, was very helpful. It would have
4 been helpful if that had been in the main
5 presentation along with any preliminary data
6 they might have. And so they may have something
7 to present in addition.

8 DR. HECKBERT: Susan Heckbert. No.

9 DR. RINGEL: Eileen Ringel. No. I'm
10 just going to throw in a little caveat here. No
11 in particular because the regulatory environment
12 and public opinion have changed a lot since
13 previous systemic and biologic agents were
14 approved.

15 DR. SHWAYDER: Tor Shwayder. No. And
16 I just want to comment. I wonder if the people
17 who presented their personal stories were given
18 that Faustian choice -- we can get rid of your
19 psoriasis, but you have a fill in the blank
20 percent of getting lymphoma five or ten years
21 from now, which would you choose? And the
22 answer, I'm sure for most of them, was get rid

1 of my psoriasis, I'll deal with the other one
2 later, but that's when I get sued.

3 DR. BIGBY: We're going to take a
4 break in a minute, but don't go yet. It was
5 a little bit of confusion about the answer to
6 question two, because some people changed
7 their mind in between voting. This was about
8 the alternative weight-based dosing
9 paradigms. So we just need to get an
10 accurate count about where we stand on this.

11 And the choices were two doses and
12 three doses, and a few of you changed your
13 minds in the middle.

14 Question three, right. So if the
15 choice is two doses, how many would favor two
16 doses? Just a show of hands. And how many
17 favor three doses? And abstentions?

18 Okay, so are we all set?

19 We'll take a 15-minute break and
20 reconvene at 3:45.

21 (Recess)

22 MS. WAPLES: Everybody please be

1 seated. We are about to begin.

2 DR. BIGBY: Would all the committee
3 members return to the table? We're going to
4 move on to question six.

5 Discuss the potential for
6 malignancy demonstrated by this class of
7 compounds, including the findings from animal
8 studies that indicated an increased
9 carcinogenetic risk with inhibitors of IL-12,
10 IL-23. And the questions are, is it
11 important to communicate these findings to
12 prescribers? Are additional animal studies
13 needed?

14 Comments from the committee?

15 DR. SHWAYDER: Let's see if I phrase
16 myself correctly here. I think it's always
17 better to put out all the data there is and let
18 the prescriber have it in their hand. I think
19 the problems in the past have been when the
20 companies had data which they didn't publish and
21 then it inevitably snuck out. I don't know if
22 Vioxx is a good example, but something along

1 those lines, where the data was hidden. In that
2 way, I could make those Faustian bargains with
3 my patients, saying this is the data, we'll
4 decide together whether we want to use it.

5 But to answer the question, yes. I
6 think there's a carcinogenic risk, and the
7 magnitude of it has to be determined in the
8 future.

9 DR. KATZ: Yes, it's of great concern.
10 The animal studies are various one-sided -- are
11 very concerning, especially with a drug that's
12 going to be used long-term. That doesn't mean
13 it shouldn't be used. Is it important to
14 communicate these findings to prescribers?
15 Absolutely. Not only to prescribers, to
16 patients, because we share a risk and it's an
17 important-enough drug so you would have to tell
18 the patient outright the risk.

19 DR. LEVIN: Thank you, Bob. I think
20 anything you tell prescribers, you need to tell
21 patients. There's no difference in that
22 relationship. I mean, both sides need to know

1 all the facts.

2 DR. HECKBERT: I agree completely with
3 these comments about informing patients and
4 physicians of what we know about the risks.
5 Regarding the question are additional animal
6 studies needed, I think we've had several animal
7 studies that suggest there is a risk. I'm not
8 sure that doing more animal studies will add.
9 What we need is more information in humans.

10 DR. BIGBY: Other comments? So I
11 think --

12 DR. STERN: It's a three-part
13 question. So maybe we can vote on it in three
14 parts. Are we concerned about malignancy? If
15 so, is it important to communicate these
16 findings to prescribers? And the third, are
17 additional animal studies needed? So I think
18 it's sort of a three-part question.

19 DR. BIGBY: I agree.

20 DR. STERN: That's twice in 26 years.

21 DR. BIGBY: Even the first
22 statement is not written as a question. I

1 can make it into a yes or no question. And
2 that is, are the members of the committee
3 concerned about the potential for the
4 development of malignancy in patients treated
5 with IL-12, IL-23? And those who are would
6 vote yes. Please raise your hand. No?
7 Abstentions? I'm going to get this one
8 right. So in summary, there were 11 yes
9 votes, 0 no votes, and 0 abstentions.

10 Tor, do you want to start?

11 DR. SHWAYDER: Tor Shwayder. I agree.
12 There's a risk for a malignancy.

13 DR. RINGEL: I agree. The in vitro
14 animal studies show there's a risk for
15 malignancy. Ringel.

16 DR. HECKBERT: Susan Heckbert. Yes,
17 there's a significant concern about malignancy.

18 DR. DRAKE: Yes, there's a concern for
19 malignancy, but I'd like to caveat that.
20 There's been a risk with many of these biologics
21 with that issue, and in fact, it's going to take
22 many years to figure out if it's a real risk or

1 if it's a hypothetical risk. What happens in
2 mice does not necessarily happen in humans.

3 So I would be remiss if I didn't
4 say, yes, there's a risk. If all things
5 being equal, this alone would probably not
6 hold up my opinion of the efficacy -- in
7 making this available to patients, as long as
8 everybody is fully informed and as long as
9 there's ongoing studies to monitor --

10 DR. CRAWFORD: Yes, there's a concern
11 for the malignancy based on our available data
12 from the animal studies.

13 DR. LEVIN: Arthur Levin. Yes.

14 DR. THIERS: Bruce Thiers. Yes.

15 DR. BIGBY: I think that sort of
16 based on known -- Michael Bigby, and I voted
17 yes. I think based on known mechanism of
18 action and the action of IL-12, there is sort
19 of theoretical basis for concern. There is
20 some data from animals. I think the current
21 lack of a signal in the data they collected
22 is not necessarily reassuring.

1 DR. MAJUMDER: Mary Majumder. I
2 forgot -- ah, yes.

3 DR. STERN: Rob Stern. Yes.

4 DR. KATZ: Robert Katz. Yes.

5 DR. BIGBY: Second part, is it
6 important to communicate these findings to
7 prescribers -- and I would add
8 patients -- although I'm not supposed to add
9 that? Those that would respond to this yes,
10 raise your hand. No? Abstentions? So
11 again, it was a unanimous yes vote.

12 Robert?

13 DR. KATZ: We're just voting?

14 Robert Katz. Yes.

15 DR. STERN: Robert Stern. Yes.

16 DR. MAJUMDER: Mary Majumder. Yes,
17 and I think we may get into this, but I think
18 it's important that as more data is available,
19 that that also be communicated. So this isn't
20 just about past studies, but an obligation to
21 communicate as things develop.

22 DR. BIGBY: Michael Bigby. Yes.

1 DR. THIERS: Bruce Thiers. Yes.

2 DR. LEVIN: Arthur Levin. Yes.

3 DR. CRAWFORD: Stephanie Crawford.

4 Yes. I think it should be communicated through
5 product labeling at a minimum.

6 DR. DRAKE: Lynn Drake. Yes.

7 DR. HECKBERT: Susan Heckbert. Yes.

8 DR. RINGEL: Eileen Ringel. Yes.

9 DR. SHWAYDER: Tor Shwayder. Yes.

10 DR. BIGBY: Third part of this
11 question is, are additional animal studies
12 needed? All of those voting yes, please
13 raise your hand. Noes? Abstentions? So the
14 summary is there was one yes, nine noes, one
15 abstention.

16 Tor?

17 DR. SHWAYDER: Tor Shwayder. Yes.

18 More studies will be needed.

19 DR. BIGBY: Do you want to say a
20 little more?

21 DR. SHWAYDER: I always think more
22 data points are better, and it's a lot easier to

1 do it on mice and monkeys than it is in humans.

2 And those data would be reassuring to me.

3 DR. RINGEL: This is Eileen Ringel. I
4 voted no. I don't think more mice studies will
5 convince anyone.

6 DR. HECKBERT: Susan Heckbert. I
7 voted no, for the reasons I've already stated.

8 DR. DRAKE: Lynn Drake. No.

9 DR. CRAWFORD: Stephanie Crawford.
10 No, I agree with Dr. Heckbert's assessment. We
11 need more people studies.

12 DR. LEVIN: Arthur Levin. I abstained
13 because I really didn't know the answer.

14 DR. THIERS: Bruce Thiers. I can't
15 imagine what additional data any animal studies
16 would bring us that would make it not -- would
17 reassure us that the drug was totally safe, so I
18 think what we need is human data.

19 DR. BIGBY: Michael Bigby. I voted
20 no, and I think at this point in the
21 development of this agent, what is really
22 needed is clinical results in real humans.

1 And I think that they should be aware that
2 there is a potential risk even if there
3 hasn't been a signal.

4 DR. MAJUMDER: Mary Majumder. No.

5 DR. STERN: Rob Stern. No.

6 DR. KATZ: Robert Katz. No. We know
7 enough from the studies already done.

8 DR. BIGBY: So this one is
9 underlined and bold. Please discuss the
10 relative benefits and risks of the use of
11 ustekinumab in patients with moderate to
12 severe plaque psoriasis. And the question
13 is, do the benefits of therapy in adult
14 patients with moderate to severe psoriasis
15 outweigh the risks?

16 The floor is open for discussion.

17 DR. THIERS: I would move that we vote
18 on the issue, as we've discussed this for the
19 last seven hours.

20 DR. BIGBY: I'm very much in favor
21 of that if no one objects.

22 DR. KATZ: I won't make a long

1 comment, but when you talk about risk-benefit,
2 we can answer that, but for each patient it's
3 different, because different patients are
4 different risk-averse, so many of our patients
5 we tell them about the animal studies and
6 potential risk, they have 75 percent body
7 involvement, they take it in a snap of a finger.
8 Somebody else has 10 percent body involvement,
9 they're more risk-averse, they're not going to
10 do it. So we're just answering this in general
11 terms. Is that correct?

12 DR. MAJUMDER: I would just second
13 that. Question eight about approval is just a
14 question about whether to make it available so
15 that physicians and patients can then engage in
16 that discussion that's tailored to
17 individualized circumstances, but the weighing
18 of risks and benefits is going to be a part of
19 that individualized assessment.

20 I could still answer this question.

21 DR. LEVIN: I'm sort of confused about
22 seven and eight, only because you can't answer

1 the first part of eight -- I mean, it's the same
2 question. You don't approve a drug unless you
3 believe the benefits outweigh the risk, so why
4 ask it twice?

5 DR. BIGBY: So let's answer seven
6 and then we can maybe skip eight, okay?

7 DR. LEVIN: I would vote the other way
8 around. Let's move to eight.

9 DR. WALKER: I have a comment on the
10 questions. The purpose of question seven really
11 was to hear from the committee on their summary
12 of the benefits and risks. The purpose of
13 question eight is to ask you about a
14 recommendation for approval.

15 I think they're slightly different.

16 DR. BIGBY: Eileen?

17 DR. RINGEL: I really apologize for
18 taking up extra time, but here I go again. I
19 would vote differently for moderate psoriasis
20 from severe psoriasis. The package labeling
21 indicates it's for patients who would be
22 considered for phototherapy and systemic

1 therapy. I have different feelings about
2 phototherapy, narrow band UVB in particular,
3 versus systemic therapy. So for me, I would
4 have to tease this apart, and it makes it very
5 difficult.

6 DR. BIGBY: Tor?

7 DR. SHWAYDER: I was just going to
8 say, the data presented, it looks very good and
9 there's no doubt in my mind that something you
10 use every 12 weeks certainly outweighs something
11 I'd give every day or even once a week, so you
12 know, it's an exciting concept to have such an
13 infrequent administration for such a wonderful
14 benefit.

15 And the risks are the elephant in
16 the room. And to comment on what Eileen
17 said, we already heard the person with
18 10 percent psoriasis thing of committing
19 suicide from the data that Alexa was giving,
20 so that's always a difficult one, how you
21 weigh the risk for the perception of how
22 severe it is in any given patient.

1 DR. DRAKE: Mr. Chairman?

2 DR. BIGBY: Lynn?

3 DR. DRAKE: I'd like to just maybe ask
4 you as the chairman and maybe even poll the
5 committee, I don't know, I think Bob Katz said
6 something earlier, that the vote on all these
7 last few questions might be directly related to
8 the registry and the reporting requirements,
9 because some of these side effects are so
10 long-term, like malignancies.

11 We've approved previous biologics
12 with these same questions. I think, at least
13 for some of us, the elephant in the room is
14 the fact that we haven't heard back on any of
15 this stuff, and so now, at least on a
16 personal level, I'm a little gun shy.

17 And I don't know, what do you
18 think, Mr. Chairman? Would it help to know
19 if it's going to be mandatory reporting?
20 Would it change the vote any? Would it
21 influence anybody's opinions? I mean, Bob
22 had suggested that. So it's a question, sir.

1 DR. BIGBY: I would say to help the
2 Agency get to answers and advice from the
3 committee that they need, I think that this
4 question is a fairly straightforward
5 question, and that is, given the data that
6 you have in hand, do you think the benefit
7 outweighs the risk? And I don't think that
8 you really need to make it provisional
9 on -- I mean, you can answer this question
10 and then you can also advise the Agency that
11 you think they ought to strengthen
12 post-marketing surveillance, but I don't
13 think that needs to be a prerequisite for
14 being able to answer this question yes or no.

15 And actually, that was one of the
16 reasons I asked earlier on do you ever give
17 provisional approval, and the answer to that
18 one is no.

19 DR. LEVIN: I'm sorry. Bob?

20 DR. STERN: So my answer to this is
21 for up to 40 weeks of therapy, the perceived
22 benefits outweigh -- the documented benefits

1 outweigh the risks, but that for anything beyond
2 40 weeks, we have a complete black box, and
3 therefore, if approval -- as opposed to the
4 usual thing that says experience is limited to
5 40 or 52 weeks, which is the usual kind of -- or
6 16 weeks, whatever it happens to be -- right
7 now, I would vote for approval for 40 weeks and
8 40 weeks only until and unless there are some
9 other things that will give us information
10 beyond 40 weeks that are in place that will give
11 us reasonable information in the time I hope to
12 be alive.

13 DR. LEVIN: So I guess I would think
14 of this question is, do the benefits as we know
15 them today outweigh the risk as we know them
16 today? And that, sort of, at least for me,
17 helps clarify what my answer will be. And then
18 we move on in eight to sort of dealing with the
19 unknowns.

20 DR. BIGBY: I think that -- I mean,
21 I think that that's a fair understanding.
22 That's an understanding of that risk-benefit

1 question in general.

2 DR. THIERS: Rob, what you were
3 addressing, I think, is 8(b)(1), describe the
4 recommended dosing regimen and length of
5 treatment.

6 But I think if we vote no on seven,
7 eight becomes moot, so I think we've got to
8 do seven first, then move on.

9 DR. BIGBY: You have a comment or
10 no?

11 So I will put this to the vote. Do
12 the benefits of therapy in adult patients
13 with moderate to severe psoriasis outweigh
14 the risks? Those voting yes, raise your
15 hand. Those voting no, raise your hand. And
16 those abstaining? So there were nine yes
17 votes, one no vote, and one abstention.

18 We'll start with Tor.

19 DR. SHWAYDER: The data as presented
20 today, the answer is yes.

21 DR. RINGEL: I think this drug
22 is -- the benefits outweigh the risks for severe

1 psoriasis. I don't think they outweigh the
2 risks for moderate psoriasis, and it's important
3 to know how I'm defining that. It's not by
4 percentage of body involvement, it's not by a
5 PASI score, it's by a physician discussing it
6 with their patient. So if they're suicidal,
7 that's severe. If they have 90 percent body
8 involvement and they don't care, then that's
9 moderate.

10 But it's -- the other issue is the
11 way the indications are written right now,
12 it's who are candidates for
13 phototherapy -- I'm sorry, phototherapy is a
14 benign modality. It is inconvenient, but I
15 want to make sure that those people who are
16 going to go on this drug have either failed
17 phototherapy or considered it and rejected it
18 for whatever reason. I think that's terribly
19 important.

20 I don't like this idea of saying
21 phototherapy, number one, lumping it in with
22 systemic -- and number two, lumping it

1 together with PUVA. They are very different
2 things. So no.

3 DR. BIGBY: And the risk concerns
4 that make you not want to use it in moderate
5 psoriasis?

6 DR. RINGEL: It's that I think that
7 the animal studies are very worrisome for
8 malignancy compared with the other biologics
9 that I've heard about today, and people -- I'm
10 sorry, let me start again. The risk for
11 malignancy is considerable, and the risk for
12 infection is considerable. And I think we're
13 too cavalier with biologicals in general.

14 DR. HECKBERT: This is Susan Heckbert.
15 I voted yes, and in voting yes, really, it's a
16 more complex question than that, but in a
17 different way for me than for Eileen. I'd say
18 that overall, we don't know whether the benefits
19 of treatment with this agent outweigh the risks
20 for the short-term based on what was presented
21 up to 40 to 52 weeks. The benefits do appear to
22 outweigh the risks. So that's the basis of my

1 vote yes. But for the long-term, we don't have
2 information. And overall, I would say
3 therefore, we don't have information.

4 DR. BIGBY: You get skipped for a
5 second, Lynn.

6 DR. DRAKE: Good.

7 DR. CRAWFORD: Stephanie Crawford.
8 Yes, based on the data that we were provided.

9 DR. LEVIN: Arthur Levin. Yes.
10 Always difficult to weigh the unknown. It
11 always makes me extremely uncomfortable, and
12 most of the time I vote the opposite way as a
13 result. I think the remarkable efficacy I think
14 is a very convincing factor, and the hope that
15 we can find a way in the post-market period to
16 really find more information.

17 The animal studies are worrisome,
18 but we didn't have lots of other signals
19 saying, whoa, let's not go ahead with this.

20 DR. THIERS: Bruce Thiers. Dr. Levin
21 stated perfectly what I was going to say, so
22 I'll move on to Dr. Bigby.

1 DR. BIGBY: Michael Bigby. I voted
2 yes, and I think the thing to remember is if
3 you look at the risk-benefit ratios of the
4 other available modalities, I think this one
5 turns out to look pretty good, and
6 that -- long-term, we have a hypothetical and
7 I think that we should remember that in terms
8 of surveillance and in terms of communicating
9 potential risk. But I think if you
10 concentrate on risk-benefit compared to
11 almost everything else we have available, the
12 drug looks pretty good.

13 DR. MAJUMDER: Mary Majumder. Yes,
14 given current information.

15 DR. STERN: Rob Stern. Yes, up to 40
16 weeks.

17 DR. KATZ: Robert Katz. Yes, but for
18 each individual, this risk-benefit ratio will be
19 different depending on how risk-averse the
20 individual patient is.

21 DR. BIGBY: Lynn, you do have to
22 make a comment.

1 DR. DRAKE: Lynn Drake. I abstained
2 because I just had to put myself in the
3 position -- if I was talking to a patient about
4 this tomorrow, if they asked me what was the
5 risk-benefit ratio, in all honesty, I would have
6 to say I honestly don't know. That's a totally
7 different comment than if my patient asked me
8 what do you recommend I do. So for me, I would
9 have to answer my patient, I simply don't know.

10 That's why I abstained.

11 DR. BIGBY: The next question turns
12 out to be ten questions. But I mean, I think
13 we could do eight as a separate question and
14 then go on. Do you recommend approval of
15 ustekinumab for the treatment of adult
16 patients with moderate to severe plaque
17 psoriasis? And I'll open the floor for
18 comments before we vote.

19 DR. STERN: At least for me, so much
20 of it depends on, quite frankly, the answers to
21 the subparts, because if this is going to be
22 another drug with -- yes, we promise to get back

1 to you by -- well, now it would be 2015 -- with
2 some data, with -- if we're hopeful, 8 percent
3 follow-up loss a year, which would mean less
4 than half the patients would still be around and
5 we'd know nothing, I'd vote no, quite frankly.

6 If we can cement in some real
7 studies with very much verifiable endpoints
8 in terms of performance, and some penalty if
9 the performance isn't reached, then I think
10 it's an approvable drug, and the data will
11 drive for how long its use is safe for, and
12 clinical experience will drive how long it's
13 effective for and whether it's effective -- I
14 guess the one thing that Michael brought up,
15 I would like to see a clinical study in
16 people -- responders who have been withdrawn
17 who had a substantial return of psoriasis and
18 whether or not they're more like TNF-alpha
19 inhibitors or more like infliximab in terms
20 of their response.

21 But I really think we need the
22 subparts under yes before I'd vote yes.

1 DR. BIGBY: I hate to put him on
2 the spot, but Mr. Levin, you had some query
3 about being able -- wanting to answer this
4 question before seven. Now that seven was
5 voted on, would you like to make a comment?

6 DR. LEVIN: I've been at a lot of
7 these meetings where there's sort of a desire to
8 approve, but also a desire to impose a lot of
9 conditions on approval, because there's often a
10 lot of uncertainty, so people are trying to
11 address that issue. I mean, for example, you
12 could argue the concern about length of time of
13 treatment could be part of the labeling.

14 You could argue that the moderate
15 versus severe could be dealt with in the
16 labeling in one way or another. So I mean, I
17 don't know how comfortable the FDA is, but
18 there are a lot of concerns that were
19 expressed around the table that at least you
20 could make an attempt to deal with the
21 labeling.

22 And we know that that puts pen to

1 paper but doesn't necessarily change clinical
2 practice. At least it's something. It
3 expresses some parameters for how this drug
4 gets used based on what we know and what we
5 don't know.

6 The question is whether FDA -- you
7 didn't ask those questions in this part. A
8 lot of times, they will be part of this last
9 question about what does the label look like,
10 what are the restrictions, if any, and so
11 forth.

12 So are you comfortable with us
13 discussing it that way?

14 DR. BEITZ: I just wanted to comment
15 that there are obviously a lot of ways to do
16 this. There is labeling which would provide
17 recommendations to prescribers on what we would
18 recommend to be the duration or recommend the
19 dose, but I've also heard folks say that they
20 wanted to cement things in, and that's more than
21 what a label generally does. To cement things
22 in, you are talking about some of the more

1 restricted types of tools that one might
2 consider. So just bear that in mind.

3 DR. LEVIN: Those also aren't
4 discussed in this question. I mean, things like
5 restrictive distribution, something like the
6 Accutane program --

7 DR. KATZ: (inaudible)

8 DR. SHWAYDER: I just want to make a
9 comment. Dr. Stern keeps talking about 40
10 weeks, but the T08 study went off the 56 weeks.
11 I was wondering why you were glomming on to 40?

12 DR. STERN: I think the oldest person
13 on the panel, and my recollection that most of
14 the studies had about 40 weeks of exposure, and
15 the amount of exposure much beyond 40 weeks was
16 really quite small in terms of total exposure,
17 but I could be wrong. Am I wrong on that? I'm
18 often wrong about almost everything, but --

19 DR. YEILDING: I think that Dr. Guzzo
20 had shown the efficacy after week 56, and we had
21 shown the safety out to week 76, so remember
22 that there were 1,285 subjects that were exposed

1 for at least a year -- and there were -- I think
2 it was 373 subjects that were exposed for at
3 least 18 months, so a year and a half.

4 DR. KIMBALL: (inaudible)

5 DR. YEILDING: These are ongoing
6 studies, approximately 2,000 patients -- but
7 again, I'm sorry, I read this a few days ago,
8 but it was my recollection that among the
9 originally placebo-treated group, there was 12
10 weeks they were on placebo in the one-year
11 studies and 40 weeks exposed to drug, so about a
12 quarter of the population had 40 weeks'
13 exposure; plus, there were a fair number of
14 dropouts. So when I looked at what was the
15 large number of people who had 40 weeks of drug,
16 it seemed to me that there was a more
17 substantial number who had at least 40 weeks of
18 drug exposure as opposed to elapsed time than
19 any other group.

20 DR. STERN: I'm sorry.

21 DR. YEILDING: We did adjust for the
22 placebo group, so we frame-shifted that group so

1 they were only counted in exposures when they
2 were actually receiving drug.

3 DR. BIGBY: So put another way,
4 though, how many patients have you had that
5 have been exposed to drug for more than 40
6 weeks?

7 DR. YEILDING: Can you bring that up,
8 please? For more than 40 weeks, I cannot answer
9 that question. Can you bring the slide up,
10 please? I can't answer 40 weeks, but I can
11 answer 52 weeks -- so you can see down there at
12 the bottom, there are 1,285 patients that have
13 been exposed for at least 52 weeks, and 373 for
14 at least 18 months.

15 DR. STERN: On drug?

16 DR. YEILDING: Correct. That's
17 adjusted for the placebo -- the placebo
18 follow-up is not counted towards exposure.

19 DR. BIGBY: Just say that again.

20 DR. YEILDING: So there are 1,285
21 patients that have received drug for at least
22 one year, and there are 373 patients that have

1 received drug for at least 18 months.

2 DR. STERN: You're saying if -- again,
3 this design was very complicated with
4 crossovers, but what I did in thinking about the
5 one-year data was to net out the 12 weeks of at
6 least the initial placebo -- and then there are
7 other people who will go off drug on placebo,
8 and I sort of said that reduces it. You're
9 telling me let's make it simple. You're telling
10 me someone who did 12 weeks on placebo and then
11 happened to end up on an exposed arm for the
12 rest of the study -- for them to count as one
13 year, they were followed for 64 weeks. It
14 wasn't until the 64th week that they would have
15 counted as a year.

16 DR. YEILDING: That is correct.

17 DR. STERN: So I guess my comments
18 would then apply to 52 weeks rather than 40.
19 I'm sorry.

20 DR. KIMBALL: So in that slide, the
21 fact that you have people up to 18 months means
22 that cohort just hasn't moved all the way there.

1 That's not the remaining people in the study;
2 correct? You have people in the 12- to 18-month
3 window who are still moving through, so that's
4 not a dropout rate?

5 DR. GUZZO: Ongoing five-year study.

6 DR. STERN: But the difference where I
7 got confused was, when I usually see a year, I
8 think of elapsed time from either randomization
9 or first dose to follow-up, and you're telling
10 me that it's not that, that for any period an
11 individual is on placebo, that time doesn't
12 count in those data. That's my confusion.

13 DR. YEILDING: That's correct.

14 DR. THIERS: Rob, what I've heard from
15 a lot of people here is that we're concerned
16 about long-term safety data. If we're going to
17 recommend approval for only 52 weeks, how are we
18 ever going to get this long-term data?

19 DR. STERN: I think what I was hoping
20 to have said, but probably didn't, was that I
21 think if an approval is coupled with studies
22 where there are enforceable milestones and some

1 real penalty if enrollment, percentage of
2 follow-up, et cetera, et cetera, are not met,
3 something bad happens other than a letter that
4 says you've been a very bad company, that really
5 has some effect, then I think it's approvable.

6 I mean, it's a moving target. One
7 would hope in three years, we'd have four
8 years' data on a substantial -- or we'd have
9 two years' data on a large population, three
10 years' data on a medium sized population, and
11 a small amount of data from those people who
12 remain on these trials on a small population.

13 So I didn't say -- you know,
14 approve it and then stop it. What I said is,
15 you approve it, but then at some reasonable
16 intervals, agreed upon, oh, yes, you promised
17 to do the study with this number of patients
18 with this rate of follow-up with these
19 milestones. If you fall below this, we've
20 got to talk about this and maybe restrict --

21 DR. THIERS: I understand what you're
22 saying, but I thought you were only suggesting

1 that patients only be -- that it only be
2 recommended that patients could stay on it for
3 52 weeks, because if that was true, then we
4 wouldn't get the long-term data that we need.

5 DR. STERN: I think I was being my
6 pedantic self and saying the data I was aware of
7 showed that the benefits outweighed the risks
8 for up to 52 weeks, and that we were --

9 DR. THIERS: (inaudible)

10 DR. RINGEL: I'm going to say a bunch
11 of completely contradictory things, so you'll
12 have to put up with me.

13 First of all, I would -- were if
14 someone to say do you recommend approval of
15 ustekinumab for the treatment of adult
16 patients with moderate to severe plaque type
17 psoriasis, I'd say yes, even though I said no
18 to the preceding question, simply because
19 this is saying do I want to keep this drug
20 off the market or not, and I do not want to
21 keep it off the market. So given that all
22 the other biologicals have been approved for

1 moderate to severe psoriasis, I suppose I
2 would say, well, I shouldn't really
3 discriminate against this one. It doesn't
4 make me happy, but I would not discriminate.

5 So I do not think the risk-benefit
6 ratio is okay, but no, I wouldn't keep it off
7 the market. That's number one.

8 Number two is that I think people
9 really need to take very seriously those in
10 vitro, and particularly the animal studies.
11 There's a lot of data that said this is going
12 to be carcinogenic. It reminds me of HRT.
13 You know, it was around for years, and
14 everybody knew that estrogen was thrombogenic
15 and all the in vivo animal studies and the in
16 vitro studies said it should be thrombogenic,
17 but I didn't do the right studies and didn't
18 do the right studies, and by gosh, when the
19 NIH got it in there and they did the right
20 studies, well my goodness, it made people
21 have strokes and heart attacks.

22 The risk here isn't LFT elevations,

1 it's not a little drop in platelets. This is
2 people dying of cancer. I think that we have
3 a drug where there is good indication that it
4 may be a significant risk for cancer.

5 We've run studies where it really
6 isn't going to show up. We know it can't
7 show up in this period of time. It's not
8 powered for that. I think we have to be
9 careful. This is potentially -- we don't
10 know, but it could be a life-threatening
11 drug, and people are going to be really angry
12 if, five years from now, people are getting
13 lymphoma. I think that we need to be very
14 circumspect about this medication.

15 I would be very happy to approve it
16 for multiple sclerosis or Crohn's disease or
17 severe psoriasis, but moderate psoriasis? I
18 don't know. That makes me nervous. So I
19 guess I don't want to keep it off the market,
20 but it's not making me happy.

21 DR. HECKBERT: I had just a comment
22 about our ability to find out whether there is a