

1 and sign outcomes that matter to patients,
2 you see almost no difference between 4 mg/kg
3 and 8 mg/kg. And I sort of wonder why you
4 chose to -- I mean, I don't know that the
5 4 mg is any less toxic than the 8, but
6 obviously there are toxicity concerns. I was
7 sort of wondering why you chose to move
8 forward without incorporating the opportunity
9 for a 4 mg/kg dose, given that spectrum of
10 change and improvement.

11 And you also commented about sort
12 of significant effects on 50 and 70 with
13 8 mg/kg versus 4 mg/kg, and I wasn't sure how
14 much of that was driven by dramatic CRP
15 improvements. And I noticed that the 4 mg/kg
16 every time gave you differences that were
17 better than placebo. So I was sort of
18 wondering why you moved to the higher and
19 potentially more toxic dose -- whether you
20 had other information that helped you there?

21 DR. WILLIAMS: Dr. Bahrt?

22 DR. BAHRT: I'd be glad to answer

1 that. Ken Bahrt, Roche. In the anti-TNF
2 failure population, taking that first, the
3 8 mg/kg dose was the only dose that was
4 consistently efficacious, especially for the
5 ACR 50, the ACR 70, or all the other efficacy
6 endpoints. So in that population, clearly the
7 8 mg/kg dose is the most effective dose.

8 In monotherapy, we only studied the
9 8 mg/kg dose, so I can't comment on what 4
10 would look like in a monotherapy situation.
11 So I agree with you: In a DMARD IR
12 population, both doses -- the 8 mg and the
13 4 mg dose -- were effective. And when you
14 look at such as this slide here, they appear
15 to be very, very similar in how they affect.
16 If you look, however, at those patients who
17 had an adequate response to, let's say, an
18 ACR 20 or an ACR 50 when they were on the
19 4 mg dose in the clinical trial, when they
20 went to the escape part of the clinical
21 trial, where all the patients then increased
22 to 8 mg/kg, these patients had an increase in

1 their response, even though they were doing
2 well on the 4 mg/kg dose.

3 So looking at that, showing that
4 even patients who responded well to the 4 had
5 enhancement of that response when they went
6 to the 8 mg/kg dose, and the majority of the
7 patients who were on the 8 mg/kg dose did do
8 statistically better than the 4 mg/kg dose in
9 the DMARD IR population.

10 Slide up, please. If you can see,
11 these are patients from the 822 trial who
12 were having an ACR 50 response at the end of
13 the clinical trial, and then when they went
14 to open-label tocilizumab at 8 mg/kg, they
15 had an enhancement of that response. More of
16 the patients achieved the ACR 50 endpoint.
17 So while I agree with you that both doses are
18 effective, and both doses should be
19 considered based upon the individual benefit
20 risk for that patient, our position is that
21 the 8 mg/kg dose is the more effective dose
22 for the majority of patients.

1 DR. FELSON: Let me just remind you
2 also that you made a reasonably persuasive case
3 that efficacy continues to grow over time.

4 DR. BAHRT: Correct.

5 DR. FELSON: You don't have a control
6 group here. Why don't you just interpret that
7 as the continued use of an effective dose of
8 tocilizumab with gradually increasing efficacy
9 over time, rather than a sudden switch to a
10 more -- I mean, I don't necessarily disagree
11 with you, but I'm not sure we'll ever know
12 whether it's the 8 mg/kg dose or the 4 mg/kg
13 dose. Some of that increased efficacy could
14 just be a dramatic CRP that occurs, and no
15 clinical improvement that a clinician or a
16 patient would remark on, I guess.

17 Let me switch, because I wanted to
18 ask you about monotherapy and development of
19 immunity to the compound for a minute. There
20 were some anaphylactic reactions and some
21 infusion reactions, and I wanted to know,
22 number one, whether those tended to occur in

1 people on monotherapy as opposed to people
2 who might be getting another
3 disease-modifying drug that might prevent the
4 development of immunity to those treatments.

5 And I wondered if you had looked at
6 the development of immunity to your treatment
7 in monotherapy versus people who'd had DMARDs
8 that might prevent that autoimmunity from
9 developing. Can you comment on that?

10 DR. WILLIAMS: Dr. Krasnow?

11 DR. KRASNOW: Sure. Dr. Krasnow,
12 Roche. We look at two different things. One is
13 the clinical events of infusion reactions. And
14 what we do note is, if we look at the fact that
15 there were three infusion reactions on the 4 and
16 the 8 mg, we also note that as far as
17 anti-tocilizumab antibodies, it does occur more
18 often in patients who are not on a concomitant
19 disease-modifying agent such as methotrexate.

20 And this is, I think,
21 well-acknowledged. The point that I'd like
22 to make, however, is that both for efficacy

1 And there was no association
2 between neutralizing antibody development and
3 loss of clinical response. And only one of
4 38 patients discontinued due to lack of
5 efficacy in association with these
6 antibodies.

7 DR. WILLIAMS: Dr. Pisetsky?

8 DR. PISETSKY: My question relates to
9 the monitoring of white blood counts during the
10 course of therapy. What is the time that these
11 should be monitored with respect to the various
12 infusions? Since neutrophils are very rapidly
13 turning over (inaudible), they could really have
14 major changes over time.

15 DR. WILLIAMS: Dr. Krasnow?

16 DR. KRASNOW: Yes. Dr. Krasnow,
17 Roche. Our current recommendation for routing
18 monitoring would be to monitor the neutrophils
19 toward the end of the dosing interval. Now,
20 obviously, if someone is at risk for infection,
21 the other thing about monitoring neutrophils is,
22 one has to really reset the baseline, because

1 the neutrophil count will be reduced by
2 approximately one to 2000, depending on the
3 patient, and so if you're looking for neutrophil
4 counts as an indicator of early infection, then
5 you would monitor it as clinically needed. But
6 because of the fact that the neutrophil count
7 does decrease and then slowly returns up to
8 baseline, if you have a value shortly after
9 administration, then you would be treating the
10 lab value without any necessary clinical
11 consequence. So what we're looking for is to
12 really get a determination around the end of the
13 dosing interval.

14 DR. PISETSKY: My understanding of the
15 rationale for monitoring would be to prevent
16 serious neutropenias. Wouldn't you therefore
17 want to know what the white count is at the
18 lowest point, rather than at the highest point?

19 DR. KRASNOW: You could. Let me put
20 in more detail what occurs during the dosing
21 interval to illustrate this. Slide up, please.
22 This is a study in healthy volunteers, and what

1 we see here is the different doses. In red is
2 the 2 mg, followed by the other increasing
3 doses. And what we see here at time zero, this
4 is the time of administration of tocilizumab.
5 And as we can see on this slide, the doses
6 administered are in many cases greater than that
7 which we would do clinically. And if we then
8 look at the 2 mg dose, what we see is that the
9 nadir is similar for all of the groups, but then
10 what we see is that the rise is very rapid for
11 the 2 mg, and then would be intermediate for the
12 4 mg, and then the 8 mg. And as we can see, it
13 is slowest as far as its recovery with the
14 highest doses.

15 So what we see is that a similar
16 trough -- so if you wanted to know the
17 absolute trough, then you would monitor
18 shortly after administration, and if you want
19 to know -- and what we have done in our
20 clinical trials is, we have monitored at
21 four-week intervals, and understand what the
22 clinical relationship is for those patients

1 at about the time of the trough levels of
2 tocilizumab. So it would be at your
3 discretion as to when you felt it would be
4 most appropriate to monitor your individual
5 patient.

6 DR. WILLIAMS: Dr. Sandborg?

7 DR. SANDBORG: I have a question about
8 the pharmacovigilance plans, specifically the
9 registry for the U.S. The question is, how will
10 that differ from the long-term extension, and
11 clarify that there will be 5000 patients exposed
12 to medication in that registry.

13 DR. WILLIAMS: Dr. Van der Auwera?

14 DR. VAN DER AUWERA: Philippe Van der
15 Auwera, Roche. Can I have the slide on the
16 sample size calculation? Slide up, please. You
17 can see here various calculations of ability to
18 detect risk ratio depending on sample size.
19 What I propose as a target is indeed 5,000
20 patients, 25,000 patient years, so each patient
21 would be there for five years. We'd have the
22 ability to detect with the power of 80 percent a

1 risk ratio of about 1.4 for the cardiovascular
2 events. And if you are interested in GI
3 perforation, this would be around 2. The exact
4 sample size will have to be determined later on,
5 when we are going to discuss with the agency, to
6 have exactly what is the kind of risk ratio that
7 we would like to be able to measure with
8 certainty.

9 DR. SANDBORG: So just to clarify,
10 this will be in addition to the long-term
11 extension?

12 DR. VAN DER AUWERA: Yeah, indeed,
13 because the ability of the registry is to be
14 able to compare with patients who are being
15 treated with DMARD or DMARD plus other
16 biologics, certain biologics. That's where we
17 would be able to compare what is the additional
18 risk that perhaps tocilizumab is conferring to
19 this population during treatment.

20 DR. WILLIAMS: Ms. Aronson?

21 MS. ARONSON: I have a question about
22 the corticosteroid use in the study. In the

1 monotherapy study, I wonder if you have any
2 information on the breakout of the patients on
3 corticosteroids. I note that about 48 to
4 69 percent of all patients in all the studies
5 were on corticosteroids. And then in studies
6 822, 23, and 63, only 29 percent in the placebo
7 were on corticosteroids, versus 51 and 52 in the
8 4 mg and 8 mg. And I also noted that there were
9 more deaths in the 8 mg versus the 4 mg with
10 DMARD, and I wondered if there was an impact or
11 some mitigation going on?

12 DR. WILLIAMS: Could you identify
13 yourself?

14 MS. DAVIS: Clare Davis from Roche.
15 In all our clinical trials, the patients are to
16 remain on a stable dose of corticosteroids for
17 the six-month period at a maximum dose of 10 mg
18 per day.

19 The majority of patients across all
20 the trials, approximately 60 percent, were on
21 corticosteroids at baseline, and the mean
22 daily dose is around 7 mg.

1 I'll hand you over to Dr. Krasnow.

2 DR. WILLIAMS: Dr. Krasnow?

3 DR. KRASNOW: Dr. Krasnow for Roche.

4 I'd like to address your question regarding the
5 deaths.

6 There were no deaths in the 4 mg/kg
7 treatment arm, whereas in the 8 mg/kg
8 treatment arm, there were deaths both in the
9 monotherapy and the combination therapy. We
10 don't have an explanation based on
11 corticosteroid use for that observation.

12 DR. WILLIAMS: Dr. Weisman?

13 DR. WEISMAN: I'd like a follow-up of
14 Dr. Pisetsky's question about the monitoring of
15 the white count. Do you recommend that we
16 should monitor the white count before each
17 infusion, for safety purposes, like we used to
18 do with gold therapy, for instance, because you
19 never knew when the white count or platelet
20 count was going to drop, but you wanted to know
21 it before you instituted the next dose. Is that
22 the recommendation that you're making?

1 DR. WILLIAMS: You're dating yourself
2 with the reference to gold, Mike.

3 DR. WEISMAN: Only you would know
4 that, though, Jim.

5 DR. KRASNOW: That is not the
6 recommendation that we are making. What we see
7 is, with the white count, with the platelets,
8 and with all the hematologic parameters, what
9 happens is, you get a fairly rapid drop. And
10 then if we look at four and eight-week
11 intervals, it's relatively stable. There's
12 obviously some variation, but we don't get a
13 situation whereby at one monthly interval it's
14 at 1000, and the next one is at 4000, and then
15 below, or not very often. And so what we've
16 done is, we've gone to a monitoring frequency of
17 every three months in our extension studies, and
18 the patients have done very well with that
19 monitoring frequency.

20 Does that address your question?

21 DR. WEISMAN: Yes.

22 DR. WILLIAMS: Dr. Hoffman?

1 DR. HOFFMAN: I previously asked about
2 the effect of co-morbidities on skewing of
3 adverse events. I meant to also include in that
4 the effect of age, and you cautioned us that you
5 only had 48 patients in the aggregate, from all
6 of these patients in the studies, that were
7 greater than 75 years old.

8 And when we looked at the data on
9 slide 34, we saw that there was a trend
10 towards efficacy, but that did not reach
11 statistical significance. So along those
12 lines, I'd be interested in knowing whether
13 or not there was a least a trend towards
14 increased infections and other adverse events
15 in those people over the age of 75, as would
16 be logically expected; whether, because of
17 the limited data on that very elderly group,
18 there would be a caution in your intentions
19 as far as labeling about the use of this
20 agent, should it be approved, in patients
21 over the age of 75?

22 DR. WILLIAMS: Dr. Krasnow?

1 DR. KRASNOW: Yes. First of all I'd
2 like to state that we do, as you said, have a
3 limited exposure in the group over 75, but we do
4 have a larger exposure in the group over 65, and
5 it's the group over 65 that may be more
6 informative.

7 So with respect to serious
8 infections -- thank you; slide up,
9 please -- with respect to serious infections,
10 if we look at the bottom part of this slide,
11 what we note for the age groups of 50 to 64
12 and 65, and if we look, we can look at the
13 difference in infections. And the difference
14 in infections does increase with age.

15 And this was also seen in the
16 placebo arm, as we see on the far right-hand
17 side over here as well. And it's a general,
18 I think recognized, phenomenon that increases
19 in infection occur with age. The real
20 question is, is there an effective mitigation
21 by going from 8 mg to 4 mg in order to
22 decrease the risk of infection?

1 And from the data that we have to
2 date -- this is for serious infection; these
3 are patients that are at higher risk -- but
4 going from 8 to 4 does not mitigate the risk,
5 from the data we have to date.

6 DR. WILLIAMS: Dr. Blumenthal?

7 DR. BLUMENTHAL: I have two questions.
8 The first relates to slide P131, risk mitigation
9 for the liver. It's implied but not explicitly
10 stated here that patients should be screened for
11 hepatitis B surface antigen and hepatitis C
12 viral replication, and if they are found to have
13 these things, they should not get this drug. Is
14 that the company's stance on this?

15 DR. WILLIAMS: Dr. Krasnow?

16 DR. KRASNOW: Yes, Dr. Krasnow, Roche.
17 The company's position is that we have very
18 limited experience with patients who have
19 hepatitis. We have a small number of patients
20 who have been dosed primarily in Japan and have
21 really not had any incident with the drug. But
22 because of our paucity of information, we are

1 not in a position to attest to the safety of
2 tocilizumab in patients with chronic hepatitis
3 infection.

4 DR. WILLIAMS: Dr. Fletcher?

5 DR. FLETCHER: Yes, I was wondering,
6 to follow up to Dr. Felson's question about
7 immunogenicity, could you briefly describe the
8 sensitivity of your assay, both the anti-drug
9 antibody and neutralizing assay, for the
10 presence of the drug? And a corollary of that:
11 When you actually were able to sample for
12 anti-drug antibodies, particularly in those who
13 had infusions relative to that, because some
14 assays there is interference with the drug being
15 present, so sometimes you can underestimate the
16 presence of anti-drug antibodies and/or
17 anti-neutralizing antibodies.

18 MR. WINTER: Michael Winter, safety in
19 Basel. We have used three different assay types
20 for the measurement or identification of
21 anti-tocilizumab antibodies. The one, the
22 screening assay, is an assay where tocilizumab

1 is immobilized on the plate, and deoxygenize it,
2 tocilizumab is used for identification of
3 antibodies of the IgG, or independent of their
4 either type from, so in this situation, an
5 antibody would cross-link to immobilize with the
6 fluid-phase tocilizumab and can be identified by
7 the detection system of the peroxidase label and
8 the deoxygenate antibody.

9 The assay has shown sufficient
10 sensitivity, such as shown by this white
11 paper from Meyers Ruiz (?), and of course
12 because antibodies which are -- the presence
13 of tocilizumab per se does of course
14 interfere with the sensitivity of the assay
15 system, because the requirement of the assay
16 type is cross-linking of the immobilized with
17 the fluid phase. And under high exposure of
18 tocilizumab, we see depletion or a clenching
19 of the signal.

20 Now, that has been reflected in the
21 sampling strategies, so we use pre-dose
22 samples at trough levels to screen for the

1 presence of neutralizing antibodies.

2 DR. WILLIAMS: Dr. Pisetsky?

3 DR. PISETSKY: Let's get to the
4 question about the efficacy. You're reporting
5 DAS28s of 3.2, of 40, 50 percent, and DAS 2.6 of
6 30 percent, which is remission, just about. Yet
7 you're starting with a population that has a
8 very high joint count; you know, 30 or so. Can
9 you explain which population is showing this
10 remission? Is it -- are you having people who
11 drop from 30 tender and swollen joints down to
12 two or three, or is this somehow a reflection of
13 a fairly dramatic effect on the CRP or ESR which
14 gets reflected in the DAS?

15 DR. WILLIAMS: Dr. Bahrt?

16 DR. BAHRT: Ken Bahrt, Roche. I don't
17 have an exact answer to your question, but I can
18 tell you that if we look the patients who did
19 achieve a DAS28-defined remission of less than
20 2.6, what you can see is that over approximately
21 half of these patients on the 8 mg dose achieve
22 a greater than 70 percent improvement in their

1 tender and swollen joints. Those patients who
2 have less than a 70 percent improvement in their
3 tender and swollen joints are relatively low.
4 So I think it's a broad sample of all the
5 patients that are responding to the tocilizumab
6 to get into the remission state, not one
7 particular subset that we've identified.

8 DR. WILLIAMS: Ms. Malone?

9 MS. MALONE: Yes, going back to slide
10 138, Dr. Van der Auwera. When you talk about
11 patient, nurse, and physician education, just
12 what do you mean by that? How are they going to
13 be educated, other than -- you know, the old
14 idea was that the drug rep would come in and do
15 a little seminar. What I'm finding is, nurses
16 have been complaining that because they're the
17 ones doing the entry -- or they're not really
18 complaining, but remarking -- because they're
19 the ones who are spending the most time -- with
20 our health care system the way that it
21 is -- they're spending the most time with the
22 patient.

1 And the patients are hungry for
2 information about these drugs, other than
3 what rheumatologists, as good as they are and
4 the time that they do give -- they need more
5 time. And my concern is that it's just not
6 going to be in a TV-scripted message.

7 DR. WILLIAMS: Dr. Van der Auwera?

8 DR. VAN DER AUWERA: Philippe Van der
9 Auwera from Roche. Thank you very much for
10 these questions. These are absolutely great
11 questions.

12 The nurses are indeed key, because
13 they are going to see the patients most of
14 the time. So we are going to develop tools
15 that are going to allow nurses to explain to
16 patients, especially at the beginning of the
17 therapy, to reinforce what is important for
18 them -- first, to understand about the
19 product, but more importantly, what are the
20 signs and symptoms that they have to report.
21 And there will be specific questions elicited
22 from the nurse whenever the patient is coming

1 from next infusion.

2 We are going to do that with
3 flip-chart material. We are going to produce
4 material as well like video material. But
5 what is more important is really the contact
6 between the nurse and the patients.

7 Likewise, at the level of the health care
8 professionals, there will be CME sessions to
9 make sure that there is independent education
10 and information of the prescribers about the
11 characteristics of this product, the need to
12 alert the patients on signs and symptoms that
13 they have to report, including to their GP.

14 DR. WILLIAMS: The final question by
15 Dr. Felson.

16 DR. FELSON: A couple of short ones.
17 One is, the patients who developed both upper
18 and lower GI perforations, were they on other
19 medications that cause this, like nonsteroidals,
20 as co-therapy, or even steroids? Do you have
21 any data on that?

22 DR. LEFF: In terms of the lower GI

1 perforations, extending all the way through our
2 reporting period there were 14 of these cases,
3 including Chugai. And on the right you can see
4 10 out of those 14 were on steroids. Nine out
5 of 14 were on NSAIDs, both of which are
6 recognized risk factors for lower GI
7 perforations. And for upper GI perforations,
8 there were six total. Five of those six were on
9 corticosteroids, and all six were on NSAIDs.

10 DR. FELSON: Another -- so I asked
11 earlier about the development of antibodies to
12 this compound, and wanted to know, I think,
13 specifically -- and maybe you answered this, but
14 help me if you didn't -- did you see a different
15 rate in those on monotherapy than those on
16 combined DMARD and tocilizumab therapy?

17 DR. WILLIAMS: Dr. Krasnow?

18 DR. KRASNOW: A higher rate of what
19 adverse event? Can you please specify?

20 DR. FELSON: I think either the
21 anaphylaxis injection reaction-type events, or
22 the immune reaction and development of

1 antibodies to tocilizumab. Was that somehow
2 prevented by or lessened by the co-use of
3 disease-modifying drugs, like has been seen in
4 other biologics?

5 DR. KRASNOW: Yes, it is lessened by
6 the concomitant use of methotrexate or other
7 DMARDs.

8 DR. FELSON: Do you have data to
9 support that statement?

10 DR. KRASNOW: We can provide the
11 instances to you. I have data related to the
12 infusion reaction specifically. I can access
13 that and provide it to you at the break; I don't
14 have it immediately.

15 DR. FELSON: So if that were the case,
16 then why would you recommend monotherapy as a
17 treatment option? Why wouldn't you, like with
18 some other biologics, recommend co-use with
19 disease-modifying drugs to prevent that from
20 occurring?

21 DR. KRASNOW: If we look in the
22 monotherapy, what we have is, we had one

1 hypersensitivity reaction. And so, based on one
2 hypersensitivity reaction, we feel that the
3 benefit-to-risk ratio is highly favorable for
4 patients. And we are recommending that if
5 patients are appropriate to receive tocilizumab
6 with or without a DMARD, that this not be a
7 rate-limiting step due to the incidence of this
8 clinical event.

9 DR. WILLIAMS: Thank you to the
10 sponsor.

11 We will now take a 10-minute break,
12 and we will return here at 10:54.

13 (Recess)

14 DR. WILLIAMS: We will now turn the
15 time over to the FDA for their presentation. It
16 will be done by Sarah Okada from the FDA.

17 DR. OKADA: Good morning. In these
18 next minutes, I'll be presenting a brief
19 background on RA clinical development programs
20 and claims, followed by an overview of the
21 tocilizumab RA pivotal trials, which will
22 include a very brief reminder of the key

1 efficacy results, and then a more extensive look
2 at the safety results in these trials.

3 In the early phases of drug
4 development for RA, trials commonly include
5 an initial study of safety of the
6 investigational treatment in combination with
7 methotrexate and a proof-of-concept study
8 utilizing the ACR 20. During Phase 3, larger
9 randomized control trials of at least three
10 months' duration are expected that can
11 characterize the treatment effect of the
12 investigational treatment as monotherapy and
13 in combination with other DMARDs, especially
14 methotrexate.

15 Trials may include clinical
16 practice-type trials, which assess the safety
17 of add-on therapy with prevalent DMARD
18 regimens. Historically, after the product
19 has been approved, sponsors have submitted
20 studies to support expansion of the initial
21 indication to include early RA and to support
22 use of the product as first-line treatment.

1 Other post-approval studies have also include
2 studies in juvenile idiopathic arthritis and
3 comparative studies. Long-term -- for
4 example, five-year -- safety studies and
5 studies of the impact of treatment on
6 immunization response have also been required
7 as post-marketing commitments.

8 As per the currently published RA
9 guidance document, FDA has recognized a
10 discrete set of claims in RA. These include
11 the foundational claim of reduction of signs
12 and symptoms, demonstrated by the proportion
13 of ACR 20 responders at three months or
14 longer. Additional claims include inhibition
15 of structural damage demonstrated by a change
16 from baseline and radiographic scores at the
17 end of a controlled period of at least six
18 months, with a total duration of radiographic
19 follow-up to at least one year.

20 Improvement in physical function
21 may be demonstrated by the proportion of
22 patients achieving clinically meaningful

1 improvement; for example, greater than 0.22
2 units decrease in the health assessment
3 questionnaire disability index score at the
4 end of a controlled period of three months or
5 longer, with evidence that this improvement
6 is maintained for an extended period of time.

7 A claim of major clinical response
8 may be granted for demonstration of a higher
9 proportion of patients achieving ACR 70 and
10 maintaining this improvement for six months
11 or longer. Finally, a claim of complete
12 clinical response or remission may be granted
13 for a demonstration of a higher proportion of
14 patients achieving remission by ACR criteria,
15 along with radiographic arrest, for six
16 months or longer, while on therapy or off
17 therapy, respectively.

18 The data in the tocilizumab
19 biologics license application is intended to
20 support an initial claim of reducing signs
21 and symptoms of moderately to severely active
22 RA, and is comprised of 24-week data from

1 five pivotal studies. These studies covered
2 the range of RA patients from early RA to
3 more refractory patients, and includes data
4 for tocilizumab monotherapy, tocilizumab
5 given with concomitant methotrexate, and
6 tocilizumab given with other non-biologic
7 DMARDs. Patients completing the core
8 randomized controlled studies were allowed to
9 enroll into long-term extension studies upon
10 completion, for a total of five years. For
11 this BLA, interim data has been submitted.
12 As of the date of cutoff for the application,
13 close to 1,500 patients have received
14 tocilizumab for up to 18 months.

15 Because the sponsor has already
16 presented the efficacy data in detail, and
17 there are no major issues that we have with
18 the efficacy data in the submission, I will
19 only very briefly recapitulate the primary
20 efficacy findings in the next four slides.

21 Our analysis of the efficacy data
22 in the submission is consistent with the

1 sponsor's. We concur that in all studies,
2 treatment with tocilizumab resulted in a
3 higher proportion of ACR 20 responders at
4 week 24 compared to controls, and that this
5 difference was statistically significant.

6 The primary endpoint was further
7 explored in subgroup analysis by demographic
8 characteristics and geographic region. These
9 analyses demonstrated that treatment with
10 tocilizumab resulted in a higher proportion
11 of ACR 20 responders than did treatment with
12 placebo for all subgroups analyzed.

13 Similarly, for subgroups by disease
14 characteristics, treatment with tocilizumab
15 resulted in a higher proportion of ACR 20
16 responders than did treatment with placebo,
17 for all subgroups analyzed.

18 Sensitivity analyses, utilizing
19 different imputation techniques for missing
20 data, such as last observation carried
21 forward and baseline observation carried
22 forward, were performed. The results of

1 these analyses were consistent with the
2 primary analysis results.

3 Now I'll be proceeding to a more
4 extensive look at the safety profile of
5 tocilizumab.

6 This submission contained 24-week
7 safety data from the five pivotal trials,
8 including six-month interim data from study
9 17823, which is designed as a two-year study.
10 These studies were of sufficiently similar
11 design to allow for pooled analyses of the
12 six-month controlled data by treatment
13 groups. Long-term safety information from RA
14 patients treated with open-label tocilizumab
15 at 8 mg/kg was also provided in the BLA.
16 These data are derived from two open-label
17 extension studies which are ongoing.

18 Almost 3,800 patients have been
19 exposed to tocilizumab in the Roche RA
20 program, and almost 1500 patients have been
21 exposed to tocilizumab for up to 18 months.
22 The majority of tocilizumab exposure has been

1 to the higher dose of 8 mg/kg.

2 The majority of patients in the
3 tocilizumab RA pivotal trials experienced at
4 least one adverse event during the course of
5 the trial. The proportion of patients
6 experiencing an adverse event in the
7 tocilizumab treatment arms was similar to the
8 proportion of patients experiencing an
9 adverse event with methotrexate monotherapy
10 and was higher than with placebo. The
11 proportion of patients experiencing an
12 adverse events in the long-term extension was
13 higher, as might be expected, given the
14 longer duration of observation.

15 Deaths were uncommon, but were
16 observed in all treatment arms during the
17 six-month controlled period, except in the
18 tocilizumab 4 mg/kg treatment arm. The
19 highest proportion of deaths, three out of
20 288 patients, or 1 percent, occurred in the
21 tocilizumab 8 mg/kg monotherapy arm of study
22 17824.

1 The incidence of serious adverse
2 events was similar in the tocilizumab groups,
3 at 6 percent, compared with placebo at
4 5 percent. Tocilizumab and methotrexate
5 monotherapy groups had fewer adverse events,
6 at 4 percent and 3 percent, respectively.
7 Again, the proportion of patients
8 experiencing a serious adverse event was
9 higher in the long-term extension. I'll
10 discuss exposure-adjusted incidence of death
11 and serious adverse events in further detail
12 in a moment.

13 The proportion of patients
14 experiencing an adverse event leading to
15 withdrawal was small, less than 5 percent in
16 each treatment group, but was lowest with
17 placebo. During the double-blind controlled
18 portion of the studies, dose modification
19 entailed temporary withholding of the
20 scheduled dose; for example, for the first
21 occurrence of AST or ALT elevation greater
22 than three times the upper limit of normal,

1 or for non-serious infections. Background
2 DMARD therapy doses could be modified for
3 toxicity, and study 17824 specified scenarios
4 for methotrexate modification in the
5 protocol. Dose reduction from tocilizumab
6 8 mg/kg to 4 mg/kg was allowed in the
7 long-term extensions for a wider range of
8 safety reasons and was accordingly higher.

9 In the placebo-controlled portion
10 of the trials, patients could escape at
11 week 16 if they had inadequate response. In
12 general, a higher proportion of patients in
13 the placebo treatment groups entered escape.
14 Thus, the overall exposure time of patients
15 to placebo was shorter than the designated
16 six months. Conversely, the majority of
17 patients in the long-term safety population
18 have been exposed to tocilizumab treatment
19 for at least one year.

20 To account for these differences in
21 exposure for comparison by treatment arm,
22 exposure-adjusted incidence rates were

1 calculated for deaths, serious adverse
2 events, serious infectious events, and
3 malignancies, summarized here.

4 Overall, the exposure-adjusted
5 incidence rates of death were not elevated in
6 the tocilizumab treatment groups compared to
7 the control group, with the exception of the
8 tocilizumab 8 mg/kg monotherapy treatment
9 group. This finding is difficult to
10 interpret because of the small number of
11 deaths involved and the lack of similar
12 increase in the tocilizumab 8 mg/kg plus
13 DMARD group in the other studies. The
14 incidence of death in the long-term extension
15 did not rise.

16 The observed exposure-adjusted
17 incidence rate of 2.4 deaths per 100 patient
18 years in the tocilizumab monotherapy group is
19 similar to expected rates in the RA patient
20 population based on published mortality
21 rates. For example, in the Olmstead County
22 RA cohort, death rates for female and male RA

1 patients were 2.4 to 2.5 deaths per 100
2 patient years, respectively.

3 The exposure-adjusted incidence of
4 malignancies, including non-melanoma skin
5 cancers, was similar in all treatment groups,
6 including placebo, at 1.5 to 1.6 malignancies
7 per 100 patient years, with the exception of
8 a slightly higher rate of 2.4 malignancies
9 per 100 patient years observed in the
10 methotrexate monotherapy group. Again,
11 incidence did not rise in the long-term
12 extension. Published background rates in RA
13 patients range from 1.3 to 1.4 malignancies
14 per 100 patient years, as in the Danish
15 Cancer Registry and the British Biologics
16 Registry. Therefore, the observed rate in
17 the studies was slightly higher, but is in
18 the general vicinity.

19 A similar incidence of serious
20 adverse events was noted in the tocilizumab
21 treatment groups compared to placebo.
22 Tocilizumab and methotrexate monotherapy

1 groups had the lowest exposure-adjusted
2 incidence at 10 and 12 events per 100 patient
3 years, respectively. The rate of serious
4 adverse events did not increase with
5 increasing duration of tocilizumab exposure
6 observed in the long-term safety population.

7 The incidence rate of serious
8 infections was highest in the tocilizumab
9 8 mg/kg plus DMARD combination treatment
10 group, at 5.7 serious infections per 100
11 patient years, and was slightly lower in the
12 4 mg/kg plus DMARD group, at 4.7 serious
13 infections per 100 patient years. Both rates
14 exceeded the observed rate of 3.9 events per
15 100 patient years in the placebo plus DMARD
16 group. Tocilizumab and methotrexate
17 monotherapy groups again had the lowest
18 exposure-adjusted incidence, with 3.2 and 1.6
19 serious infections per 100 patient years,
20 respectively.

21 The rate of serious infections
22 again did not increase in the long-term

1 extension. Rates of serious infections in RA
2 patients taking TNF inhibitors have been
3 published as approximating five to six
4 serious infectious events per 100 patient
5 years, compared to two to four serious
6 infections per 100 patient years in RA
7 patients taking non-biologic DMARDs.

8 During the tocilizumab RA pivotal
9 studies and long-term extensions through the
10 data cutoff of October 1st, 2007, five
11 patients died of cardiac etiologies, to
12 include four myocardial infarctions and one
13 cardiac failure, and four patients died of
14 infectious etiologies while on tocilizumab
15 treatment. Overall, the numbers and causes
16 of death in the program appear to be
17 consistent with what might be expected for
18 the underlying patient population.

19 As discussed, the overall
20 exposure-adjusted incidence of malignancy in
21 the tocilizumab RA studies appears to be
22 close to what might be expected in the RA

1 patient population. Few malignancies were
2 observed during the six-month controlled
3 period of the RA pivotal studies. During the
4 long-term extensions, a total of 65 neoplasms
5 or malignancies were diagnosed. Lung
6 neoplasms and cancers occurred most commonly,
7 followed by basal cell skin carcinomas. The
8 relative risk of lymphoma is considered to be
9 higher in RA patients; however, thus far only
10 a single case of lymphoma has occurred with
11 tocilizumab treatment in the Roche RA
12 program.

13 Overall, the pattern and frequency
14 of malignancies observed in the tocilizumab
15 RA program to date appears to be consistent
16 with what might be expected in RA patients
17 and does not clearly implicate an additional
18 risk attributable to tocilizumab treatment.

19 Tocilizumab treatment was
20 associated with a higher risk of serious
21 infections. Of these, pneumonia and
22 cellulitis were by far the most commonly

1 occurring serious infections. In addition to
2 commonly occurring bacterial infections,
3 treatment with tocilizumab was associated
4 with an increased incidence of herpes zoster,
5 including herpes zoster ophthalmicus. No
6 other viral reactivation events were noted;
7 however, a single case of serious
8 Epstein-Barr virus reactivation complicated
9 by non-Hodgkin's lymphoma and resulting in
10 death, was observed in the Chugai RA trial.

11 Patients with a history of
12 recurrent infections, including hepatitis B,
13 hepatitis C, and herpes zoster, were excluded
14 from the Roche RA trials. Patients were also
15 excluded from the studies if they had a
16 history of or known active mycobacterial
17 infection, but were not specifically required
18 to have TB screening or prophylaxis. In
19 fact, 68 patients, 52 in the tocilizumab
20 treatment groups, had a history of TB or a
21 positive PPD prior to study start.

22 Despite this and the global nature

1 of the studies, only two cases of TB have
2 been diagnosed in the program thus far, one
3 case of staph and TB-infective arthritis, and
4 one case of urinary tract infection. No
5 cases of reactivation TB have yet been
6 diagnosed.

7 Two opportunistic infections were
8 diagnosed: One case of pneumocystis
9 pneumonia and one case of mycobacterium avium
10 intracellulare pneumonia. Thus far, the
11 overall safety profile of tocilizumab with
12 respect to infections is consistent with that
13 of other immunosuppressants and implicates an
14 increased risk of serious infection with
15 tocilizumab treatment.

16 As discussed exposure-adjusted
17 rates of serious adverse events were similar
18 for placebo and tocilizumab in combination
19 with DMARDs, at 16 and 16 per 100 patient
20 years, respectively. Serious adverse events
21 were lower for the tocilizumab and
22 methotrexate monotherapy groups, at 10 and 12

1 per 100 patient years, respectively. And
2 rates did not rise in the long-term
3 extension. Serious infections were the most
4 common type of serious adverse events, with
5 2 percent incidence in the controlled period
6 and 5 percent in the long-term extension.
7 Gastrointestinal disorders and injuries to
8 system organ classes were the next most
9 common. The injury system organ class was
10 primarily populated by events of falls and
11 fractures. GI events included GI
12 perforations, which I will discuss in greater
13 detail in a moment.

14 As of the final data cutoff for the
15 safety update for the BLA, 15 MI were
16 diagnosed in approximately 4,100 patient
17 years' exposure, for a rate of .35 per 100
18 patient years. This rate is not elevated
19 compared to published rates of MI in RA
20 patients, which range from .47 per 100
21 patient years in the ARAMIS database to
22 .76 per 100 patient years in the National

1 Data Bank for Rheumatic Diseases.

2 Similarly, the rate of stroke
3 events in patients treated with tocilizumab
4 during the Phase III studies is not elevated
5 compared to published rates. Nine CVA were
6 diagnosed in the same period, for a rate of
7 .22 per 100 patient years. Published rates
8 range from .11 per 100 patient years in
9 female RA patients within the Nurses' Health
10 Study to .76 per 100 patient years in the
11 U.K. General Practice Research Database.

12 This truncated table summarizes the
13 most common adverse events causing
14 discontinuation. The study protocols
15 mandated discontinuation for patients meeting
16 certain laboratory criteria, such as
17 persistent or recurrent AST or ALT above
18 three times the upper limit of normal,
19 isolated AST or ALT values greater than five
20 times the upper limit of normal, and elevated
21 total bilirubin above 2.5 mg/dL, as well as
22 absolute neutrophil counts below 500. As

1 summarized here, these protocol-mandated
2 laboratory discontinuations were the most
3 common reason for discontinuation.
4 Laboratory abnormalities will be discussed in
5 further detail later. Infections and
6 malignancies were the next most common types
7 of events causing discontinuation.

8 In the global RA tocilizumab
9 program, to include the Roche and Chugai
10 studies as of December 31, 2007,
11 approximately 4,700 patients were exposed to
12 tocilizumab for approximately 8,000 patient
13 years of cumulative exposure. In this time
14 period, a total of 16 GI perforation events
15 occurred in 15 patients. The duration of
16 tocilizumab exposure before event occurrence
17 varied from 1 to 36.

18 Based on exposure-adjusted
19 incidence rates and compared to RA patients
20 in the United Health Care Database and the
21 MarketScan Database, RA patients in the
22 tocilizumab global program had a slightly

1 higher incidence of upper GI perforation
2 events and a similar incidence of lower GI
3 perforation events. During the controlled
4 period of the Roche RA pivotal studies, a
5 total of three GI perforation events occurred
6 in the tocilizumab 8 mg/kg treatment group,
7 compared to none observed in the control
8 groups or the 4 mg/kg treatment group.

9 Four patients have experienced in
10 the same time period a demyelinating
11 neurologic event. Utilizing the exposure
12 just mentioned, the incidence rate of these
13 events is .05 per 100 patient years. The
14 background rate of demyelinating disorders in
15 RA patients is not currently known.

16 Treatment with tocilizumab appeared
17 to result in dose-related changes in certain
18 hematology parameters. Overall, decreases in
19 neutrophil counts and platelets were small,
20 and counts remained within the normal range,
21 reverting back to baseline once treatment
22 ended at week 24. Few patients reached the

1 protocol-mandated discontinuation point of an
2 absolute neutrophil count less than 500
3 during the six-month controlled period.
4 However, those who did were on tocilizumab
5 treatment. Discontinuation criteria were not
6 pre-specified for other hematology
7 parameters. A higher proportion of
8 tocilizumab- treated patients met
9 protocol-defined criteria for markedly low
10 white blood cell count or neutrophils.

11 However, these low white blood cell
12 counts were not typically associated with
13 infectious adverse events.

14 Results were similar for the
15 long-term extension. Although very low
16 overall, a higher proportion of
17 tocilizumab-treated patients met
18 protocol-defined criteria for at least one
19 markedly low platelet count, but few of these
20 patients had replicated values meeting these
21 criteria. Low platelet counts were not
22 typically associated with bleeding adverse

1 events.

2 No patients were discontinued or
3 required dose modification or interruption
4 for lipid parameter abnormalities during the
5 controlled period. Lipid-lowering agents
6 could be initiated at the discretion of the
7 patients' health care providers, but were not
8 mandated in the study protocols. The
9 proportion of patients started on
10 lipid-lowering agents in RA pivotal trials
11 and extensions does not appear to be
12 excessive at approximately 1 to 2 percent in
13 the controlled period and 7 percent in the
14 long-term extensions.

15 As previously discussed, MI and
16 stroke rates in the RA pivotal trials were
17 not elevated compared to rates described in
18 the literature. Very few patients
19 experienced markedly low HDLs. However, a
20 higher proportion of patients in the
21 tocilizumab treatment groups met
22 sponsor-defined criteria for markedly

1 abnormal elevations in total cholesterol,
2 LDL, and triglycerides. Treatment with
3 tocilizumab appeared to result in
4 dose-related changes in lipid parameters.
5 Overall, mean changes were incrementally
6 small; however, all lipid parameters,
7 including total cholesterol, HDL, LDL, and
8 triglycerides, were increased. The ratio of
9 total cholesterol to HDL was also increased.

10 Treatment with tocilizumab appeared
11 to result in dose-related changes in certain
12 hepatobiliary parameters and was overall
13 associated with small increases in liver
14 enzyme tests and bilirubin. Per protocol,
15 patients were discontinued from the core
16 studies if they experience two transaminase
17 elevations greater than three times the upper
18 limit of normal on treatment, any
19 transaminase elevations greater than five
20 times the upper limit of normal, any total
21 bilirubin greater than 2.5 mg/dL, and any
22 unconjugated bilirubin levels greater than

1 two times the upper limit of normal.

2 The number of patients actually
3 discontinued for these reasons was low, but
4 the proportion was higher in the tocilizumab
5 treatment groups. Dose modification or
6 interruption for hepatobiliary laboratory
7 abnormalities was also more frequent in the
8 tocilizumab treatment groups compared with
9 placebo, although it was also increased with
10 methotrexate.

11 Overall, tocilizumab treatment was
12 associated with a higher incidence of
13 protocol-defined markedly abnormal AST and
14 ALT elevation. The highest incidence,
15 particularly of ALT elevation, was observed
16 in the tocilizumab 8 mg/kg plus DMARD group
17 of the controlled period and in the long-term
18 extension studies. The incidence in the
19 4 mg/kg plus methotrexate and methotrexate
20 monotherapy groups was slightly lower, but
21 still elevated compared to the placebo
22 control group. The incidence was yet lower

1 in the tocilizumab 8 mg/kg monotherapy group,
2 but was also still elevated compared to
3 placebo.

4 This table summarizes the
5 hepatobiliary worst values in the tocilizumab
6 studies by treatment group. In the
7 categories below the red line, you can see
8 that a small percentage of patients
9 experienced elevations in AST or ALT above
10 three times the upper limit of normal, or had
11 an abnormal total bilirubin. A higher
12 proportion of patients experienced AST and
13 ALT abnormalities in the tocilizumab
14 combination therapy groups across the range
15 from mild to more significant abnormalities.

16 A higher percentage of patients in
17 these two groups also experienced elevations
18 in total bilirubin. However, no instances of
19 liver enzyme elevation greater than three
20 times the upper limit of normal with
21 concomitant increase in total bilirubin to
22 greater than two times the upper limit of

1 normal were noted in the six-month safety
2 population.

3 I will describe a single case of
4 Hy's law criteria and describe the criteria
5 in detail in a moment. The pattern of liver
6 enzyme elevations was very similar in the
7 methotrexate and tocilizumab monotherapy
8 treatment arms of study 17824, where both
9 monotherapy arms showed fewer instances of
10 liver enzyme elevation compared to the
11 combination treatment groups.

12 However, tocilizumab monotherapy
13 was associated with a higher rate of total
14 bilirubin elevation up to three times the
15 upper limit of normal. Overall, in patients
16 experiencing liver enzyme elevations who
17 continued on study, modification of treatment
18 regimen led to a decrease or normalization
19 without subsequent elevation of liver enzymes
20 or occurrence of hepatobiliary adverse
21 events.

22 These modifications could include a

1 reduction of the dose of DMARD, an
2 interruption of tocilizumab dosing, and/or
3 reduction of tocilizumab dose from 8 mg to
4 4 mg/kg. Currently available data on over
5 3700 patients treated with tocilizumab for up
6 to two years contain no clinical events of
7 hepatitis or hepatic failure.

8 I want to step back a moment and
9 discuss the issue of predicting serious
10 hepatotoxicity, and particularly Hy's law, in
11 further detail. Hy's law is based on the
12 observation by the eponymous Dr. Hy Zimmerman
13 that drug-induced jaundice caused by
14 hepatocellular injury and without an
15 obstructive component has a high rate of bad
16 outcomes, approximately 10 to 50 percent
17 mortality in the era before liver
18 transplants.

19 The components of Hy's law are a
20 combination of transaminase elevation to
21 greater than three times the upper limit of
22 normal and total bilirubin elevation greater

1 than two times the upper limit of normal,
2 without evidence of biliary obstruction such
3 as elevated alkaline phosphatase or Gilbert's
4 syndrome. Based on original estimates of
5 mortality, severe drug-induced liver injury
6 can be estimated to occur at a rate of at
7 least one-tenth the rate of Hy's law cases.
8 Hy's law has been utilized by the FDA to
9 identify drugs likely to be capable of
10 causing severe liver injury.

11 A single Hy's law case was
12 identified in the tocilizumab global RA
13 program, and occurred in the long-term
14 extension of the Roche RA studies submitted
15 in this application. The patient is a female
16 in her late fifties who completed six months
17 of tocilizumab 8 mg/kg therapy with only
18 isolated increases in total bilirubin to
19 greater than one times the upper limit of
20 normal, and without simultaneous increase in
21 transaminases or alkaline phosphatase.

22 She enrolled in the long-term

1 extension and began methotrexate at 20 mg
2 weekly, without dose titration, in addition
3 to open-label tocilizumab at 8 mg/kg. At
4 week five of the long-term extension, the
5 patient was noted to have elevated AST to two
6 times the upper limit of normal, elevated ALT
7 to four times the upper limit of normal, and
8 elevated total bilirubin to less than two
9 times the upper limit of normal.

10 Two doses of methotrexate and one
11 dose of tocilizumab were skipped, but by week
12 nine the patients AST peaked at greater than
13 10 times the upper limit of normal, her ALT
14 at greater than 16 times the upper limit of
15 normal, and her total bilirubin at greater
16 than two times the upper limit of normal.
17 Tocilizumab and methotrexate were withheld,
18 and the elevations ultimately normalized by
19 week 12.

20 However, at week 11, methotrexate
21 was restarted at 10 mg per week, and with
22 normalized enzymes, the patient was restarted

1 on tocilizumab 4 mg/kg at week 12. By
2 week 15, the patient's transaminases and
3 bilirubin were again above the upper limit of
4 normal, and the patient was discontinued from
5 study treatment and withdrawn from the study.
6 These abnormalities resolved by week 20.

7 The patient did not have clinical
8 adverse events associated with these
9 laboratory abnormalities.

10 This case was confounded by the
11 concomitant initiation of relatively
12 high-dose methotrexate, which has known
13 hepatotoxicity, but also demonstrated
14 positive re-challenge to tocilizumab. Using
15 the estimate of severe drug- induced injury
16 as occurring at one-tenth the rate of Hy's
17 law cases, a single case in approximately
18 4,700 patients in the tocilizumab global RA
19 program could portend one case of severe
20 liver injury in 47,000 treated patients.

21 Although the mechanism of action of
22 liver enzyme abnormalities with tocilizumab

1 has not been ascertained, there are plausible
2 mechanisms by which hepatocellular injury
3 could occur with anti-IL-6 receptor
4 treatment.

5 First, IL-6 appears to have a
6 hepatoprotective effect on various forms of
7 liver injury and promotes hepatocyte
8 regeneration. Therefore, inhibition could
9 lead to increased hepatocyte susceptibility
10 to hepatotoxic insults. Also, hepatocytes
11 express high levels of IL-6 receptor.

12 Therefore, with ubiquitous
13 anti-IL-6 receptor monoclonal antibody
14 binding in the liver, could even minimal
15 complement-mediated cytotoxicity or
16 antibody-dependent cellular cytotoxicity
17 result in some hepatic injury?

18 Leaving the topic of hepatotoxicity
19 and turning to immunogenicity, routine
20 samples for anti-tocilizumab antibody testing
21 were collected at baseline and at months 1,
22 2, 3, and 6 in the pivotal studies, and every

1 24 weeks in the long-term extensions. In
2 addition to routine testing, patients who
3 experienced an adverse event of potentially
4 immunogenic nature, defined in the study
5 protocol, or patient who discontinued
6 treatment because of insufficient therapeutic
7 response underwent immunogenicity testing. A
8 small proportion of patients tested returned
9 positive for anti-tocilizumab antibodies: 46
10 out of 2,553 patients, or 2 percent, in the
11 tocilizumab treatment groups of the six-month
12 safety population, and 13 out of 477
13 patients, or 3 percent, got tested in the
14 long-term extension.

15 Approximately 6 percent, or 10 out
16 of 159 patients, tested for events of
17 potentially immunogenic origin were positive
18 for anti-tocilizumab antibodies during the
19 six-month controlled period, as were
20 3 percent, or 2 out of 75, in the long-term
21 extension. Five of these patients had events
22 that resulted in withdrawal, to include

1 the tocilizumab treatment groups experience
2 acute -- defined as within 24
3 hours -- infusional adverse events: 7 to
4 9 percent versus 5 percent in the placebo or
5 methotrexate groups. And the proportion did
6 increase during the long-term extensions.

7 However, the majority of these
8 patients were able to continue treatment and
9 did not experience recurrence, and thus
10 discontinuations due to infusional adverse
11 events were uncommon. Of note, six patients
12 experienced clinically determined
13 anaphylactic reactions, all of which resulted
14 in withdrawal. Three were positive for
15 anti-tocilizumab antibodies; one was
16 negative; two were not tested.

17 Anaphylactic reactions tended to
18 occur after the second to fourth infusions.
19 Overall, immunogenicity and acute infusional
20 adverse events occurred in a small fraction
21 of patients who received tocilizumab
22 treatment and did not appear to significantly

1 impact the overall efficacy or safety profile
2 of tocilizumab treatment. The frequency and
3 severity of these events appear to be
4 consistent with those observed with currently
5 approved biologic treatments for RA.

6 This table describes an estimate of
7 the potential benefit versus potential risks
8 of tocilizumab treatment in RA. The number
9 needed to treat and number needed to harm
10 calculations were based on the
11 placebo-controlled studies, excluding the
12 non-inferiority study 17824, and utilized the
13 comparison of tocilizumab 8 mg/kg plus DMARD
14 versus placebo plus DMARD from the six-month
15 controlled period.

16 During this period, the frequency
17 of malignancy diagnoses and lipid-lowering
18 agent starts was the same in the tocilizumab
19 group as for the placebo group, resulting in
20 a number needed to harm of infinity.

21 As a caveat, it should be noted
22 that the proportion of patients experiencing

1 controlled RA trials submitted by the
2 applicant, treatment with tocilizumab
3 resulted in statistically significant
4 increases in clinical responses in patients
5 with moderately to severely active RA.

6 The safety data from these trials
7 and long-term extensions and the global
8 experience with tocilizumab overall depict
9 the profile of an immunosuppressant and its
10 inherent risks, such as serious infections.
11 Perhaps unique to its mechanism of action,
12 tocilizumab manifested effects on white blood
13 cell count, lipids, and most significantly,
14 liver enzyme elevation, although these were
15 not associated with clinical adverse events
16 in the controlled setting of the clinical
17 trial experience.

18 Malignancies, GI perforations, and
19 demyelinating adverse events were observed in
20 the clinical trials; however, the relative
21 risks and the role of tocilizumab treatment
22 in the development of these adverse events is

1 not well defined. The clinical trial
2 experience has been extensive, but may not
3 capture the full extent of safety concerns
4 that may arise with long-term IL-6
5 inhibition. The decision to approve and the
6 optimal patient population for treatment
7 requires careful balancing of the benefits
8 and risks. This concludes my presentation.

9 Thank you.

10 DR. WILLIAMS: Thank you, Dr. Okada.
11 Questions from the Committee to Dr. Okada or the
12 FDA? Dr. Weisman?

13 DR. WEISMAN: Sarah, if you assume
14 that liver reactions are either idiosyncratic or
15 mechanism-based -- I'm not sure that's entirely
16 fair, but that's a good working definition -- do
17 you consider the liver reactions with
18 tocilizumab to be mechanism-based, and if so, is
19 there an increased risk with the use of
20 methotrexate, which is also presumably
21 mechanism-based as well?

22 DR. OKADA: Well, as I mentioned, the

1 mechanism might not be ascertained, but my own
2 personal feeling is that it's likely to be
3 mechanism-based, and the risk of hepatotoxicity,
4 or at least elevated liver enzymes, appears to
5 be increased when it's combined with
6 methotrexate.

7 DR. WILLIAMS: Dr. Felson?

8 DR. FELSON: So Sarah, that was a
9 lovely, comprehensive review of stuff, and I
10 wanted to ask you about lipids. And I was
11 surprised that lipids took the back seat in a
12 lot of your concerns. As I looked at these
13 data, they were my primary concern, to be
14 honest. They were my primary concern because
15 rheumatoid arthritis patients are older, and
16 their major cause of death, I think, is still
17 cardiovascular mortality.

18 And we're talking about something
19 that probably increases their risk of
20 cardiovascular mortality. And given the
21 history of this Committee and Vioxx and other
22 things, I think I would be very leery of

1 inaugurating a new drug that did that. So I
2 don't have a sense of the magnitude of the
3 lipid changes that occur here and how
4 clinically important they are, and whether
5 such changes have been seen by the FDA in
6 other drugs in front of it for approval for
7 other disorders, and what they've done with
8 that. I guess I want to get a sense from you
9 of whether I should be worried or not, and
10 have you reassure me one way or the other.

11 Personally, if you told me that I
12 had the choice of a variety of different
13 biologics to use for my patients, all of
14 which had shown efficacy similar to this, and
15 all of which had shown infection risks
16 similar to this, and one of them could kill
17 one of my patients because they raised their
18 lipids and caused an MI, and that the data
19 presented for approval weren't sufficient to
20 determine that, I think I wouldn't prescribe
21 the one that raised lipids, that raised LDLs.
22 Can you comment a little on that concern?

1 DR. OKADA: So I think the main thing
2 that reassured me -- and granted, it's sort of
3 limited-duration exposure -- but the main thing
4 that reassured me was that during the clinical
5 trials and long-term extensions so far, the
6 rates of significant cardiovascular events was
7 below the background rate that was expected in
8 RA patients.

9 DR. FELSON: But wasn't that true of
10 Vioxx -- I mean, all of those other drugs came
11 in, probably with bigger datasets than this, and
12 found nothing because there just weren't
13 sufficient numbers yet.

14 DR. OKADA: That's a valid point, and
15 I think that the sponsor actually has some
16 further additional cardiovascular studies
17 planned. My feeling also, though, is that the
18 changes in lipid parameters were ameliorated
19 relatively easily by statin, concomitant statin
20 therapy, and RA patients are likely to be on
21 statin therapies for multiple other reasons, as
22 you suggested.

1 DR. WILLIAMS: Dr. Siegel has a
2 comment on this issue.

3 DR. SIEGEL: Yeah. David, I think
4 your comments are very well-taken, and this is
5 one of the issue we really would like the panel
6 to address. I think our approach here has been
7 that when the initial data came in on lipids,
8 there was an effect on increasing LDLs, but
9 there was also an effect on increasing HDLs, so
10 it was hard to know exactly what the net effect
11 of those changes that go in opposite directions
12 would be. So we decided to take an empiric
13 approach and to follow it along, and to see what
14 the implications were for clinical
15 cardiovascular events.

16 The other point that I would make
17 is that while lipids are important in
18 predisposing patients to cardiovascular
19 events, there's evidence that inflammatory
20 states may also play a role, based on the
21 increase in risk in patients who have
22 elevated CRP levels. So again, it's hard to

1 know, based on general principles, how this
2 particular product would work. So in
3 summary, we've taken an empiric approach, and
4 so far, as Dr. Okada has said, we haven't
5 seen an increase in risk, but we would be
6 very interested in hearing from the Committee
7 what additional assessments you think should
8 be done.

9 DR. WILLIAMS: Ms. Aronson?

10 MS. ARONSON: To follow up on that, as
11 I read through the sponsor's information -- I
12 can't put my hands on the chart -- of inclusions
13 in the study, I see that patients with
14 infections were excluded, liver disease, and is
15 it that only 87 percent had -- what's the
16 relationship to heart issue in that? Can you
17 answer that question? Exclusion in the
18 trial -- previous heart problems.

19 DR. WILLIAMS: Dr. Krasnow?

20 DR. KRASNOW: The number of patients
21 who were excluded for cardiovascular reasons is
22 less than 1 percent. Is that the question?

1 MS. ARONSON: Less than 1 percent.

2 DR. WILLIAMS: Dr. Pisetsky?

3 DR. PISETSKY: I think this relates to
4 Dr. Siegel's point. This is an agent that can
5 increase lipids but lower CRP. As we discuss
6 this problem, which do you think is more
7 predictive of cardiovascular, low CRP or higher
8 lipids?

9 DR. OKADA: I need my Karnac hat. If
10 I were going to guess, my guess would be that
11 treatment with tocilizumab -- the risks with
12 respect to cardiovascular events probably could
13 be mitigated with appropriate clinical
14 monitoring and care.

15 DR. WILLIAMS: Dr. Turk?

16 DR. TURK: Thank you for that
17 presentation. A quick question for you. You
18 gave us the numbers needed to treat and numbers
19 needed to harm for the 8 mg. Have you
20 calculated those for the 4 mg?

21 DR. OKADA: I did not; sorry. I could
22 do that real quick if you want.

1 DR. WILLIAMS: Dr. Hoffman?

2 DR. HOFFMAN: One of the conclusions
3 that you made is that tocilizumab appears to be
4 effective for patients with moderate to severely
5 active RA, but we have also heard from the
6 sponsor that the agent is also apparently
7 effective in early RA. So I was curious why the
8 FDA, as well as the sponsor, is looking at an
9 indication only for moderate to severely active
10 RA rather than generically RA. Is this a matter
11 of strategy and the approval process, or is this
12 based upon other considerations?

13 DR. OKADA: Well, actually, if you
14 looked at the baseline disease activity, even in
15 the early RA studies, the patients were
16 moderately to severely active.

17 DR. HOFFMAN: And realizing that we
18 don't have the data, is there a biologic reason
19 to assume that this drug is not active in early
20 RA? Or we just don't have the data?

21 DR. OKADA: No, it's been demonstrated
22 to be active in study 17824. However, I think

1 the distinction is, when we say moderately to
2 severely active, we're talking purely about
3 baseline disease activity. We're not really
4 talking about duration of RA and refractoriness
5 of RA per se.

6 DR. WILLIAMS: Dr. Siegel has a
7 comment.

8 DR. SIEGEL: Let me just provide some
9 comments about the regulatory framework here in
10 terms of early RA and late RA and moderately to
11 severely active. When we first started
12 assessing immunosuppressive biologics for RA, we
13 were very concerned about what the toxicities
14 might be, and we thought it was very important,
15 until we knew more about what the safety would
16 be, to restrict the product to people who really
17 needed these.

18 And we did those in two ways. One
19 is by making the indication for moderately to
20 severely active RA, so patients with mild RA
21 wouldn't be treated, but also to make it in
22 patients who had no other choice, so people

1 who had already failed all available
2 therapies, which at the time was really
3 confined to methotrexate. As time has gone
4 on and we've gotten more experience with use
5 of the TNF blockers, for example, we have a
6 much better feeling for what the safety is,
7 and we no longer think of it as necessary to
8 require restriction to people who've failed
9 all available therapies, but rather think it
10 should be done based on the risk benefit for
11 the individual patient.

12 Now, if we had a product that we
13 were thinking about where there were big open
14 questions about what the safety was likely to
15 be, and we thought that there might be a lot
16 of toxicity, that would be a situation where
17 we might well restrict it to people who'd
18 failed all other agents. So I guess the
19 point I'm trying to make is, early versus
20 late -- we haven't seen evidence that
21 products that work in late disease don't
22 similarly work in early disease. The big

1 question is what the risk benefit is.

2 DR. WILLIAMS: Dr. Stine?

3 DR. STINE: Thank you. I just had a
4 couple of short questions. Have you looked at
5 co-occurrence of any of these adverse
6 experiences? Or do you look at them one at a
7 time, so we'll see a table of this many people
8 had liver, this many people had that, this many
9 people had a different one. Is there any
10 evidence that these things happen together, that
11 there's some people that tend to get a whole
12 collection of these things at once, or are these
13 things just sort of at random?

14 DR. OKADA: I have to be honest that
15 in most of the displays that I've looked at in
16 the application, the effect of tocilizumab on,
17 for example, laboratory parameters, was
18 displayed individually, understanding that
19 patients could have more than one laboratory
20 abnormality at a time. But I don't know the
21 details to answer that question, so I'm not sure
22 I can --

1 DR. STINE: I was thinking more on the
2 AE side -- the people that have the liver
3 problems also have the --

4 DR. OKADA: I see what you're saying.

5 DR. STINE: Yeah.

6 DR. OKADA: Well, looking at the
7 adverse event profiles by patient, there are of
8 course some patients who have several adverse
9 events and other patients who only have it
10 sporadically. I can't say that I detected a
11 particular pattern.

12 DR. STINE: Yeah, I was just trying to
13 get a sense. When we look at these counts of
14 adverse experiences and you try to extrapolate
15 to a larger population, you wonder how many
16 people are going to be affected, and I think
17 it's important to understand that we just add
18 these numbers up if, in fact, they co-occur.
19 It's the same person, perhaps, having a lot of
20 these things, and that's not good for that
21 person, but it's fewer people that would be
22 affected. If I can just sort of continue on

1 this theme for just two more questions, what's
2 the uncertainty -- I'm not too familiar with
3 this term that you use, number needed to treat
4 and number needed to harm -- but I gather
5 there's some considerable uncertainty in these
6 estimates? I mean, you've got a number here for
7 385 for GI perforations and seven for liver
8 enzyme abnormalities. And I was wondering, is
9 that seven plus or minus a thousand? Seven plus
10 or minus two?

11 Seven plus or minus about how much?

12 DR. OKADA: No, that was rounding up
13 to the whole integer.

14 DR. STINE: Right. But I want a
15 standard error that goes on that number, a
16 confidence interval.

17 DR. OKADA: That, I can't give you.

18 DR. STINE: Okay. And then one last
19 question. You guys are worried about liver
20 things, and I've taken some of these things, and
21 they'll check my liver. But this demyelination
22 stuff, that's the one that bothered me

1 personally. What's the background rate for that
2 in the population as -- I know you don't know it
3 for RA -- but what is it in the population as a
4 whole? Do we have anything on -- does this
5 stuff never happen, or only here?

6 DR. OKADA: It's extremely uncommon,
7 but I honestly don't know what the rates would
8 say. For example, multiple sclerosis -- I don't
9 know if we have any neurologists here.

10 DR. STINE: So we don't know.

11 DR. WILLIAMS: Dr. Rappaport?

12 DR. RAPPAPORT: Well, just because I'm
13 a neurologist doesn't mean I have that number
14 off the top of my head, but we can certainly
15 find that number for you. Multiple sclerosis is
16 the main central demyelinating disease, and it
17 is not uncommon, and it depends on what part of
18 the world you live in.

19 DR. STINE: Uh-huh. The reason for my
20 concern was that I can imagine when you get a
21 blood test for taking things, they check liver,
22 they check this; they don't check that. And I

1 wouldn't think that would be something that the
2 physician might be accustomed to looking for,
3 and it's severe enough that you would certainly
4 want to have some sense of the risks involved.

5 DR. WILLIAMS: Dr. Pisetsky?

6 DR. PISETSKY: The question relates to
7 the issue of immunogenicity. And some of the
8 patients here had been previously treated with
9 TNF. Is there any relationship between prior
10 treatment with a biologic or an adverse reaction
11 and then an adverse reaction with this product,
12 and then correspondingly, if someone develops an
13 antibody or a reaction to this product, would
14 that be predictive of a problem with another
15 antibody?

16 DR. OKADA: What I can tell you is,
17 based on what I see of the overall safety
18 profile, patients who are more refractory and
19 sicker tended to have increased incidence, as
20 you might expect, of adverse events. I didn't
21 see any relationship with immunogenicity per se.
22 But the anti-TNF subgroups, particularly those

1 who've been exposed to multiple TNF therapies,
2 is relatively small. So it's hard for me to
3 draw definitive conclusions on that.

4 DR. PISETSKY: Does an adverse
5 reaction or an infusion reaction to another
6 biologic raise an issue in this population?

7 DR. OKADA: I am not sure how many of
8 the people who experienced infusion reactions
9 had infusion reactions with previous biologics.

10 DR. WILLIAMS: I'd remind the
11 Committee you're now using your lunch hour.

12 Dr. Felson?

13 DR. FELSON: Oh-oh. I have a question
14 for you. It sounds like this agent is already
15 approved in Japan. Has there been widespread
16 use in Japan? I mean, the struggles we're
17 having here are, as Dr. Stine said, coming up
18 with confidence bounds around estimated rates,
19 basically. We can't figure out whether we
20 should be worried or not because there just
21 aren't enough numbers. Are there more number we
22 can get from Japan that we can take advantage

1 of?

2 DR. OKADA: Well, the product was only
3 approved in Japan for RA and the pediatric JIA
4 indications in April of 2008, so I am not aware
5 of the post-marketing experience there. The
6 pre-marketing experience was smaller than the
7 data presented here, so I don't know if the
8 sponsor has anybody who's aware of --

9 DR. WILLIAMS: Dr. Fletcher?

10 DR. KRASNOW: If you want to -- we
11 have a representative from Chugai who can
12 comment if you would like.

13 DR. WILLIAMS: We'd like to hear.

14 MR. OKUDA: My name is Osamu Okuda,
15 Chugai. And tocilizumab was approved for RA in
16 this April 2008. So far, we have treated the
17 patients -- the number of patients are -- 1400
18 patients have been treated with tocilizumab.
19 So -- and no new safety signal has been detected
20 so far.

21 DR. WILLIAMS: Thank you.

22 Dr. Fletcher?

1 DR. FLETCHER: Yeah, I was wondering
2 whether the sponsors provided FDA any
3 information about intermittent dosing, with a
4 hiatus of treatment, say, more than five
5 half-lives of the drug, with regard to -- I know
6 I'm kind of hammering this -- but the
7 immunogenicity aspect and anti-drug antibodies?
8 Long-term, you're obviously going to be looking
9 for that, but in the past there's been issues
10 with intermittent use where a significant hiatus
11 has been associated with some safety issues when
12 reinitiating. And I was wondering did they have
13 any data on that?

14 DR. OKADA: The sponsor provided some
15 data in the application regarding patients who
16 missed doses or had an extended duration between
17 completion of the core studies and long-term
18 extensions. And overall it didn't appear that
19 that significantly impacted immunogenicity.

20 DR. WILLIAMS: Dr. Sandborg?

21 DR. SANDBORG: Have there been any
22 studies of this agent in inflammatory bowel

1 disease?

2 DR. WILLIAMS: Dr. Krasnow?

3 DR. KRASNOW: Our Chugai colleagues
4 will respond. They've done studies in different
5 indications than we have.

6 MR. OKUDA: The answer is yes. We
7 have conducted a very small pilot study in
8 Crohn's disease. The number of patients studied
9 is 36.

10 DR. WILLIAMS: We'll now break for
11 lunch. The open public hearing is scheduled for
12 12:45.

13 I would remind the Committee that
14 discussions of the presentations should only
15 take place in the open forum.

16 Thank you.

17 (Whereupon, at approximately
18 11:53 a.m., a luncheon recess was
19 taken.)

20

21

22

1 the beginning of your statement to advise the
2 Committee if you do not have any financial
3 relationships. If you choose not to address
4 this issue of financial relationships at the
5 beginning of your statement, it will not
6 preclude you from speaking.

7 The FDA and this Committee place
8 great importance in the open public hearing
9 process. The insights and comments provided
10 can help the agency and this Committee in
11 their consideration of the issues before
12 them.

13 That said, in many instances and
14 for many topics, there will be a variety of
15 opinions. One of our goals today is for this
16 open public hearing to be conducted in a fair
17 and open way, where every participant is
18 listened to carefully and treated with
19 dignity, courtesy, and respect. Therefore,
20 please speak only when recognized by the
21 Chair. Thank you for your cooperation.

22 We have had two people register to

1 speak at the open public hearing. We will
2 first hear from Susan Karder.

3 MS. KARDER: Hi. My name is Susan
4 Karder. I was diagnosed with RA when I was 36,
5 and I've been suffering with it for 7 years.
6 I'm here today because looking at me now, you
7 would not believe I have RA, and this is thanks
8 to Actemra. I do not own stock in Roche, and I
9 have been paid only minimal compensation for my
10 time, travel, and expenses.

11 My disease started out with severe
12 pain that traveled throughout my body that
13 would leave me debilitated for days on end.
14 On the day I went for blood work for
15 diagnosis, my husband had to dress and drive
16 me because I could not lift either of my
17 arms. The diagnosis came back as an
18 aggressive form of RA. RA runs in my family,
19 as my grandmother suffered with it for years.

20 Upon diagnosis, I did some
21 research, and I found out that the average
22 person becomes totally disabled within 10

1 years of diagnosis. To think I had 10 years
2 in which to finish raising my boys and to
3 secure my financial future was truly
4 terrifying and overwhelming.

5 My doctor prescribed a litany of
6 drugs for me, including prednisone, Celebrex,
7 Bextra, Vioxx, and finally weekly injections
8 of Humira with methotrexate. While I felt
9 relief with the Humira, I did get severe
10 injection site reactions that were huge welts
11 that would itch and burn and would last for a
12 whole week.

13 Unfortunately, at this time I did
14 lose my job, and eventually my insurance and
15 my financial way of paying for any drugs to
16 help my disease. We moved to Arizona in
17 hopes the climate would help me.

18 Unfortunately, it didn't, and I
19 became like a turtle on my back, unable to do
20 anything to help myself or my family. At
21 this point, my husband wrote to the
22 University of Arizona and asked them if there

1 were any programs I could participate in that
2 would help me. I met with a study doctor and
3 was accepted into an MRA study. For the
4 first four months of the study, I felt no
5 relief. They put me into what they call a
6 rescue program, where I knew I was going to
7 get 8 mg of Actemra. Within the first
8 infusion, I felt relief instantly. It was
9 amazing.

10 I went from being unable to get off
11 a couch without assistance to being told to
12 slow down when we went shopping. It was just
13 amazing. Before Actemra, I was just
14 existing. After Actemra, I started living
15 again.

16 My fear of being disabled is gone.
17 Actemra needs to be approved. It's too late
18 from my grandmother, who died from the
19 steroid treatments that were available to her
20 in her time. But it's not too late for me or
21 my niece, who's 18 years old and was just
22 told she's at high risk of developing RA.

1 Please secure my healthy future, my niece's
2 future, and the future of all those who have
3 RA by approving this drug.

4 Thank you.

5 DR. WILLIAMS: Thank you, Ms. Karder.
6 Ours second speaker will be Phylcia Melugian,
7 and I hope I got your last name right.

8 MS. MELUGIAN: Yes, you did, thank
9 you. My name is Phylcia Melugian, and I'm 47
10 years old, and I've had RA for seven years. I'm
11 here today to urge you to recommend approval for
12 Actemra. I do not own stock in Roche, and I
13 have received only minimal compensation for my
14 time, travel, and expenses.

15 I'm here today because my life is
16 so much better since taking Actemra and
17 because I want this drug available so that I
18 can stay this way. Before my diagnosis, I
19 experienced a level of pain in my shoulder.
20 It lasted over several days. And I have a
21 pretty high threshold for pain, but this pain
22 became unbearable. For someone who has never

1 had RA, it's like a red-hot poker being
2 jabbed between your joints. I thought the
3 pain was from a pulled muscle or
4 overexertion, and I couldn't figure out
5 anything that I had done wrong to cause this.

6 Over a six-month period when I was
7 trying to figure out what was wrong, I went
8 to the emergency room and was sent home with
9 the doctor telling me there was nothing
10 wrong. My doctor finally tested me for RA,
11 and I tested positive. It terrified me
12 because I didn't know what to expect. After
13 all, arthritis was something my grandma had;
14 I was only 40.

15 I was put on one drug after
16 another: Celebrex, methotrexate, Mobic.
17 Nothing worked. I had gone from being a very
18 productive and active person to not being
19 able to do anything. The fatigue was
20 physically and emotionally debilitating.

21 Then I found out I was pregnant
22 with twins. I was thrilled and terrified at

1 the same time, especially finding out there
2 were two, because I was worried because I
3 couldn't take care of myself, let alone take
4 care of two babies. During my pregnancy, the
5 RA seemed to become dormant, but what if the
6 RA came back?

7 My babies were born in October of
8 2001; I was blessed with a son and a
9 daughter. And everything was going fine
10 until they were about seven weeks old, and
11 then the arthritis came back with a
12 vengeance, way worse than before. I couldn't
13 pick up my babies or hold them in my arms
14 because my wrists were too swollen and
15 painful to pick them up, and I was afraid I'd
16 drop them. I had to have help with the most
17 basic of things: I couldn't open a jar or a
18 can or lift a coffeepot; I couldn't hook my
19 own bra or even hold a hairdryer. I couldn't
20 take care of myself, let alone my family.

21 I consider myself to be a fairly
22 happy, upbeat person, but when you hurt like

1 that you feel totally defeated, and you feel
2 like you want to toss in the towel.

3 I went to my rheumatologist in
4 tears, and that's when he suggested joining a
5 test study. A month later I received my
6 first infusion. I didn't know if I was
7 getting the drug or not, but immediately
8 after my second infusion, the difference was
9 amazing, absolutely amazing. I started doing
10 things again I hadn't done in a long time. I
11 could hold my babies in my arm; I could rock
12 them in a rocking chair; I could go for a
13 walk just for fun. I could work in my flower
14 garden again. I didn't have to crawl on the
15 floor any more.

16 Now I do what I want when I want,
17 and my now six-year-old twins -- well, they
18 think it's pretty cool that momma can show
19 them how to do a cartwheel. Now I keep up
20 with their school and PTA and sports and
21 family and friends, and the quality of my
22 life has improved 100 percent. I don't live

1 in fear of the disease any more. I live in
2 fear that I won't be able to get the drug.
3 Please don't take Actemra away. Thank you.

4 DR. WILLIAMS: Thank you,
5 Ms. Melugiann. The open public hearing portion
6 of this meeting has now concluded, and we will
7 no longer take comments from the audience. The
8 sponsor has requested some time to respond to an
9 issue that was raised in the last presentation
10 and with the following discussion.

11 You have five minutes.

12 DR. KRASNOW: Thank you. We have two
13 points for clarification: One related to
14 cardiovascular and the other related to the
15 "potential Hy's case." And I'd like to invite
16 Dr. Paul Watkins to comment on the case.

17 DR. WATKINS: Hello. I'm Paul
18 Watkins. I'm a professor of medicine, a
19 hepatologist with a long-term interest in
20 drug-induced liver injury, both clinical and
21 mechanisms that underlie drug-induced liver
22 injury. I was on a safety monitoring board with

1 two other experienced hepatologists that
2 monitored the liver events throughout the
3 Phase III studies, and I've had the opportunity
4 to query the database in the last several
5 months. And I've been very interested in this
6 compound because of the multiple roles IL-6 has
7 in liver and hepatocyte biology. And overall,
8 really the database is very reassuring in terms
9 of liver safety.

10 There is one case that was
11 mentioned as the Hy's law case. If you look
12 at the bottom, starting here at day 239 of
13 treatment with TCZ, you can see that the
14 elevations in ALTs were first noted. Or
15 rather -- sorry -- 218 the ALT was first
16 noted at 137. That then increased to about
17 16 times the upper limit of normal, and at
18 this time the total serum bilirubin was at
19 more than two times the upper limit of
20 normal. Now, this is what's called Hy's law,
21 as you heard. And the idea is, we really
22 don't know what to make out of isolated

1 asymptomatic ALT elevations, but if they're
2 accompanied by signs of the liver not
3 functioning -- and bilirubin elevation's on
4 that basis -- that is thought to be a very
5 reliable sign of a drug with potential to
6 cause liver injury.

7 However, you'll notice that the
8 indirect bilirubin is the predominant form of
9 bilirubin that is unconjugated, that's
10 elevated. Normally, you would expect in a
11 true Hy's law case to have about equal direct
12 and indirect. So this suggests Gilbert's
13 syndrome. However, what really, I think,
14 clinches the diagnosis is that earlier in
15 treatment -- here we're at day 98, 113,
16 141 -- when the serum ALT and AST were
17 entirely normal, there also was elevation in
18 indirect bilirubin. This is different units.

19 This is going from the six months
20 to the long-term extension, but the upper
21 limit of normal is 21 micromoles, and you can
22 see over 30 micromoles of indirect bilirubin.

1 So at a time when there was no evidence of
2 liver injury, this person had an indirect
3 hyperbilirubinemia. This is essentially
4 diagnostic of Gilbert's. So by the draft
5 guidance criteria, which were shown to you in
6 the FDA presentation, this case would not be
7 considered a Hy's law case. And therefore
8 the extrapolation for severe liver
9 injury -- it's not appropriate to base that
10 on this case. Thank you.

11 DR. KRASNOW: In our remaining one and
12 a half minutes, I would like to ask Dr. Sattar
13 to put in perspective --

14 DR. ROSEBRAUGH: Could I interrupt for
15 just a second?

16 DR. KRASNOW: Sure, sure.

17 DR. WILLIAMS: Dr. Rosebraugh.

18 DR. ROSEBRAUGH: Right. I just kind
19 of want to make a couple of points. It is a
20 little bit difficult when we're trying to sort
21 out whether something is Gilbert's disease or
22 not. The point I would make is, in the agency

1 we usually like to see a consistently high
2 bilirubin before the drug is started before we
3 would consider it being Gilbert's.

4 Additionally, when the
5 bilirubin -- if it goes up at the same time
6 as ALT, that tends to indicate that it is
7 more of a drug-induced effect and not on the
8 basis of Gilbert's.

9 Additionally, I would say that when
10 we are looking at ALTs, if we have three
11 times the normal ALT level, we don't get too
12 shook up about that; there's a lot of drugs
13 that do that. When we start to get 8 and 10
14 times, our eyebrows start to go up.

15 If something causes ALT to go up 16
16 times, our eyebrows start to meet our
17 hairlines. So I think that I would view this
18 as a Hy's law case because of the reasons I
19 stated, that they had a normal bilirubin at
20 day one -- 91; it went up in conjunction with
21 the ALT going up; came down when the ALT came
22 down; and went back up on the re-challenged.

1 DR. WILLIAMS: Thank you. You still
2 have your minute and a half.

3 DR. KRASNOW: Thank you very much. I
4 would like to ask Dr. Sattar to put in
5 perspective some of the changes we've had within
6 the cardiovascular markers.

7 DR. SATTAR: Thank you very much. I'm
8 Naveed Sattar. I'm Professor of Metabolic
9 Medicine at University of Glasgow. I think this
10 is a -- the lipid changes in RA, I think, with
11 respect to an earlier question, this is an
12 important concept. The paradigm in RA in terms
13 of lipid changes is somewhat different than the
14 general population. If you consider the
15 inflammation in man, it generally reduces lipids
16 whether you're post-MI, post-surgery, whether
17 you have sepsis. The lowest cholesterol levels
18 you will ever see are in patients in ITU
19 therapy.

20 In ITU therapy, cholesterols go
21 down to 1 millimole per liter. In cancer you
22 can get low cholesterol in RA. And

1 generally, in all these populations, the
2 higher the inflammation, the lower the lipid
3 levels. And also in general, when you have
4 resolution of the inflammation spontaneously
5 over treatment, lipid levels go up -- HDL,
6 LDL, triglycerides.

7 And the other clincher, I think, in
8 terms of RA is that there is now emerging
9 evidence from at least 10 to 12 studies that
10 TNF blockers raise lipids up to 24 percent,
11 including cholesterol and HDL -- and less so
12 to DMARD. And in particular, responders to
13 TNF blockers also have raised lipids as well.
14 And as you know, the TNF blockers in
15 general -- we believe as a community that TNF
16 blockers are probably counterprotective,
17 particularly in responders.

18 Two more slides, just to prove that
19 the lipids do go up with TNF blockers I think
20 is an important concept. This is from a
21 couple of years ago, but effectively, most of
22 the data is from infliximab throughout these

1 small studies. But here, for example, you
2 can see in study one, LDL goes up by
3 20 mg/dL. And in that particular study, that
4 was a 24 percent rise in LDL. And that's
5 reasonably consistent. If you go back and
6 look at the literature now, there are
7 probably about 10 studies that show that TNF
8 blockers (inaudible) look back
9 retrospectively, raise lipids.

10 And one last slide. And the other
11 concept, therefore, is that -- here's a
12 couple of studies for example, infliximab
13 six-month -- the more cholesterol went
14 up -- in this case more than HDL, but
15 generally it's varied; in some, HDL goes up
16 more, others total cholesterol. The key
17 concept here is, the more the DAS went down,
18 the more the lipids went up. And it's the
19 same with (inaudible).

20 The more the DAS went down, the
21 more both total cholesterol and HDL went up.
22 So the paradigm, I think -- the key point

1 here -- in the context of high inflammation
2 like RA, lipids go down, and with resolution
3 of inflammation with TNF blockers and with
4 IL-6, lipids go back up.

5 So I think to interpret lipid
6 changes in the normal fashion, I think, may
7 be slightly erroneous, but I think the
8 sponsor is taking a responsible attitude by
9 looking at cardiovascular and by also
10 advocating statin treatment. So I think
11 they're taking a very concerted approach,
12 which I think is balanced. Thank you.

13 DR. WILLIAMS: Thank you. The
14 Committee will now turn its attention to address
15 the task at hand: The careful consideration of
16 the data before the Committee as well as the
17 public comments. I would inform the Committee
18 that we have four questions. The first one has
19 to do with safety, the second one with dosing.
20 The third is a vote on whether or not we
21 recommend approval, and the fourth question
22 depends on the result of that vote.