

1 forth, and I'm concerned that we're not emphasizing  
2 that. The bottom -- I may not differ from the bottom  
3 line where we're going. It's just that the signal  
4 may be that we have much more -- we have a better,  
5 more positive view of the study than we probably  
6 should have.

7 DR. DAVIS: As the clinician, and I'm not a  
8 study design person, it just seems to me we're not  
9 putting a stamp of approval that this is an ideal  
10 clinical study, but we are saying that this data,  
11 especially comparing it to the FC1, says that this is  
12 a safe and effective female condom. That's how I'm  
13 interpreting.

14 DR. D'AGOSTINO: Yeah, but I'm not arguing  
15 with the final vote, the way the final vote might go.  
16 I'm arguing with the sense that this study has more  
17 merit than it actually has, and getting that across  
18 is what I think we should need to do.

19 DR. CEDARS: Does the FDA understand our  
20 concerns about the quality of the data?

21 DR. WHANG: Yeah. Thank you for expressing  
22 that.

23 DR. CEDARS: If we can move to Question 3,  
24 and this has to do with the event rates for breakage,  
25 misdirection, invagination, and slippage. And the

1 question for the Panel is for each individual failure  
2 mode, the upper bound of confidence interval for the  
3 difference is less than 1.01. And our charge is to  
4 discuss whether the data provides reasonable  
5 assurance of FC2 safety and effectiveness when it's  
6 used as a barrier protection against pregnancy and  
7 sexual -- STIs. Dr. Gilliam? Dr. Katz?

8 DR. KATZ: I'm a little confused by the  
9 logic of this question.

10 UNIDENTIFIED FEMALE SPEAKER: I am, too.

11 DR. KATZ: Because we just voted yes on  
12 Question 1. And so if we vote yes on Question 1,  
13 then the Question 3 -- am I right?

14 DR. CEDARS: Well, I --

15 DR. KATZ: It hinges on is this  
16 statistically -- does this difference satisfy a  
17 certain threshold that is our standard for not being  
18 different.

19 DR. MARRAZZO: I mean, it also presumes  
20 that there is a direct and quantitatively direct  
21 relationship between what you're seeing here in these  
22 trends and the risk of acquiring STD, HIV, or getting  
23 pregnant, right? And so there's an assumption buried  
24 in the question that does get back to Question Number  
25 1, which makes it a little difficult to interpret.

1 DR. KATZ: Yeah.

2 DR. CEDARS: Dr. Whang, could you clarify  
3 for us the specific intent, given Question 1 that we  
4 asserted, that a specific clinical study, clinical  
5 outcome other than failure rate study was not  
6 required, what the specific intent of this question  
7 was?

8 DR. WHANG: Right. So as I see the logic  
9 of these first three questions, in the first  
10 question, I think in your discussion, you've  
11 established that the failure modes study is  
12 reasonable, is acceptable. In the second question,  
13 you've established that some of the details of how  
14 this study were conducted are acceptable. And now in  
15 this third question, we're asking you to look  
16 specifically at the measurements in this study. And  
17 if you look at the numbers of what they got, where do  
18 you end up in terms of do we have a reasonable  
19 assurance of safety and effectiveness?

20 DR. CEDARS: Dr. D'Agostino?

21 DR. D'AGOSTINO: Yeah. I mean, I  
22 interpreted this that they want us to say 2 is the  
23 magic number for the confidence intervals, and these  
24 are all below 2, so we're happy. And I think, again,  
25 my feeling is that the study was not a great study,

1 realizing all the things that one has to go through  
2 to get it. These 1's are probably underestimates and  
3 so forth, but they're consistent, and, you know, this  
4 is what they are. But I don't think I'm going to --  
5 I'd be willing to give you the fact that this is less  
6 than 2 in another study. But I would be willing to  
7 give you the fact that it's probably by any  
8 reasonable criteria not going to -- criterion you're  
9 not going to get a difference between FC1 and FC2.

10 DR. CEDARS: Dr. Padian?

11 DR. PADIAN: I don't understand the second  
12 part, but maybe it's not relevant because we just had  
13 a long discussion about not only in this study, but  
14 even in FC1, where we didn't have data on STIs. So,  
15 I mean, it seems like you're -- what I'm confused  
16 about is you're asking us, or it seems like you're  
17 asking us to infer or extrapolate in a way that we  
18 already had a discussion that wasn't even done for  
19 FC1. But maybe I'm misinterpreting it.

20 DR. GILLIAM: I was just going to say that  
21 it seems like we're looking at these outcomes as a  
22 surrogate marker for --

23 DR. PADIAN: For those. So --

24 DR. GILLIAM: -- prevention of STD/HIV, and  
25 how comfortable are we looking now at the actual

1 numbers, as opposed to sort of theoretic --

2 DR. PADIAN: I see.

3 DR. GILLIAM: I mean, that's how I'm taking  
4 it, but maybe I'm --

5 DR. D'AGOSTINO: Yeah, and they want it to  
6 be less than 2 by some magic criteria.

7 DR. CEDARS: So, I mean, the opportunity  
8 for discussion, given the answers to 1 and 2 for 3,  
9 are much more limited. Dr. Gilliam, did you have --

10 DR. GILLIAM: Well, I guess my concern is I  
11 see Question 3 as asking, one, about precision, and I  
12 think we've already said that this is imprecise  
13 because we don't trust that all events were captured.

14 UNIDENTIFIED MALE SPEAKER: Right.

15 DR. GILLIAM: We trust that they're  
16 comparable between FC1 and FC2, and so that's  
17 reassuring. And we're also reassured when we change  
18 the definition of slippage to that used by the  
19 Sponsor, that it's similar to other studies, so  
20 that's reassuring. But to come up with a number to  
21 say is the number that is -- is the true value less  
22 than 1.01 percent? I don't know what the true value  
23 is, but I can say that my bias is that these are  
24 okay.

25 And then we're also asked to make another

1 leap of faith because we were using indirect data to  
2 say whether it protects against sexually transmitted  
3 infection and pregnancy, and we said we could infer  
4 that. But now you're asking us to be specific about  
5 that. So I think we're asking for precision and --

6 UNIDENTIFIED FEMALE SPEAKER: Yeah.

7 DR. GILLIAM: -- being precise and making  
8 the final leap.

9 DR. CEDARS: I think this question does for  
10 the first time --

11 UNIDENTIFIED FEMALE SPEAKER: Right.

12 DR. CEDARS: -- specifically say pregnancy  
13 and STIs.

14 UNIDENTIFIED FEMALE SPEAKER: Yeah.

15 DR. CEDARS: Which Questions 1 and 2 did  
16 not.

17 UNIDENTIFIED FEMALE SPEAKER: Yeah.

18 DR. MARRAZZO: And it's also really asking  
19 more about a reasonable assurance of the degree of  
20 safety and effectiveness, right? Again, it's not the  
21 concept. It's looking at the --

22 DR. CEDARS: Whether it's a good enough  
23 surrogate to make that extrapolation.

24 DR. WHANG: Right, so in terms of the  
25 things we've just been saying, we're not asking you

1 to evaluate here if 1.01 percent is true. We're  
2 saying that's what was measured in this study, you  
3 know, with all the methods we've just talked about.  
4 Now, the proposed indication has to do with  
5 protecting against pregnancy and sexually transmitted  
6 infections. Knowing that's the proposed indication,  
7 do these data, do these results provide a reasonable  
8 assurance of safety and effectiveness?

9 DR. CEDARS: Dr. Zenilman?

10 DR. ZENILMAN: Actually, I had a question  
11 for Ralph, and that is on the first part, and then I  
12 want to address the second part. The first part,  
13 basically, we have so many questions of precision,  
14 but my interpretation, is it correct to say that,  
15 basically, the imprecision is actually randomly  
16 assigned to both groups and, therefore, when it looks  
17 like equivalent, that's a reasonable assumption?

18 DR. D'AGOSTINO: I kept pondering, you  
19 know, as we were going through the day, it's a  
20 double-blind study, but there's a seam on FC1.

21 DR. ZENILMAN: Um-hum.

22 DR. D'AGOSTINO: So they might know that.  
23 And that's probably the only thing that would bias it  
24 in favor of one versus the other, so it's probably a  
25 lot of randomness. But the trouble with this

1 statement that it's randomness in these non-  
2 inferiority sort of ruling out less than 2, the more  
3 randomness you have, the more likely it's going to go  
4 in this direction, you know --

5 DR. ZENILMAN: Right, right, right --

6 DR. D'AGOSTINO: If you're talking about  
7 superiority, then everything about randomness, if you  
8 still get superiority, you won the day, but if you  
9 get non-inferiority with a lot of randomness, it's  
10 just saying you may have had a very messy study, and  
11 that's my quandary.

12 DR. ZENILMAN: I'm struggling with the STI  
13 prevention issue.

14 UNIDENTIFIED FEMALE SPEAKER: Me, too.

15 UNIDENTIFIED MALE SPEAKER: Yeah.

16 DR. CEDARS: Well, but can we bring in the  
17 issue of the in vitro data because the in vitro data  
18 is fairly strong, and if the risk of --

19 UNIDENTIFIED MALE SPEAKER: Right.

20 DR. CEDARS: If the risk of either  
21 infection or pregnancy, because these are the two  
22 clinical outcomes in this question, if the risk of  
23 infection or pregnancy are -- if we agree that the  
24 substance is a barrier, then what I thought we were  
25 asking in Question 1 is, is the assumption that these

1 breakage/slippage, et cetera, failure rates --

2 DR. ZENILMAN: Would be surrogates --

3 DR. CEDARS: -- would be surrogates for  
4 that.

5 DR. ZENILMAN: Yeah, I think that's fair --

6 UNIDENTIFIED FEMALE SPEAKER: I think  
7 that's reasonable.

8 DR. CEDARS: Dr. Warner?

9 DR. WARNER: Well, I'm going to go the  
10 other way on that one because I think what this study  
11 says is that these two are similar on the types of  
12 problems that can occur when the condoms are used.  
13 It doesn't say how well the condom performs when it's  
14 used without these problems --

15 UNIDENTIFIED MALE SPEAKER: Right.

16 DR. WARNER: So I think you have to have --  
17 does that --

18 DR. CEDARS: But that would be either from  
19 the in vitro data or a clinical study looking at  
20 those outcomes.

21 DR. WARNER: And you would have to use that  
22 data to supplement this answer is what I'm saying.

23 DR. CEDARS: So, in summary for the FDA, I  
24 think that there is some hesitancy because you've put  
25 the clinical outcomes into this question. There was

1 acceptance in Question 1 of the use of the surrogates  
2 of slippage and breakage rather than requiring a  
3 clinical study to look at outcomes of pregnancy and  
4 that, based on the data available to us, they would  
5 meet criteria for non-inferiority. There is still  
6 some hesitancy because you're then making a leap to  
7 effectiveness in terms of the two outcomes that you  
8 specifically ask us about, and so that requires the  
9 in vitro data and the assumption about FC1/FC2.

10 DR. PADIAN: Could I ask the group a quick  
11 question?

12 DR. CEDARS: Yeah.

13 DR. PADIAN: I'm just curious what you  
14 think about labeling with HIV with FC1.

15 UNIDENTIFIED MALE SPEAKER: Is that --

16 DR. PADIAN: Oh, I don't know. You had  
17 some -- yeah, I'll go for you.

18 UNIDENTIFIED MALE SPEAKER: I did have  
19 skepticism.

20 DR. PADIAN: Yeah.

21 DR. CEDARS: Well, the next question is  
22 specifically about labeling.

23 DR. PADIAN: Okay.

24 UNIDENTIFIED MALE SPEAKER: Okay.

25 DR. CEDARS: So if we can --

1 DR. PADIAN: Fair enough.

2 DR. CEDARS: I mean, I don't know that  
3 that's sort of a sum, but, Dr. Peterson?

4 DR. PETERSON: It's good that we're taking  
5 these in sequence, but when we get to the labeling, a  
6 lot of that's going to be related to the discussion  
7 we're having now and having trouble reaching closure  
8 on. And, as I understand it, the FDA, to approve the  
9 device, has to be able to say that there's reasonable  
10 assurance of safety and effectiveness for these  
11 outcomes. So if we can't get there, as I understand  
12 it, the FDA is hearing that we don't recommend  
13 approval.

14 And so part of the issue, I think, is going  
15 to come when we do look at the labeling because some  
16 of the discomfort is likely related to the lack of  
17 direct evidence for effectiveness during use. And we  
18 talked a little bit about history. So there was a  
19 time in our history where, for the male condom, we  
20 were inferring a lot based on the barrier protection  
21 properties in vitro and slippage and breakage rates.  
22 And then, ultimately, we had elegant and compelling  
23 studies in discordant couples with HIV infections.  
24 So we reached as close as we get to proof by any  
25 reasonable standard for effectiveness with consistent

1 correct use.

2           So we're not there with this device,  
3 clearly, and so I think a lot is going to come back  
4 to the labeling. And the question I think from the  
5 FDA is can we use the existing data on the FC1, which  
6 has some pregnancy prevention data, some STI --

7           UNIDENTIFIED FEMALE SPEAKER: But no HIV --

8           DR. PETERSON: No HIV. But has some data  
9 for pregnancy and sexually transmitted infections,  
10 and by induction, say, well, we think that there's  
11 sufficient data here for -- based on failure modes to  
12 say these devices are comparable and then infer the  
13 protection against pregnancy and STI. So I think  
14 that's why they probably walked us through each step.  
15 And then it might be that we can't get comfortable  
16 with three until we look at four and see what the  
17 bottom line is about what that leads to. But my  
18 understanding is that if we don't at least answer  
19 this question in the affirmative, that we're not  
20 recommending that it be approved. Is that correct?

21           DR. CEDARS: Well, I mean, that's a  
22 separate question. I mean, that motion hasn't been  
23 put to the Panel. These --

24           DR. PETERSON: Yeah, but I guess for the  
25 discussion now, we need to help us decide where we're

1 heading.

2 DR. D'AGOSTINO: I agree with what you just  
3 said, but let me make sure I'm understanding. I was  
4 under the impression that, and I may have  
5 misunderstood, but there was a feeling that if you do  
6 have a non-inferiority trial and it works on these  
7 particular -- breakage, slippage, and so forth, and  
8 it works on that, then the FDA has some comfort also  
9 saying that you can make -- you can infer it to the  
10 pregnancies and the STIs.

11 And the question before us, or the way I'm  
12 interpreting the question, are these confidence  
13 intervals tight enough or not including 2, or what  
14 have you, where we feel that even with all the faults  
15 in the study, these are still precise enough in  
16 showing that there's an equivalency. But once we say  
17 we thought there was an equivalency between them on  
18 these particular factors, then the rest has some sort  
19 of logic to it. Are we not dealing with that?

20 DR. PETERSON: Right. Yes.

21 DR. CEDARS: I mean, that's the way I  
22 understand it, yes.

23 DR. PADIAN: So then it's not on us to  
24 evaluate whether these are appropriate surrogates for  
25 those outcomes? It's just on us to evaluate whether

1 they're precise enough?

2 DR. CEDARS: Well, that's the point of  
3 Question 3. Question 1 was whether these were  
4 appropriate surrogates.

5 DR. PADIAN: Okay.

6 UNIDENTIFIED MALE SPEAKER: Yeah, exactly.

7 DR. CEDARS: So this just --

8 UNIDENTIFIED FEMALE SPEAKER: For  
9 pregnancy --

10 DR. CEDARS: -- whether having answered  
11 Question 1 affirmative, the question is, is the data  
12 presented and the numerical data, the statistical  
13 data, the confidence intervals, of such that we would  
14 accept that this is a successful non-inferiority  
15 trial.

16 DR. D'AGOSTINO: And just in terms of the  
17 answer I gave about if it's a sloppy study or lots of  
18 randomness, the intervals will tend to work in your  
19 favor in terms of saying they're equivalent, these  
20 are fairly tight --

21 UNIDENTIFIED FEMALE SPEAKER: They're  
22 pretty narrow.

23 DR. D'AGOSTINO: These are fairly tight  
24 intervals. So, you know, what usually happens that  
25 they're broad intervals. These are fairly tight

1 intervals. So even with the deficiencies, there's a  
2 lot of merit going on here.

3 DR. CEDARS: And so, then, I think in terms  
4 of the narrow question of whether or not this data  
5 shows non-inferiority, I think the answer would be  
6 yes to that narrow question. Are you comfortable  
7 with just our just addressing that narrow question  
8 and we'll go to the labeling, and then if you feel  
9 uncomfortable with that, we can come back, or do you  
10 want us to discuss that further?

11 DR. WHANG: So it sounds like you want to  
12 defer the issue as to whether this is reasonable  
13 assurance of safety and effectiveness for this  
14 proposed indication?

15 DR. CEDARS: For the two clinical outcomes.

16 DR. WHANG: Um-hum. Okay.

17 DR. CEDARS: Okay? The next, Question 4,  
18 has to do with labeling. And do we have a copy --  
19 can you put up a copy of the current label for FC1?  
20 Oh, is it here? No, this is not it --

21 DR. STUBBLEFIELD: It's on the condom.

22 DR. CEDARS: Oh, it's on the packet.

23 DR. WHANG: I think it's on everybody's  
24 desk, or on the tables.

25 UNIDENTIFIED MALE SPEAKER: It's on FC1 --

1 DR. WHANG: It's this paper copy.

2 DR. CEDARS: So it's this, okay, because  
3 this is the one that has the four points that  
4 Mr. Pollard brought up earlier, which is:

5 "The latex condoms for men are highly  
6 effective at preventing STIs, including  
7 AIDS and HIV. If you are not going to use  
8 a latex male condom, you can use FC female  
9 condom to help protect yourself and your  
10 partner. FC female condom only works when  
11 you use it. Use it every time you have  
12 sex. Before you try FC female condom, be  
13 sure to read the directions and learn how  
14 to use it properly."

15 UNIDENTIFIED FEMALE SPEAKER: And then it  
16 says -- protects against --

17 DR. CEDARS: And then on the front, it  
18 says, "Intended to provide protection against  
19 pregnancy and STD, including AIDS/HIV infection.

20 So the question is, and this is for the  
21 current -- this is what's on FC1 currently. And so  
22 the proposal of the Sponsor was to keep the labeling  
23 the same for FC2. And so what information -- is  
24 there additional information that should be included  
25 in terms of failure modes, and then any other

1 comments regarding the labeling? Dr. Sharp?

2 DR. SHARP: I think there was also a  
3 question or a comment that there was going to be some  
4 instruction in terms of holding the outer ring in  
5 place, as to whether that ought to be on the label,  
6 and I think, I mean, I would certainly be in favor of  
7 that, to reduce the slippage and invagination.

8 DR. CEDARS: Dr. Katz?

9 DR. KATZ: Two things. On the front of  
10 this, it says the device is intended, not that it  
11 does prevent these adverse events, and on the back  
12 side it says to help protect yourself. It doesn't  
13 say it will protect you. It says it would help  
14 protect you.

15 DR. CEDARS: But I would wonder if a  
16 consumer would be savvy enough to get those  
17 subtleties.

18 DR. KATZ: Well, I think this gets into  
19 what are the requirements for specificity in the  
20 labeling of products, then. I mean, I don't find  
21 this labeling inconsistent with any of the  
22 uncertainties that we have debated today, you know,  
23 the biological uncertainties. It's just, you know,  
24 do we want to make -- I mean, we could certainly pose  
25 a more dire warning, but this isn't wrong.

1 DR. CEDARS: Dr. Peterson?

2 DR. PETERSON: Just as a point of  
3 clarification, could we ask the FDA, are we -- is re-  
4 labeling of the FC1 on the table now, or are we just  
5 talking about what in addition --

6 DR. CEDARS: No, this is labeling of the  
7 FC2 only.

8 DR. PETERSON: But we have to keep the FC1  
9 labeling and add to it? Is that --

10 DR. CEDARS: No. The FC2 labeling could  
11 be -- the intent of the Sponsor was to keep the FC1  
12 labeling. The labeling for FC2 I don't think has,  
13 other than the proposal, we're not sort of -- we  
14 don't have to keep that. We could recommend that  
15 that be modified. Dr. Mazzaro [sic]?

16 DR. MARRAZZO: It's Marrasso.

17 DR. CEDARS: Marrasso?

18 DR. MARRAZZO: That's correct.

19 DR. CEDARS: I keep getting my Z's and R's  
20 mixed up.

21 DR. MARRAZZO: No worries. So I guess I  
22 keep going back to the question I asked earlier about  
23 the original labeling and then the desire and impetus  
24 to do the Macaluso study and the results from that  
25 study not resulting in any change in the labeling,

1 and, to me, it's hard to imagine, again, as I think  
2 David said, why we -- how we could change the  
3 labeling based on that that labeling's been sustained  
4 on, you know, data that was supportive of those  
5 claims even though it's clearly not definitive.

6 I guess the question is whether there are  
7 concerning signals from the RHRU study that would  
8 mandate inclusion of some other cautions, that there  
9 might be things that women need to worry about  
10 because of these signals that we are sort of talking  
11 about, the invagination stuff primarily. And so I  
12 don't think with regard to the STI/HIV stuff and the  
13 pregnancy stuff there really should be any  
14 difference.

15 DR. CEDARS: Other comments? Dr. Gilliam?

16 DR. GILLIAM: Where does this come in?  
17 Does the patient receive this?

18 DR. CEDARS: Doctor --

19 DR. GILLIAM: They do?

20 DR. CEDARS: Yes, the patient receives --

21 DR. GILLIAM: Okay.

22 DR. CEDARS: -- this with the specimen  
23 [sic], with the --

24 (Laughter.)

25 DR. LEEPER: May I answer it?

1 DR. CEDARS: Can you answer that for us,  
2 please?

3 DR. GILLIAM: Yeah.

4 DR. LEEPER: Yes, sure, I'd be happy to.  
5 This is the official labeling, and, for instance, you  
6 go down to Rite Aid and you buy the female condom --

7 DR. GILLIAM: Right.

8 DR. LEEPER: They'll be in a box of five.

9 DR. GILLIAM: Oh, I see.

10 DR. LEEPER: There will be five female  
11 sachets in the box --

12 DR. GILLIAM: With this labeling --

13 DR. LEEPER: Along with this.

14 DR. GILLIAM: Okay.

15 DR. LEEPER: And I'd like to bring your  
16 attention to number five when you look at the  
17 instructions for use, and you can see that we are  
18 suggesting -- this issue about invagination has been  
19 the major failure mode, and we have from the get-go  
20 advised that the woman hold the device, the outer  
21 ring, to prevent that invagination.

22 DR. GILLIAM: Right, that was -- that was  
23 what my question was about. If they have this, and  
24 this says all of the other things we've --

25 DR. CEDARS: Thank you.

1 DR. GILLIAM: -- raised, do we need to put  
2 it on the outside of the packet.

3 DR. CEDARS: The comment about the holding  
4 that Dr. Sharp brought up --

5 DR. GILLIAM: Right --

6 DR. CEDARS: I would think -- well, that's  
7 open for discussion, but, I mean, I think we, you  
8 know, knowing that it's here, I wouldn't think that  
9 that would necessarily need to be on the outside of  
10 the packet --

11 DR. GILLIAM: Right.

12 DR. WHANG: Yeah. There may be some  
13 different, you know, families of information that you  
14 think should be on the paper insert that comes with  
15 each package as compared to the package labeling for  
16 the sealed package that, you know, the user opens  
17 every time and has a chance to read every time  
18 they're going to use the device.

19 DR. CEDARS: Ms. George?

20 MS. GEORGE: If I look at the package for  
21 the FC2, it does have the pictorials that do seem to  
22 line up with the numbers of the instructions as well,  
23 so it does seem to have some correlation. And I  
24 thought I heard them say they wanted to have this  
25 labeling on the packages as well because it was so

1 valuable to have it.

2 UNIDENTIFIED FEMALE SPEAKER: Right.

3 DR. CEDARS: So these pictures would be on  
4 it as well --

5 MS. GEORGE: Yeah. And then the second  
6 thing I wanted to point out is, is if you look at I  
7 guess it's the kind of the -- from the front, there's  
8 a section that actually talks about how it was tested  
9 and does mention things about the sexually  
10 transmitted diseases, as well as AIDS, how it was or  
11 was not tested, and it also talks about the whole  
12 pregnancy aspect. And if you look in the  
13 precautions, the very first item basically says if  
14 you don't use this, you're at a higher risk. It  
15 doesn't say that it prevents it. So --

16 DR. CEDARS: I think that's helpful, thank  
17 you, that the pictures are on the outside of the FC2  
18 package labeling, but it also no longer has the four  
19 points that the FDA had talked about, which is to  
20 use -- the choice, the first choice should be a male  
21 condom.

22 MS. GEORGE: I think this is because --

23 DR. LEEPER: No --

24 MS. GEORGE: -- that's the European, non-  
25 U.S. version right now. They hadn't had -- they

1 wouldn't have the labeling for U.S. requirements on  
2 here yet.

3 DR. LEEPER: In your Panel pack -- sorry.  
4 In the Panel pack, we did lay out for you how we  
5 would put the pictures as well as the four key points  
6 on the sachet itself.

7 DR. CEDARS: Thank you.

8 DR. LEEPER: You're welcome.

9 DR. CEDARS: Dr. Hillard?

10 DR. HILLARD: My concern about looking at  
11 the pictures is that I can hardly see them. So this  
12 says to me it's designed --

13 DR. CEDARS: For young women --

14 DR. HILLARD: -- for young women and not --

15 (Laughter.)

16 DR. HILLARD: Maybe not menopausal women.

17 DR. CEDARS: Any other comment? Yes,  
18 Dr. Whang?

19 DR. WHANG: Can I bring your attention to  
20 Part A of the question here, and, in particular, the  
21 portion of the paper insert labeling that Ms. George  
22 highlighted, you know? It's common, you know, in  
23 their clinical study supporting a device that the  
24 labeling would include some description of the  
25 clinical study that demonstrate the safety and

1 effectiveness of this device. And you can see the  
2 information that's been used with FC1. So we would  
3 like the Panel's input as to whether there should be  
4 additional specific information about the failure  
5 modes study or not.

6 UNIDENTIFIED FEMALE SPEAKER: Can you  
7 direct us to where that is on this?

8 DR. WHANG: Yeah, if you look at the side  
9 that has the pink numbers, one, two, three, four,  
10 five, up to seven --

11 UNIDENTIFIED FEMALE SPEAKER: Um-hum.

12 DR. WHANG: On the left most panel, there's  
13 a precaution, and then the second panel, it says how  
14 FC female condom was tested.

15 UNIDENTIFIED FEMALE SPEAKER: Okay.

16 DR. WHANG: And then there it has the  
17 pregnancy rates and such.

18 DR. CEDARS: So the question the FDA is  
19 asking is, is if the information regarding breakage,  
20 misdirection, invagination, and slippage should be  
21 incorporated into that sheet, right? So, okay, so,  
22 thank you. So you're asking in Question A if the  
23 specifics, the percent occurrence, or the likelihood  
24 for each of the individual failure rates should be  
25 included in here?

1 DR. WHANG: Correct.

2 DR. CEDARS: So should there be a total?  
3 Should they each be included individually? Are there  
4 comments or thoughts from the Panel?

5 DR. MARRAZZO: Well, for it to be accurate,  
6 you'd have to have a complete methodologic  
7 description of how those things were assessed, which  
8 would be really challenging. I mean, not really  
9 complete, and I'm being a little facetious, but given  
10 the concerns about the accuracy of defining those  
11 outcomes, I think it could be tough. It would be a  
12 wide range for each of them.

13 DR. HILLARD: I think we're --

14 DR. CEDARS: Dr. Hillard?

15 DR. HILLARD: We're confused by this  
16 nomenclature. If you take many of our patients, they  
17 would be tremendously confused by it.

18 DR. CEDARS: What about a total failure  
19 rate? I mean, what does it say it -- or not failure  
20 rate, but --

21 DR. MARRAZZO: Failure mode rate.

22 UNIDENTIFIED FEMALE SPEAKER: Failure mode,  
23 failure mode --

24 DR. CEDARS: Failure mode rate. Not  
25 failure rate in terms of conception, but failure --

1 improper use of the -- yeah, something about -- would  
2 that -- so you wouldn't have to say specifically  
3 slippage, which might be nothing, likely would mean  
4 nothing to the consumer. But if you said, you know,  
5 even, you know, since one of the comments is that you  
6 should use it with every sex act, risk for improper  
7 use, or something, I mean. Would that be important,  
8 does anyone think? Dr. Thomas?

9 DR. THOMAS: I think that the, especially  
10 under Figure H in the information above, it just  
11 tells people to stop if they feel that things aren't  
12 proper with the use of the device. I think it would  
13 be very confusing. I mean, we spent a large portion  
14 of our day talking about the differences between  
15 slip-in, slip-off, clap-on, clap-off.

16 (Laughter.)

17 DR. THOMAS: I think because of that, I  
18 think this is probably more than enough without  
19 bringing in another element of confusion.

20 DR. CEDARS: Dr. Gilliam and then  
21 Dr. Zenilman.

22 DR. GILLIAM: Since from the data that we  
23 have it doesn't seem as if FC2 is different than FC1,  
24 I'm not sure why we would introduce new labeling in  
25 terms of slippage mode. And just wearing my clinical

1 hat, my bias is to scare people less about  
2 contraception because at the end of the day, that's  
3 the biggest issue is that --

4 DR. CEDARS: Anything is better than  
5 nothing.

6 UNIDENTIFIED MALE SPEAKER: Right.

7 DR. GILLIAM: People say, oh, I'm not going  
8 to use it because this is going to happen.

9 DR. CEDARS: Dr. Zenilman?

10 DR. ZENILMAN: Yeah, I want to echo  
11 Melissa's points, which I agree. But, also, I had  
12 some concerns because this is phrased very carefully.  
13 And the website, though, says, if you go to the home  
14 page of the company, they said the FC female condom  
15 has high acceptability among both men and women in  
16 many countries and provides dual protection against  
17 the transmission of STIs, including HIV/AIDS, and  
18 unintended pregnancy, which is a much stronger  
19 statement.

20 DR. CEDARS: Unfortunately, we don't have  
21 control over the website --

22 DR. ZENILMAN: Okay.

23 DR. CEDARS: Just what's in front of us.  
24 Dr. Marrazzo?

25 DR. MARRAZZO: Yeah, sorry, I just wanted

1 to point out that there is actually a fairly  
2 extensive section, problem using female condom. I  
3 mean, they actually --

4 DR. CEDARS: Right.

5 DR. MARRAZZO: -- talk about some women  
6 have reported problems. One of the problems is the  
7 outer ring can be pushed inside. Some have reported  
8 penis slipped to the side, other problems,  
9 difficulty, yada, yada, yada. So, to me, if  
10 anything, there are -- to my mind, this is adequate,  
11 and I would not feel compelled to expand on it.

12 DR. CEDARS: Yeah, I don't think, to just  
13 clarify, I don't the FDA was specifically asking or  
14 stating we should, but it was more of a question. So  
15 I think those points are well taken. Dr. Padian, and  
16 then we can wrap this up.

17 DR. PADIAN: Okay. But maybe this is  
18 completely obvious. It is going to say, however, how  
19 this was tested, right, which was this was tested for  
20 whatever -- in comparison to FC1? It's not going to  
21 just lift the FC1 data, knowing that they are  
22 comparable, then use those data, right?

23 DR. WHANG: We can take that as your  
24 recommendation.

25 DR. PADIAN: Well, I think you have to be

1 truthful about --

2 DR. WHANG: That's certainly, yes --

3 DR. PADIAN: -- about what was done.

4 DR. CEDARS: Well, I mean, so you're  
5 talking about a statement that because this talks  
6 about pregnancy outcome that FC2 has not -- there's  
7 no clinical data available but was found to have a  
8 similar failure rate --

9 DR. PADIAN: Well, I don't know that you  
10 need to say it that way. Maybe you can say that  
11 through lab -- I'm not wordsmithing, but it's  
12 comparable to FC1, which was, and then what was shown  
13 with FC1, something like that.

14 DR. WHANG: We could follow up on that sort  
15 of concept.

16 DR. PADIAN: Okay.

17 DR. CEDARS: Okay. So I think the  
18 consensus is that no real change in labeling over  
19 what is currently in place. So, given that, does  
20 anyone want to go back to Question 3 just briefly,  
21 and are we comfortable given the data that we have,  
22 given the FC1 data, given our comments regarding  
23 Question 1 and Question 2, how comfortable are we  
24 with the conclusion of safety and effectiveness  
25 barrier protection against pregnancy and STI?

1 DR. STUBBLEFIELD: Enough.

2 DR. CEDARS: Enough? Yes?

3 UNIDENTIFIED FEMALE SPEAKER: Good enough,  
4 I'd say.

5 UNIDENTIFIED FEMALE SPEAKER: Yeah, good  
6 enough.

7 DR. CEDARS: Some dis-ease, but good  
8 enough?

9 UNIDENTIFIED FEMALE SPEAKER: Good enough.

10 UNIDENTIFIED FEMALE SPEAKER: Well, I have  
11 dis-ease with male condom also.

12 DR. CEDARS: Okay. Question 5 has to do  
13 with the postmarket plan, proposing postmarket  
14 approval requirements, including quality release,  
15 corrections, removals, and this lists the standard  
16 postmarket expectations of the FDA. And so the  
17 question is, is there anything else that you would  
18 request of the Sponsor postmarket other than the  
19 standard reporting requirements. Hearing none, then  
20 I would suggest the answer to that --  
21 Dr. Stubblefield?

22 DR. STUBBLEFIELD: I wouldn't request it --

23 DR. CEDARS: I'm sorry?

24 DR. STUBBLEFIELD: I wouldn't request it,  
25 but I would hope that what might happen is what

1 happened after FC1; the FDA director might twist the  
2 arm over at the NIH to get them to spend some money  
3 in this direction.

4 DR. CEDARS: We can certainly share that  
5 with the FDA -- let them share that with NIH.

6 DR. STUBBLEFIELD: Christmas gift.

7 DR. CEDARS: Okay. What I would like to do  
8 is take -- oh, I'm sorry, Dr. Peterson?

9 DR. PETERSON: Just following up on that  
10 point, because I would concur that we don't want to  
11 ask the Sponsor to be responsible for that. I think  
12 that it's almost now, speak or forever hold our peace  
13 on the female condom effectiveness, and that what we  
14 have, having been involved with the CDC and WHO  
15 studies, whether they'll be without some -- further  
16 studies on the effectiveness of the female condom,  
17 now the FC2, is unclear. So what we've got is  
18 assumptions on assumptions on assumptions on  
19 assumptions. And the question is, will we ever see  
20 the train get to the other end. And I think there is  
21 a serious reason to question whether that will ever  
22 happen.

23 DR. CEDARS: But I think we can't really  
24 recommend a postmarket study that would be  
25 another --

1 DR. PETERSON: Right, right, right.

2 DR. CEDARS: I mean, we can't --

3 DR. PETERSON: And that's why I wanted to  
4 say from the outset that I'm not recommending that,  
5 but I do think that Phil's point is very important.  
6 And if the rest of the group concurs that there be  
7 some sentiment expressed that further studies for the  
8 public good would be helpful.

9 DR. CEDARS: Dr. Marrazzo, did you --

10 DR. MARRAZZO: Yeah, I don't want to  
11 belabor the point. I just want to say there are  
12 plenty of interventions that compliant, and  
13 consistent uptake depend on patient preference and  
14 willingness to adopt the intervention. And so  
15 there's a lot of precedent for doing studies where it  
16 is not the perfect, wholly, triply blessed randomized  
17 double-blind trial where you do -- you know, people  
18 know what they want. They take that and you go with  
19 that, and that might be something that we need to  
20 think about in terms of really studying this. So  
21 it's doable. It's just challenging, and no one knows  
22 that better than many of the people on the Panel.

23 DR. CEDARS: Dr. Hillard for the final  
24 comment for this.

25 DR. HILLARD: Just very briefly to add on

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 to Dr. Stubblefield's comment in terms of any studies  
2 that would be done in the future. I think some of us  
3 have made these comments to the FDA in the past. But  
4 in terms of the individuals who are included in  
5 studies, I would make a plea to include adolescents  
6 and other groups that are not traditionally studied  
7 in the studies that we've seen in the past.

8 DR. CEDARS: Thank you. So we will end the  
9 discussion here. We will take a ten-minute break and  
10 then return for the second open public hearing. So  
11 return at 4:00.

12 (Off the record.)

13 (On the record.)

14 DR. CEDARS: 4:00, and we're now going to  
15 resume the meeting, and we'll now proceed with the  
16 second open public hearing. Prior to the meeting, we  
17 received formal requests to speak during today's open  
18 public sessions. Our first speaker is Dr. Diana  
19 Zuckerman, if you'd please come forward to the  
20 microphone. And, again, if I could remind the  
21 speakers this afternoon, as stated earlier this  
22 morning, if you would let us know your name and your  
23 affiliation and any potential conflicts of interest.

24 MS. ALLINA: Is this where you want me, or  
25 you're pointing you want me somewhere else?

1 DR. CEDARS: No, right there, perfect.

2 MS. ALLINA: Okay. So I'm not Diana  
3 Zuckerman. I'm Amy Allina, but Diana was -- had a  
4 medical emergency that made it impossible for her to  
5 come speak, and I'm just going to make a very brief  
6 statement on her behalf.

7 So Diana is the president of the National  
8 Research Center for Women and Families. The center  
9 does not accept financial support from pharmaceutical  
10 companies or medical device manufacturers and has no  
11 conflicts of interest with the matters before the  
12 Committee today. And in the interest of time and  
13 because the Committee has had such a full discussion  
14 at this point, I'm just going to say that Diana was  
15 recommending that the Committee, was urging that the  
16 Committee recommend approval. And she really agreed  
17 with many of the points that the Committee discussed  
18 regarding the data, both in terms of what some of the  
19 concerns are but also how to put those concerns in  
20 context of the decisions before you today.

21 So her summary was that she believes the  
22 data are persuasive that the new female condom is  
23 comparable to the previously approved female condom  
24 in terms of safety and effectiveness and that this  
25 product is greatly needed and has the potential to

1 save lives. And she urged the Advisory Panel to  
2 recommend the FDA to approve the new female condom.

3 DR. CEDARS: Thank you very much. The next  
4 speaker is Jeff Spieler.

5 MR. SPIELER: Thank you. I'm Jeff Spieler.  
6 I am from USAID. I'm the Senior Technical Advisor  
7 for Science and Technology in Population and  
8 Reproductive Health. I have no financial interests  
9 or gain from this company, no conflict of interest.  
10 My colleague, Mark Rilling, spoke this morning. And  
11 our only relationship with the company is that we buy  
12 their product right now. So USAID is purchasing  
13 their product, which you heard this morning.

14 And I'm the person that Mary Ann Leeper  
15 referred to as the one who said you better make it  
16 cheaper and we need a less expensive product. And  
17 I'm here because of what Colin Pollard told the  
18 group, and that is that we're about public health  
19 impact, and the international perspective in this  
20 product is particularly important, and that's the  
21 perspective that I represent. And I have 40 years of  
22 work in reproductive health. I started when the  
23 mouse was invented I found out yesterday. And I've  
24 had a lot of work with WHO, I work there, and I  
25 continue to advise there, including advice on female

1 condoms.

2           USAID has supported research on female  
3 condoms since the beginning of the female condom and  
4 we supported the pivotal study that result -- through  
5 FHI and CONRAD that resulted in the PMA for FC1  
6 Reality. And we did that not because we thought it  
7 was a blockbuster in the United States, but we did it  
8 for the greater public good, and it also would permit  
9 USAID, who at the time would only by products that  
10 were approved by the FDA, by policy not by law, and  
11 we wanted it approved so that we could consider  
12 purchasing it.

13           And I should tell you that in the early  
14 years, from '93 to '97, we bought female condoms  
15 primarily for research. We weren't buying them to  
16 supply our field missions and programs. And we set  
17 up a research agenda, and we had critical questions  
18 that we wanted answered. And it wasn't until  
19 about -- and we were answering some of those  
20 questions. And a lot of them had to do with  
21 targeting and use and appropriate use. And while we  
22 didn't answer all those questions, we changed our  
23 mind about providing the product before all the  
24 answers to the research were in because of the  
25 pandemic, because we felt there was a great need for

1 that product. And we started providing female  
2 condoms in larger volumes starting around 2004. And  
3 you heard from Mark that we're now buying 8 to 10  
4 million, and globally, there's about 140 million of  
5 them that have been sold so far.

6           The Reproductive Health and Research Unit  
7 in Johannesburg and Durban I know quite well. I was  
8 involved in doing a visioning exercise for them in  
9 1999 and 2000. I visited Mags' facility. And I can  
10 tell you that their reputation for research,  
11 particularly the Durban group, is stellar. While we  
12 may have some problems with data, and I can tell you  
13 I've never met a study that I couldn't analyze and  
14 find some fault with, and while there are some  
15 faults, I think you've done a marvelous job in your  
16 discussions today addressing some of the issues and  
17 not necessarily letting those issue interfere with  
18 your discussion on how to answer those questions.

19           One of the things that I feel relatively  
20 strongly about is that when we do research on the  
21 female condom, when we did the reality trial, we  
22 actually studied that product in the totally wrong  
23 population. Why? Because they couldn't be at risk  
24 of STIs, it was a contraceptive trial, and the people  
25 who were in that study aren't the people who would

1 really be out there wanting to buy that product.  
2 While it can be used for dual purpose and it is, when  
3 used correctly and consistently, is highly effective  
4 for both contraception and I think for HIV, for STI  
5 prevention, the average kind of user would be more  
6 like the users that we saw in Durban.

7           Commercial sex workers. We're trying to  
8 target this product for the people who need it the  
9 most. That's commercial sex workers and bridge  
10 populations. And we want to have those people in our  
11 clinical trials. And, in fact, the Reproductive  
12 Health Drugs Division insists that now there be a  
13 broad range of the kinds of clients and trials that  
14 represent the people who would really use it. So I'm  
15 pleased with what you decided that another pivotal  
16 clinical trial was not necessary for approval of this  
17 PMA because if it were, what would that population  
18 look like? And it would look like a very different  
19 population than those who would actually be using it,  
20 and I don't think you would have -- as you said, you  
21 would not have gotten very important information from  
22 that trial that would change your decisions.

23           I really adjusted what I was going to say  
24 based on the fact of what I heard today. And I just  
25 wanted the prerogative of speaking in the event that

1 I was a little uncomfortable. But I think your  
2 decisions have really been very thoughtful.

3 I wanted to say that I'm really pleased  
4 that Colin brought this to the Panel because he told  
5 us that the PMA doesn't fit the current paradigm.  
6 And I was really pleased to hear that just because  
7 you make a decision that this product in that class  
8 doesn't need a contraceptive trial doesn't  
9 necessarily mean that all products in that class  
10 would get that by. I think it's a very wise choice.

11 And I want to talk a little bit about  
12 biological plausibility, something that we talked  
13 about all the time when we don't get results like we  
14 would like to have. People don't always behave in  
15 the manner which gives us the kind of results we  
16 would like to have. And the biological plausibility  
17 for the male condom to be highly effective against  
18 secretion-based STDs was part of the reason why we  
19 did so much more research after our 2000 meeting  
20 because, at that time, if you remember, all we could  
21 say was effective for HIV and gonorrhea in men, when  
22 all of us said it's crazy. If you use it and it's a  
23 good barrier, it's got to be effective in preventing  
24 gonorrhea in women, chlamydia and gonorrhea in women.  
25 And we went on to do more studies.

1           So we need more studies. Who is going to  
2 fund them is another issue. I think we would like to  
3 have, and I'm glad that you spoke to that, but I  
4 think the biological plausibility for the strength  
5 and the continuity of the product, that if people use  
6 it correctly and consistently, it will provide a high  
7 degree of protection.

8           From a personal point of view, I've tried  
9 just about every method I've ever worked on excepting  
10 female sterilization. My wife has been a willing,  
11 sometimes not so willing, compliant spouse in trying  
12 the things that I've worked on. So as soon as we had  
13 the female condom, we were using it. I had a pipe  
14 dream, and Lee knows this very well, that I wanted to  
15 work on inventing a male condom and work with condom  
16 manufacturers that made sex better with it than  
17 without. It would then be an easy sell. And I  
18 think -- I'm not very successful in doing that, but  
19 what comes closest to it is the male use of the  
20 female condom. And I can tell you right now that if  
21 I had to be a condom user, I would prefer to use a  
22 female condom with me donning it because I can tell  
23 you that with me donning it, I can insert it, and it  
24 stays in place after it's been inserted, and it is a  
25 much more pleasurable product, as far as I'm

1 concerned. And that sample of one, I think,  
2 anecdotally, is a sample of many.

3 So it's a highly --

4 DR. CEDARS: So if you could just sum up  
5 please?

6 MR. SPIELER: Okay. I will sum up. So for  
7 USAID and for the world to be able to take full  
8 advantage of the Female Condom 2 and the reduction in  
9 cost --

10 UNIDENTIFIED MALE SPEAKER: I think you're  
11 being paged. You're being paged.

12 MR. SPIELER: That's okay. Cut that part  
13 from the tape. The reduction in cost, which I  
14 understand there's break points, if we could actually  
15 -- if they could actually be selling 120 million  
16 units in a year, we might get it down to 25 cents.  
17 That cost reduction will result in a geometric, not  
18 an arithmetic, increase in the use because the price  
19 is actually a major factor. It ought not be, but it  
20 is. So a lower cost product will result in much more  
21 public health benefit, much more protected acts of  
22 sex, primarily for prevention of HIV and STIs. Thank  
23 you.

24 DR. CEDARS: Okay. And if I can just  
25 remind the Panel that cost is not an issue in your

1 final decision. The next speaker is Anna Forbes.

2 MS. FORBES: Hi. I was worried about  
3 bringing a personal perspective to my statement until  
4 I heard Jeff. Not worried anymore.

5 (Laughter.)

6 MS. FORBES: I'm the deputy director for  
7 the Global Campaign for Microbicides. We're a broad-  
8 based international coalition of organizations  
9 working to accelerate access to new prevention  
10 options especially for women. We do not fund or  
11 develop any products nor do we receive any corporate  
12 funding. We are simply advocates working with -- in  
13 collaboration with hundreds of NGOs worldwide.

14 I have cut out most of my statement  
15 because, A, I think you've heard it all already and,  
16 B, I think that you have reached very wise  
17 conclusions and don't need to hear it. But I did  
18 want to share this one piece with you. I had the  
19 very good fortune to travel through four countries in  
20 Eastern Africa earlier this year and meet with the  
21 staff of 27 NGOs in the region. And we heard over  
22 and over there that while there are acceptability  
23 issues around the female condom, these are far  
24 outweighed by the unmet demand for them in the  
25 region.

1           People have had limited experience with  
2 this prevention tool in the region as a result of the  
3 first acceptability trials that were done there in  
4 the 1990s. But after those acceptability trials were  
5 over, female condoms in many, many areas basically  
6 just disappeared and since then have been  
7 consistently either unavailable or unaffordable to  
8 women and to the NGOs who serve them. The NGO staff  
9 we met with told us that there are many women who  
10 want to use the female condom but either can't find  
11 it on the shelves or can't find it at a price they  
12 can afford even when it is there.

13           Let's see. Your decision to approve the  
14 FC2 condom offers us one important additional  
15 advantage that has been discussed somewhat today but  
16 not a whole lot. There's a general consensus, I  
17 think, in the field that insufficient introductory  
18 work actually went -- combined with provider bias  
19 really inhibited uptake of the FC1 when it first  
20 appeared in the 1990s, certainly in the U.S. and  
21 Europe and also probably in other areas of the world.  
22 So the introduction of the FC2 on the market, if  
23 we're able to do that, provides an opportunity to  
24 sort of reintroduce the female condom as a method of  
25 contraception and HIV prevention and promote the next

1 generation version of that as a product that may  
2 address some of the acceptability problems identified  
3 with the FC1. And we all know from watching  
4 television in the evening, or whatever, that there's  
5 nothing that the market likes better than new or  
6 better, more improved.

7           So I think that we will have an  
8 opportunity, if we make good use of it this time,  
9 especially in the developing world where the rates of  
10 HIV are so high, to present the product in a new  
11 light, to present it to broader audiences, to really  
12 focus attention on it and clarify misconceptions that  
13 may exist around it. And with all due respect to all  
14 of my colleagues here, I don't necessarily see it as  
15 something that's just for use by sex workers or even  
16 primarily for sex workers. We heard a great deal of  
17 interest in it expressed among women, particularly  
18 among women who are not sure what their partner's HIV  
19 status is and who may not have the power in their  
20 relationship to insist that he have an HIV test,  
21 women who really want to protect themselves who may  
22 have no other risk than their married partner, or  
23 their long-term partner, but know that they need  
24 something just in case.

25           I heard a wonderful expression by one

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 Rwandan woman we were working with who said, "Just  
2 tell him if you don't put on yours, I'll put on  
3 mine."

4 I also heard a very sad story from another  
5 Rwandan service provider who pointed out to me that  
6 she was doing presentations on the female condom in  
7 her population that she served, even though she had  
8 never seen one herself. She thought that the  
9 possibility of it was so important that people needed  
10 to know about it. But she had no access to them.

11 We heard other stories of educators who  
12 showed the two or three female condoms they had,  
13 again, because they thought that the people they  
14 served deserved to know that this existed. But when  
15 people asked them afterwards if they could have that  
16 female condom, they had to say, "No, this is the only  
17 one we have. We can't get it to you."

18 So anything that increases supply I think  
19 will be very, very welcome. I want to close with the  
20 words of a colleague, Lucas Maquizu (ph.), whom I met  
21 in Tanzania. In Tanzania, in the hardest hit areas  
22 of Tanzania, the rate of HIV infection among women of  
23 reproductive age goes as high as 24 percent. We  
24 asked Mr. Maquizu, among other things, how he thought  
25 that the men he worked with would feel about

1 increased access to female condoms because some  
2 people had raised the concern of, oh, our men won't  
3 like it, we can't use it. He said most families have  
4 been affected by HIV. People want to avoid death.  
5 So there is a possibility of change of attitude. But  
6 there must be education and access going together.

7           Mr. Maquizu's organization and dozens of  
8 others like it very much want to have male -- female  
9 as well as male condoms to distribute into the  
10 communities they serve. They are ready and willing  
11 to do the education and promotion, but they need help  
12 getting the female condom into their hands. So I  
13 congratulate you on your deliberations today. I was  
14 very gratified to hear some of your conclusions. And  
15 the Global Campaign for Microbicides and our many  
16 partners thank you for your efforts.

17           DR. CEDARS: Thank you. Does someone have  
18 questions for -- no? And the final speaker for the  
19 second public open hearing is Beth Jordan.

20           DR. JORDAN: Good afternoon. My name is  
21 Beth Jordan. I am an internist formally of the Mayo  
22 Clinic, and I currently serve as the medical director  
23 of the Association of Reproductive Health  
24 Professionals, ARHP.

25           For nearly 50 years, ARHP has established

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 itself as the leading source of trusted medical  
2 education and information on reproductive and sexual  
3 health matters. We advocate for evidence-based  
4 clinical education, provider training, and patient  
5 counseling to ensure the best quality patient care  
6 and healthcare outcomes. Our membership is composed  
7 of 11,000 professionals who provide reproductive  
8 health services or education, conduct research, or  
9 influence reproductive health policy.

10           Because everyone's needs are unique and  
11 different, ARHP supports the availability of as many  
12 safe and effective contraceptive methods as possible.  
13 We believe this is of critical importance for good  
14 healthcare globally. I am here to express ARHP's  
15 support for any and all safe and effective  
16 contraceptive methods for the prevention of pregnancy  
17 as well as STIs, including HIV/AIDS. ARHP is pleased  
18 at the potential for a new and more cost-effective  
19 version of the female condom.

20           The female condom offers numerous benefits.  
21 Its use does not rely on the assistance of a  
22 healthcare provider. It is immediately reversible  
23 and has few or no side effects. Like any  
24 contraceptive method, with solid education from a  
25 healthcare provider or another trusted source, a

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 female condom can be used very effectively. Because  
2 it remains the only female-controlled HIV prevention  
3 tool, women who cannot negotiate condom use with  
4 their male partners will especially benefit from the  
5 availability of a female condom.

6 Making new safe and effective contraceptive  
7 technologies available and prioritizing provider  
8 training and patient education on these methods is  
9 paramount in helping women and men plan their  
10 families. Because everyone's contraceptive needs are  
11 unique, we support the availability of all safe and  
12 effective options. Thank you.

13 DR. CEDARS: Thank you. Are there any  
14 questions for Dr. Jordan?

15 (No response.)

16 DR. CEDARS: If not, then it is time to  
17 close the open public hearing, and we'll now proceed  
18 to the FDA and Sponsor summaries. Are there any  
19 further comments or clarifications from the FDA?

20 DR. WHANG: No.

21 DR. CEDARS: If not, is there any further  
22 comment or clarification from the Sponsor?

23 DR. LEEPER: I was going to summarize what  
24 we've just been talking about for eight hours, and I  
25 don't think that that's necessary except first to

1 tell you that FC1 has been on the market for 16  
2 years, and it does a good job. It offers a good  
3 option for women. We have not, in the 16 years, we  
4 have 60 -- total complete total -- 63 comments that  
5 we have gotten in terms of side effects. Sixty-three  
6 in 16 years. And the majority, 90 some percent of  
7 them are minor irritation. So I think that's an  
8 important piece for you to go back and think about,  
9 you know? FDA agrees, you know, FC2 is comparable,  
10 is non -- has been found non-inferior to FC1, and I  
11 want you to feel comfortable about FC1.

12           And now I want to thank FDA for the hard  
13 work that they have done to evaluate our data and  
14 spend the time that they have spent working. Elaine  
15 and I, back and forth, questions, scrubbing the data,  
16 and trying to probe all of the aspects of the data,  
17 and I think we have had a very successful and  
18 productive experience over the last three years in  
19 doing that. And I want to thank you all for the time  
20 that you've spent in reviewing that Panel pack, not a  
21 easy job, and probing the issues and discussing them  
22 this afternoon and this morning. And so thank you  
23 all very much. And I'll be eager to hear what  
24 happens next. Thanks.

25           DR. CEDARS: Thank you. We are now ready

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 to vote on the Panel's recommendation to the FDA for  
2 this PMA. And Dr. Bailey will now read the Panel  
3 recommendation options for a premarket approval  
4 application. Dr. Bailey?

5 DR. BAILEY: The medical device amendments  
6 to the Federal Food, Drug and Cosmetic Act, as  
7 amended by the Safe Medical Devices Act of 1990,  
8 allows the Food and Drug Administration to obtain a  
9 recommendation from an expert advisory panel on  
10 designated medical device premarket approval  
11 applications that are filed with the Agency. The PMA  
12 must stand on its own merits, and a recommendation  
13 must be supported by safety and effectiveness data in  
14 the application or by applicable, publicly available  
15 information.

16 The definitions of safety, effectiveness,  
17 and valid scientific evidence are as follows:

18 Safety: There is reasonable assurance that  
19 a device is safe when it can be determined, based  
20 upon valid scientific evidence, that the probable  
21 benefits to health from use of the device for its  
22 intended uses and conditions of use, when accompanied  
23 by adequate directions and warnings against unsafe  
24 use, outweigh any probable risks.

25 Effectiveness: There is reasonable

1 assurance that a device is effective when it can be  
2 determined, based upon valid scientific evidence,  
3 that in a significant portion of the target  
4 population, the use of the device for its intended  
5 uses and conditions of use, when accompanied by  
6 adequate directions for use and warnings against  
7 unsafe use, will provide clinically significant  
8 results.

9           Valid scientific evidence is evidence from  
10 well-controlled investigations, partially controlled  
11 studies, studies and objective trials without matched  
12 controls, well-documented case histories conducted by  
13 qualified experts, and reports of significant human  
14 experience with a marketed device from which it can  
15 fairly and responsibly be concluded by qualified  
16 experts that there is reasonable assurance of safety  
17 and effectiveness of a device under its conditions of  
18 use. Isolated case reports, random experience,  
19 reports lacking sufficient detail to permit  
20 scientific evaluation, and unsubstantiated opinions  
21 are not regarded as valid scientific evidence to show  
22 safety or effectiveness.

23           Your recommendation options for the vote  
24 are as follows:

25           Approval: If there are no conditions

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 attached.

2           Approvable with conditions: The Panel may  
3 recommend that the PMA be found approvable subject to  
4 specified conditions, such as physician or patient  
5 education, labeling changes, or a further analysis of  
6 existing data. Prior to voting, all of the  
7 conditions should be discussed by the Panel

8           Not approvable: The Panel may recommend  
9 that the PMA is not approvable if the data do not  
10 provide a reasonable assurance that the device is  
11 safe or the data do not provide a reasonable  
12 assurance that the device is effective under the  
13 conditions prescribed, recommended, or suggested in  
14 the proposed labeling.

15           Following the voting, the Chair will ask  
16 each Panel member to present a brief statement  
17 outlining the reason for his or her vote.

18           Dr. Cedars?

19           DR. CEDARS: Are there any questions from  
20 the Panel regarding these voting options before I ask  
21 for a motion?

22           (No response.)

23           DR. CEDARS: Seeing none, do I have a  
24 motion regarding the approvability of this PMA?  
25 Dr. Peterson?

1 DR. PETERSON: Motion for approval.

2 DR. CEDARS: Is there a second?

3 Dr. Hillard? So this motion has now been first --  
4 we've now had a first and a second on the motion of  
5 approvability. Is there any discussion regarding  
6 this motion? Dr. Davis?

7 DR. DAVIS: We earlier discussed the  
8 concept that if you don't speak now, you may forever  
9 not be heard, and although we discussed it briefly,  
10 if there -- and maybe this isn't an addendum to it,  
11 but I do feel strongly that it would really be nice  
12 to encourage the NIH/HIV section to consider studies  
13 on the female condom. And I don't know how we make  
14 that recommendation. But, I mean, this is something  
15 if we will never really get the eloquent data that we  
16 got on the male condoms if we don't encourage that.  
17 And if there is a way to -- we have to formally say  
18 that, that we strongly recommend that they consider  
19 or is that just an informal thing that --  
20 recommendations.

21 DR. CEDARS: Well, a conditional approval  
22 is a different motion than approvable.

23 DR. DAVIS: Okay.

24 DR. CEDARS: And the kinds of statements  
25 that you're making would not be something that would

1 be returned to the Sponsor.

2 DR. DAVIS: Okay.

3 DR. CEDARS: So whether or not that's  
4 information that the FDA can take to the NIH, it's  
5 not something that we can add on to the process --

6 DR. DAVIS: Okay. All right. But as a  
7 group I think --

8 DR. CEDARS: It's been stated by several  
9 people.

10 DR. DAVIS: Yes.

11 DR. CEDARS: And I think that there is  
12 general interest that the FDA take this to the NIH as  
13 an important area for study.

14 DR. WHANG: Yes, we can do that.

15 DR. CEDARS: Thank you. Any further  
16 discussion?

17 DR. WARNER: I had one question.

18 DR. CEDARS: Dr. Warner?

19 DR. WARNER: Is the question whether we're  
20 approving as is with the current labeling as is?

21 DR. CEDARS: If this is approvable without  
22 conditions, then, yes, it's labeling as is. If there  
23 was a choice to change the labeling, then we would  
24 have -- it would be approvable with conditions. So  
25 the motion on the table is for approvable with no

1 conditions. Dr. Padian?

2 DR. PADIAN: Then I'm a little confused  
3 because I thought we had a little discussion that you  
4 at least have to be honest about how this was  
5 tested.

6 DR. WARNER: Exactly.

7 DR. PADIAN: And you seemed to say that  
8 that was fine and you would do that. So now do we  
9 have to build that into the approval?

10 DR. CEDARS: Well, what you would say then  
11 is that if you wanted to change the labeling, then  
12 this would be not -- this would be approvable with  
13 conditions, which would be -- so you would vote this  
14 motion down if that was what you wanted. This is  
15 approvable with no conditions.

16 DR. PADIAN: But then you would be saying  
17 this was tested in a way that it wasn't tested  
18 because --

19 DR. CEDARS: Right. But you can choose  
20 not -- you can not support this motion.

21 DR. PADIAN: Okay. Well, then I guess I  
22 actually would support it with being honest about the  
23 labeling.

24 DR. CEDARS: Okay. But that right now is  
25 not the motion.

1 DR. PADIAN: Okay. Sorry.

2 DR. CEDARS: So that may be -- so we will  
3 vote on the motion for approvable --

4 DR. PADIAN: With no changes whatsoever.

5 DR. CEDARS: With no changes whatsoever,  
6 which is the motion that's on the table. So are  
7 there other --

8 DR. STUBBLEFIELD: Can I just --

9 DR. CEDARS: Dr. Stubblefield?

10 DR. STUBBLEFIELD: Just clarify the  
11 existing pamphlet is going to be used with --

12 DR. CEDARS: Correct.

13 DR. STUBBLEFIELD: -- FC2?

14 DR. CEDARS: Correct.

15 DR. STUBBLEFIELD: Which has the labeling  
16 as done for FC1. That's going to carry forward. And  
17 we discussed the fact that the instructions deal with  
18 most of the concerns that might lead to a failure?

19 DR. CEDARS: Correct.

20 DR. STUBBLEFIELD: And that's given that  
21 that's going to happen. So we don't need to specify  
22 that.

23 DR. CEDARS: Well, that's part of the  
24 discussion is whether or not there is an issue about  
25 the labeling.

1 DR. PADIAN: No, sorry. I think I might  
2 have confused things. In the bit here where it says  
3 how the female condom was tested, is that considered  
4 part of the labeling?

5 DR. CEDARS: This is patient labeling --

6 DR. STUBBLEFIELD: Yes.

7 DR. CEDARS: This is patient information,  
8 yes?

9 DR. PADIAN: Oh.

10 DR. CEDARS: Okay. Dr. Warner, did you  
11 have a comment about this?

12 DR. WARNER: So this is part of the  
13 labeling?

14 DR. CEDARS: Yes.

15 DR. WARNER: So if we wanted to have a  
16 clarification on what was tested that lead to these  
17 results, it would have to be --

18 DR. PADIAN: Changed.

19 DR. WARNER: -- changed, right.

20 DR. CEDARS: Any further discussion on this  
21 motion?

22 (No response.)

23 DR. CEDARS: So we've had a first and a  
24 second for a motion for approvable. And if I could  
25 ask the voting members who concur with the statement

1 that the PMA is approvable, if I could ask for a show  
2 of hands for those who support this motion.

3 Dr. Peterson?

4 DR. PETERSON: I would be willing to  
5 withdraw the motion with the clarification so that we  
6 don't have to go through that process if you're  
7 agreeable.

8 DR. CEDARS: Then the second would also  
9 have to agree.

10 DR. HILLARD: I'm fine with that.

11 DR. CEDARS: Okay. So the motion has been  
12 withdrawn. Do we have another motion?

13 DR. PADIAN: I'm going to say it wrong.

14 DR. CEDARS: Dr. Padian?

15 DR. PADIAN: I'm not going to use --

16 DR. CEDARS: Approvable with conditions?

17 DR. PADIAN: Yes, thank you.

18 DR. CEDARS: Do we have a second?

19 DR. DAVIS: Second.

20 DR. CEDARS: Dr. Davis. So we have a first  
21 and a second approvable with conditions. So we go  
22 to -- we need to discuss the conditions. So does  
23 anyone want to recommend a condition. Dr. Davis?

24 DR. DAVIS: We need to change the wording  
25 on the labeling that says the FC condom was only

1 tested in humans for its ability to prevent pregnancy  
2 because this one wasn't. So change it to reflect  
3 what the studies were. Do we have to be specific  
4 what we want it today? All right.

5 UNIDENTIFIED MALE SPEAKER: Well, the  
6 concept.

7 DR. CEDARS: The concept.

8 DR. WHANG: Yes.

9 DR. CEDARS: So that the FDA -- so is the  
10 concept, do you want it to say that F --

11 DR. DAVIS: To be --

12 DR. CEDARS: I'm sorry. Go ahead, tell me  
13 what --

14 DR. DAVIS: Want it to be truthful that  
15 this condom was not tested but its predecessor was,  
16 or something like that.

17 DR. CEDARS: Is that sufficient information  
18 for you to address?

19 DR. WHANG: Yes.

20 DR. PADIAN: And you could say that it was  
21 deemed -- I don't want to say it confusing in a way  
22 the public would understand it, to be non-inferior to  
23 one that was tested, something to that effect.

24 UNIDENTIFIED FEMALE SPEAKER: Yes,  
25 absolutely.

1 DR. CEDARS: And is there a second to that  
2 condition? Dr. Katz? Any further discussion about  
3 that condition, that there be a change in labeling  
4 that acknowledged that the FC1 was tested but not the  
5 FC2, and we'll leave to the FDA that change? Any  
6 further discussion?

7 (No response.)

8 DR. CEDARS: Then if we can vote on that  
9 condition, we'll have a vote on that condition. If I  
10 can have a show of hands all in favor of the  
11 condition that the labeling may change to reflect  
12 that only FC1 was tested. So Dr. Hillard,  
13 Dr. Warner, Dr. Davis, Dr. Katz, Dr. Thomas,  
14 Dr. D'Agostino, Dr. Padian, Dr. Sharp, Dr. Ramin,  
15 Dr. Stubblefield, Dr. Zenilman, Dr. Gilliam,  
16 Dr. Marrazzo, and Dr. Peterson. So since that was  
17 all of the Committee members, then that condition  
18 passes. Are there any other conditions?

19 (No response.)

20 DR. CEDARS: A motion for additional  
21 conditions?

22 (No response.)

23 DR. CEDARS: If not, then we would move to  
24 a second vote, which is a vote approvable with  
25 conditions or a motion -- or no, I think we go

1 straight to the vote.

2 UNIDENTIFIED MALE SPEAKER: Page 21.

3 DR. CEDARS: Yeah. It's been moved and  
4 seconded that the Female Health Company PMA  
5 application P08002 for FC2 Female Condom be approved  
6 with one condition about which the Panel has just  
7 voted. We will now take a vote on the main motion,  
8 which is approvable with conditions, and with a show  
9 of hands, please indicate if you concur with the  
10 recommendation that the above named PMA be approvable  
11 with conditions. So, again, if I can ask everyone  
12 who supports the motion that this is approvable with  
13 a single condition about a change in labeling -- show  
14 of hands? Dr. Peterson -- okay -- this --

15 DR. DAVIS: Can I ask a point of  
16 clarification?

17 DR. CEDARS: Certainly.

18 DR. DAVIS: Does this include the outside  
19 labeling that was mentioned earlier about number five  
20 was mentioned that should that be on the outside  
21 label, about making sure that the outer ring was  
22 properly placed, because that was recommended by the  
23 study, as I understood it.

24 DR. CEDARS: But I think that we discussed  
25 that it was actually already in here in the

1 instructions --

2 DR. DAVIS: But can we also ask --

3 DR. CEDARS: -- and that the pictures  
4 were --

5 DR. DAVIS: Okay. So we don't want it on  
6 the outside of this? Okay.

7 DR. CEDARS: You can make a motion if  
8 you --

9 DR. DAVIS: No, I'm just asking.

10 UNIDENTIFIED FEMALE SPEAKER: We have a  
11 motion on the floor so --

12 DR. DAVIS: Okay. Yeah.

13 DR. CEDARS: So the motion on the floor is  
14 for approval with the single condition for the change  
15 in labeling regarding what was tested, FC1 versus  
16 FC2. Can I see a show of hands for all of those who  
17 concur with that motion? And that is unanimous.

18 It is recommended by the Panel to the FDA  
19 that the Female Health Condom PMA application P08002  
20 for the FC2 Female Condom be approved with the  
21 previously voted upon one condition. I will now ask  
22 each Panel member to state the reason for his or her  
23 voting. If we can start with Dr. Peterson.

24 DR. PETERSON: Well, we had evidence to  
25 address the comparability of the FC2 to the FC1 in

1 addition to the in vitro testing of the bearer  
2 properties and the integrity of the material per se.  
3 We had a clinical study that looked at failure modes  
4 as an endpoint. There have been some questions about  
5 the methodology. I think it was said that every  
6 study can be criticized. I actually think this  
7 was -- study had substantial methodologic strengths  
8 and balance that it showed that the FC2 is not  
9 inferior to the FC1.

10 DR. CEDARS: Thank you. Dr. Marrazzo?

11 DR. MARRAZZO: I would say that the data  
12 aren't perfect, but consistent trends support that  
13 FC1 probably does protect against some unintended  
14 pregnancy, STDs and HIV, and that the failure study  
15 that we discussed today as the pivotal trial was  
16 really generally consistent in supporting that those  
17 two condoms are equivalent. And then, finally, that  
18 the need is just very great for women to have a  
19 female-controlled method and an additive way to  
20 protect themselves and that the benefits far outweigh  
21 the risks in my estimation.

22 DR. CEDARS: Dr. Gilliam?

23 DR. GILLIAM: I would agree. I think the  
24 in vitro data are very strong. I think the clinical  
25 data are -- there are some difficult aspects to it,

1 but, overall, I think the study has many, many strong  
2 elements and is a very difficult study to do. And so  
3 I think while we have questions and questions about  
4 some of the quality of the coital logs, I also  
5 congratulate the investigators on research in this  
6 population and providing important data. And,  
7 overall, I think the risks are greatly outweighed by  
8 the benefits.

9 DR. CEDARS: Dr. Zenilman?

10 DR. ZENILMAN: I take a more narrow  
11 approach. I think the condom is actually very -- the  
12 FC2 is very comparable in terms of physical  
13 properties to the FC1. And I also agree that the  
14 side effect profile is actually very favorable. I  
15 still have some issue with the STI efficacy studies  
16 that were presented, and I think we are where we were  
17 with the male condom eight, nine years ago. I think  
18 it needs to be studied further, but those  
19 reservations are not enough for me to withhold  
20 approval.

21 DR. CEDARS: Thank you. Dr. Stubblefield?

22 DR. STUBBLEFIELD: I think that the  
23 evidence presented and our discussion shows the  
24 essential points that the new one is certainly not  
25 inferior to the first one and that there is evidence

1 that it is safe and efficacious. And more that we've  
2 heard from everyone presenting at this meeting about  
3 the potential and enormous importance of the female  
4 condom in the world. If it can be made cheap enough  
5 and it's accessible, then we have a real chance to  
6 reduce the number of acts of intercourse that are  
7 unprotected.

8 DR. CEDARS: Thank you. Dr. Ramin?

9 DR. RAMIN: Ditto all the comments that  
10 have been made. In particular, number one, the in  
11 vitro data is very reassuring. We have no evidence  
12 that it's not safe. I mean, there have been no  
13 deaths. There's no allergic reaction. And so I  
14 think as far as safety, it appears to be quite safe.  
15 And then the data that's been presented today, FC2 is  
16 comparable to FC1. And then the obvious need that we  
17 need worldwide for a female condom.

18 DR. CEDARS: Thank you. Dr. Sharp?

19 DR. SHARP: I think there has been  
20 precedent that we can use surrogate endpoints for  
21 good studies. I think this is a good study. I feel  
22 very comfortable that the surrogate endpoints do show  
23 that the FC1 and the FC2 are equivalent or at least  
24 not inferior with the FC2. I would also just say  
25 that not my primary decision, but I am certainly

1 moved by the global need and the local need for a  
2 condom as such.

3 DR. CEDARS: Thank you. Dr. Padian?

4 DR. PADIAN: I think the data that we  
5 reviewed, both the clinical data and the in vitro  
6 data, are consistent with a larger body of evidence  
7 that would lead one to say that it makes sense to  
8 approve it, that it's not inferior to FC1. And I  
9 think, certainly, especially for women who can use  
10 nothing and have nothing, not in a position to  
11 negotiate male condoms, that this fills that niche.

12 DR. CEDARS: Thank you. Dr. D'Agostino?

13 DR. D'AGOSTINO: Yeah, I believe there is a  
14 need, and the case was well presented, I believe, by  
15 some of the open public hearing presentations. I  
16 believe the device is safe. I think the in vitro  
17 data is very good. The clinical trial, no one was  
18 more critical of it than I have been. I think that  
19 it reflects somewhat the field itself. And even with  
20 all the concerns that were raised and thoroughly  
21 discussed, I think that the data has a compellingness  
22 to it that the new condom is not inferior to the  
23 first generation.

24 And I think the surrogate endpoints are  
25 more than just plain surrogates because they sort of

1 direct all the mechanism. A lot of times with a  
2 surrogate you're looking at something that goes on in  
3 the blood and you're hoping that you can extrapolate.  
4 Here you're talking about how would you prevent the  
5 possible transmission. So I think the surrogates  
6 have a compellingness to it, to the transmission of  
7 the sexually transmitted infections and also for  
8 pregnancy. So I was very comfortable in voting  
9 positive.

10 DR. CEDARS: Thank you. Dr. Thomas?

11 DR. THOMAS: I think that, as was said, the  
12 in vitro data is very compelling. I think the study  
13 had some issues particularly when it relates to the  
14 issue of bias, but over time probably does even  
15 itself out. But I think because women purchase --  
16 the majority of the market is from female patients,  
17 and I think this will allow them to -- allow women in  
18 general to take even more control over their ability  
19 to protect themselves against sexually transmitted  
20 diseases and pregnancy. And I felt that this was  
21 definitely needed in the United States as well as  
22 globally.

23 DR. CEDARS: Thank you. Dr. Katz?

24 DR. KATZ: Thank you to the FDA for your  
25 comprehensive due diligence and to all the presenters

1 today, from the Sponsor and from the public sector,  
2 to help inform us about the benefits and the  
3 likelihood of those benefits in relation to the  
4 risks. I'm very comfortable in voting in favor of  
5 this. I think the evidence is more than adequate to  
6 demonstrate the equivalency of, the non-inferiority  
7 of this device. And I think there's additional  
8 evidence, mechanistic evidence, that one could use in  
9 support of this, and I look forward to further  
10 studies with this device in the spirit of what was  
11 done with the male condom.

12 DR. CEDARS: Thank you. Dr. Davis?

13 DR. DAVIS: I, too, was very -- would ditto  
14 everyone's remark that certainly the in vitro data  
15 was compelling. The clinical study had some minor  
16 imperfections. However, I think that it certainly is  
17 reassuring to me. And the last thing I'll say is  
18 that it was really moving to me to see how many  
19 groups and organizations really are working hard out  
20 there to support at-risk women both in the U.S. and  
21 internationally. And, hopefully, this will help this  
22 problem.

23 DR. CEDARS: Thank you. Dr. Warner.

24 DR. WARNER: I say there's a clear need for  
25 female-controlled barrier products, and I thought it

1 was a good demonstration that the products were  
2 equivalent. These are very hard studies to conduct  
3 whether it's with a male condom or female condom, and  
4 I think this study, albeit it had some imperfections,  
5 is a clever way to attack that problem. That being  
6 said, I would encourage folks to keep doing research  
7 looking for other surrogate endpoints, including  
8 biomarkers, as well as other creative epilogic  
9 designs that can further assess how effective the  
10 condom is. Thank you.

11 DR. CEDARS: Thank you. Dr. Hillard?

12 DR. HILLARD: So I would just echo what  
13 others have said regarding the pluses and minuses of  
14 the study. I am convinced of the safety and  
15 effectiveness and non-inferiority of the Female  
16 Condom 2. In addition, I applaud the company for  
17 developing a product that has the real potential to  
18 be more accessible to women in the U.S. and  
19 worldwide, and in addition, I'm impressed by the  
20 potential benefits of the new female condom that  
21 appears to be at least as acceptable and perhaps more  
22 acceptable than the first version of the product,  
23 which would then potentially enable more women to --  
24 empower more women to protect themselves worldwide.

25 DR. CEDARS: Thank you. Ms. George, do you

1 have any comments?

2 MS. GEORGE: Sure. I just had a couple of  
3 very quick things to say. First, I wanted to thank  
4 the Sponsor and the FDA for all of their hard work to  
5 actually get us here to have an opportunity to  
6 evaluate the product. And I want to say that I was  
7 pleased to listen to all of you guys trying to  
8 address something that -- a PMA that was a little  
9 different than kind of the average PMA that's brought  
10 to Panel because usually it is nice clean data and  
11 with clear endpoints, and this really was a  
12 manufacturing process change and a material change.  
13 So it was very engaging and thoughtful to listen to  
14 all of this. And having been a industry rep for the  
15 past four years, I want to say that I hope the FDA  
16 keeps these kind of panels going because it's great  
17 for the Sponsor to have an opportunity to work with  
18 industry, another industry rep to work with the FDA,  
19 to work with all of you to bring some innovative  
20 products to the market. So that's it.

21 DR. CEDARS: Thank you. And I would just  
22 like echo the comments. I'd like to thank the FDA  
23 for their hard work in interpreting these studies for  
24 us, thank the Sponsor for their presentation and  
25 their work in this very vital area, particularly

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 thank the public hearing speakers for not only their  
2 comments today but their hard work globally. Do you  
3 have any final comments, Dr. Whang?

4 DR. WHANG: Sure. I'd like to thank the  
5 Panel members as well for your efforts preparing for  
6 today's meeting and for the very constructive  
7 discussion. And I'd like to thank the members of the  
8 public who've attended who have spoken today. We  
9 appreciate your interest in these devices and in the  
10 FDA review process. And, finally, a big thank you to  
11 Dr. Cedars for leading our meeting today.

12 DR. CEDARS: Thank you. And I'd like to  
13 extend my thanks to all the Panel members for taking  
14 time from their busy lives to come help us with this  
15 decision. And, with that, Day 1 of this meeting of  
16 the Obstetrics and Gynecology Devices Panel is now  
17 adjourned.

18 (Whereupon, at 4:45 p.m., the meeting was  
19 concluded.)

20

21

22

23

24

25

## C E R T I F I C A T E

This is to certify that the attached proceedings  
in the matter of:

OBSTETRICS AND GYNECOLOGY PANEL

December 11, 2008

Gaithersburg, Maryland

were held as herein appears, and that this is the  
original transcription thereof for the files of the  
Food and Drug Administration, Center for Devices and  
Radiological Health, Medical Devices Advisory  
Committee.

---

TIMOTHY J. ATKINSON, JR.

Official Reporter