

1 have no data on at all. So you've used it. So I'm
2 probably looking to you for some guidance.

3 DR. SLOTWINER: Yeah. Well, no, I bring
4 the question up reluctantly because I would like to
5 have all three available, but clinically only one is
6 available which we've been using off-label. I know I
7 have to be careful what I say.

8 So I think that it's hard to extrapolate
9 beyond the one catheter that I've used and presented
10 in this trial. The modification to make a catheter
11 bidirectional is not significant, and I don't know
12 what the precedent here for the FDA is, whether that
13 would require a separate trial. I suspect that
14 that's not a major difference.

15 The remote navigation I think is a very
16 different question, and to extrapolate the data here
17 to a catheter that has entirely different
18 characteristics of movement and pressure I think may
19 be more than we can really justify from the data.

20 DR. BORER: Okay. Dr. Somberg has a
21 question, but before then it's very important,
22 Mr. Swink, I want you to clarify for us, is
23 Dr. Slotwiner protected here? Is there a waiver for
24 self-incrimination for off-label use?

25 (No response.)

1 DR. BORER: Well, you're not answering.
2 Dr. Somberg.

3 DR. SOMBERG: Well, you know, first off-
4 label use is not a crime. In fact, the FDA doesn't
5 run the practice of medicine and approves -- and
6 devices for use, and that relates, you know, the
7 question that's brought up, I don't have a question
8 but I have a comment, and that is we have to be
9 careful. It's a very difficult area because we're
10 getting into the practice of medicine, and let's step
11 back from a catheter to a valve and, you know, a
12 surgeon puts in a valve, but he has different degrees
13 of training, different degrees of experience. Some
14 surgeons look at the angiography and the
15 ventriculography, the cardiologist does, and they get
16 CTs, and others are more intuitive, let's say. When
17 they open it up, they feel around, and I remember a
18 very noted surgeon said I didn't look at your
19 angiograms. I just feel where the bumps are and go
20 distal to them. So you make that face, but he was
21 extremely successful and quite famous in a
22 northeastern city.

23 So suffice that to say that I don't know
24 the answer to that, but the real question is that the
25 label that you're going to give with particular

1 guidance really should be based on the study. So I
2 guess I turn to Bram. One is the availability of
3 these things. The other one is the guidance. It's
4 hard to provide a guidance outside of factual
5 information, but than again if we have certain
6 availability but we require a navigation system,
7 which I would imagine would be several hundred
8 thousand dollars, additional to the cost of the
9 catheter, you know, that may be putting a high burden
10 on some people who are very good with Lassos and
11 looking at squiggly lines.

12 DR. ZUCKERMAN: Dr. Somberg, you were doing
13 very good until you got into the economic argument.
14 So let me try to --

15 DR. SOMBERG: I'm just saying --

16 DR. ZUCKERMAN: -- give you some guidance
17 to the Panel. Essentially at the end of the day,
18 you're being asked to make a risk-benefit decision
19 for five separate catheters. For a variety of
20 reasons, the prime one being that one device was used
21 in this trial, that risk-benefit decision will be
22 easiest for catheter number one. For the other four
23 catheters, you'll have to continue with your Bayesian
24 hat and really think about how much data can really
25 be extrapolated from one catheter design to the four

1 others.

2 It's also important in that thinking
3 process that you put into the equation, as Dr. Borer
4 previously mentioned, that what are these catheters
5 doing versus what are potential safety problems, and
6 you'll need to vet that out among yourselves and give
7 us a recommendation.

8 Let me just say in a general sense, there's
9 been a lot of discussion about off-label use. While
10 the Agency certainly does not regulate the practice
11 of medicine and off-label uses in the purview of
12 physician practice, what is most helpful to us at a
13 Panel meeting like this is if you do believe that
14 a -- end catheter is not approvable based on the
15 data, tell us and the sponsor what type of additional
16 data would be necessary to make a particular device
17 approvable.

18 DR. BORER: Okay. Having said that -- yes,
19 Dr. Jeevanandam.

20 DR. JEEVANANDAM: Now, getting back to
21 these five different models, I think, you know, then
22 applying kind of the surgeon's approach to it, you
23 know, one has to do with mapping and visualization,
24 and I think that would materially affect the actual
25 ablation. It's like telling a surgeon to do

1 something thorascopically using a 2-D thorascope
2 versus a 3-D. You do a trial with 3-D, and then you
3 say, well, then 2-D should work as well. So I think
4 there's one component of it that's actually
5 visualization and where you're going to ablate, which
6 I think is going to be important for efficacy of
7 this.

8 The other one is whether, you know, a
9 catheter can only move one direction or it can move
10 both directions. I would think that if it could move
11 both directions, you can certainly move one direction
12 where the trial was done. So I think one's uni or
13 bidirectional probably -- I've never used these
14 catheters, but I think that would make a big
15 difference. You could always lock it into a
16 unidirectional positions.

17 DR. SLOTWINER: Yeah, I think that's an
18 excellent point, and I agree entirely. The catheter
19 used was a unidirectional catheter, but bidirectional
20 catheters are almost always easier to use and to
21 manipulate. So I think it's not a tremendous
22 extrapolation to imagine that a bidirectional
23 catheter with navigation capability would have
24 similar results.

25 Sometimes when a catheter is made

1 bidirectional versus unidirectional, it becomes
2 stiffer because of the mechanics of that. I haven't
3 used the bidirectional catheter. I don't have the
4 data on it, but that would be my only concern. How
5 strictly do we stick to the information that's given
6 here to us versus what we approve. I think it's
7 probably hard to move beyond the data that we really
8 are presented. I think it would be an easy thing to
9 acquire the information, easy study, although I guess
10 nothing's easy, but we were given data on one
11 catheter, and we don't know how the bidirectional
12 catheter handling would change other than maybe
13 steering a little bit more easily, but it may be
14 stiffer. It may be more likely of trauma. It may be
15 more likely of perforation.

16 So, you know, I think being a purist here,
17 we have this data. This data, the safety looks
18 reasonable. The efficacy looks reasonable, but I
19 don't know if I feel comfortable going beyond that.
20 I think navigation is clearly a critical part of this
21 trial, and approving a catheter that doesn't have
22 navigation capability, I find, would be very
23 difficult to extrapolate to.

24 Of course, there is another navigation
25 system that could be used with that catheter that

1 doesn't have the sensor in it, but then it gets
2 complicated, and so I think again sticking to the
3 data we have is probably the safest way to go. We're
4 talking about a non-life threatening rhythm here.
5 We're talking about symptom relief, and I think
6 that's always paramount to keep in mind.

7 DR. BORER: Yes, Mr. Halpin.

8 MR. HALPIN: Yes, I just wanted to sort of
9 touch base on this from an industry perspective. I
10 think both the sponsor and the FDA, I think, have
11 done a good job in trying to work through a clinical
12 trial on a product which is already used off-label,
13 and I think that creates a somewhat interesting
14 situation for both the FDA and the sponsor. I think
15 the adaptive clinical trial design was a great
16 approach to take for that.

17 I think one of the aspects of approaching
18 an off-label trial is that you want to actually
19 provide labeling support for that use, and I think
20 that the sponsor is very willing to do that in terms
21 of how to actually instruct people how to use this or
22 provide more support maybe than they have now in the
23 off-label setting, and I think what maybe value for
24 the sponsor and for the FDA is to get some guidance
25 on if you extend beyond this one catheter, what is

1 some of the information that physicians,
2 cardiologists would need in order to use it
3 appropriately so that they can actually work and
4 maybe get a number of these catheters approved versus
5 just one.

6 I think the data shows that the procedure
7 works. There may be some intricacies in some of
8 these catheters versus others, but I think that your
9 input in how you might bridge that would be very
10 valuable for I think the sponsor and the FDA.

11 DR. BORER: Okay. Let me ask the sponsor,
12 we had -- I think you answered one of the two
13 questions, but the one that seems to be most burning,
14 no pun intended here, is why was the lesion made in
15 OUS 1 in the right atrium?

16 DR. YAROSS: Sure. Prior to the lunch
17 break, we were asked if those were, in fact,
18 deviations? Were they indeed prophylactic?

19 We had confirmed during our monitoring of
20 the trial that at the OUS 1 site, those lines were
21 provided prophylactically. They were protocol
22 deviations. We picked this up in our routine
23 monitoring. We worked with the investigator and
24 decreased the occurrence of those deviations during
25 the trial.

1 We disclosed these as deviations in your
2 clinical report in your Panel pack. So, yes, those
3 were deviations, and they're so reported.

4 I'd like to ask Dr. Wilber to address the
5 clinical aspects of that, but maybe I can just touch
6 base on a couple of other minor clarifications from
7 after lunch.

8 I did just want to point out,
9 Dr. Slotwiner, thank you for the discussion of CARTO.
10 In discussing the use of pre-acquired CT or MRI
11 images and merging them in, I think it was suggested
12 that that would have been used in all cases. In
13 fact, the CARTOMerge module was not available until
14 May of 2005, and we know that some sites used it in
15 later cases; other did not. So that was not used
16 uniformly throughout the trial.

17 There was also a question from Dr. Naftel
18 about failures before 90 days, you know, how could
19 they be counted at day 0. If you recall, in the one
20 slide where we showed the breakout of the failures in
21 the ThermoCool group, there were two subjects that
22 failed because they had a repeat ablation between
23 days 80 and 90. So that was prior to effectiveness
24 day 0, but those, for example, would have been
25 counted in the Kaplan-Meier curve as a failure at

1 day 0.

2 Randomization was stratified by site.
3 There were randomization blocks used, and I'll let
4 Dr. Berry speak to that.

5 And then before I bring up Dr. Wilber and
6 Dr. Berry, in terms of the catheter variance, we were
7 asked, I think there was a question about was there
8 precedent to approving a non-nav version based on a
9 nav only trial. In fact, there was. For our atrial
10 flutter indication for both our ThermoCool catheter
11 and our 8 millimeter dual sensor catheter, the
12 indication for treatment of type 1 atrial flutter was
13 provided for the Celsius non-nav version based solely
14 on data from a nav trial.

15 In addition, in terms of the remote
16 magnetic catheters, those have been approved
17 currently for both flutter and VT indications based
18 on the construct if you will that if you can show you
19 get the same catheter tip to the same point and make
20 the same burn, that that can be translated into
21 effectiveness and those data have been provided
22 previously to the Agency.

23 So with that, I'll ask Dr. Wilber to --
24 yes.

25 DR. KELLEY: I'm sorry. I have one

1 question that I may have just missed earlier, but
2 when patients had a second ablation, if they had a
3 second ablation at 79 days, did the clock for the 9
4 months start over?

5 DR. YAROSS: No.

6 DR. KELLEY: So they were then only
7 followed seven months after their final ablation?

8 DR. YAROSS: They still had their 90 days,
9 I'm sorry, their 9 month effectiveness evaluation
10 window still started at day 91 per the index
11 ablation.

12 DR. KELLEY: So their follow-up time was
13 shorter?

14 DR. YAROSS: That's correct.

15 DR. KELLEY: And then just a quick comment,
16 but as I'm sure you know, doing an atrial flutter
17 ablation without advanced imaging is very, very
18 different than doing atrial fibrillation ablation.

19 DR. YAROSS: I understand, and that's why
20 I'll ask one of the clinicians to speak to that.

21 DR. SLOTWINER: And if I could just say one
22 thing. While there is precedent for approval of the
23 non-nav version and the nav for atrial flutter, for
24 ventricular tachycardia, the recommendation was the
25 opposite. The navigation system, correct me if I'm

1 wrong, is required because it was felt that the study
2 was done with that navigation system, and I think
3 that just needs to be considered since this ablation
4 is more similar to a VT ablation probably than a
5 flutter ablation.

6 DR. YAROSS: It's an important point, and
7 VT, part of our own thinking was since we were
8 seeking an indication for treatment of unmappable
9 VTs, where there was the need to be able to map
10 voltage using the CARTO system, that to us was also
11 an important consideration which is different we
12 think than in these cases.

13 With the Chair's permission, then I'll
14 invite Dr. Wilber to speaker to the clinical issue on
15 the cavotricuspid isthmus lines, and Dr. Berry to
16 speak to the randomization blocks.

17 DR. BORER: While you're coming up to
18 answer that, it sounds as if we're moving OUS 1 from
19 the analysis which was done and which we saw, removed
20 most of these protocol violations, but I wonder if
21 while you're answering the immediate question,
22 whether you can come up with the data showing us what
23 the efficacy would be or telling us what the efficacy
24 would be in the patients whose procedures were done
25 according to protocol which would be some of the

1 OUS 1 plus most of the non-OUS, just so that I can
2 know that the data is reasonably consistent.

3 DR. WILBER: I think to answer that
4 question first, we haven't performed an analysis that
5 would eliminate all of the prophylactic flutter
6 lines. So I'm not sure I can give you an answer
7 based on that. And I don't know that we can answer
8 some of these issues from the trial.

9 There's certainly growing abundant
10 literature about the relationship between atrial
11 flutter and fibrillation. First of all, it's become
12 very clear since flutter ablation has now been done
13 for about 10 or 15 years, we have now come very long-
14 term data. Our initial report suggested when there
15 was only 2 or 3 years follow up, that even though you
16 successfully ablate flutter, the risk of atrial
17 fibrillation is about 20 or 30 percent in that 2 or 3
18 years. Then we had a 5-year follow-up that suggested
19 it was 50 percent. And now we have recently had an
20 8-year follow-up after isolated atrial flutter
21 ablation, what's the risk of atrial fibrillation, and
22 the estimates are up to 80 percent.

23 So what this would suggest, in fact, is
24 that atrial flutter ablation has very little impact
25 on atrial fibrillation.

1 In addition to those long-term
2 observational studies after atrial flutter ablation
3 alone, there have been some single center studies
4 looking at the effect of whether or not you do
5 flutter ablation on the outcome of atrial
6 fibrillation, and there was one moderate size
7 randomized study that suggested that, in fact, there
8 wasn't a strong effect of whether or not you did the
9 flutter line on the long-term outcome of atrial
10 fibrillation.

11 I think that the performance of the
12 prophylactic flutter line is because that's how that
13 institution has been doing the afib ablation
14 procedures since 2000, and I suspect they were
15 reluctant to change a pattern of what was clearly a
16 successful procedure for them, but the guidance
17 document among other things that was published about,
18 as you know, a couple of years ago, most of the
19 people that are atrial fibrillation have abandoned
20 routine flutter lines because of the judgment that
21 it's not particularly effective in altering the
22 outcome for atrial fibrillation.

23 So we can't answer your question from data
24 from the trial, but there's certainly a growing
25 amount of literature that suggests that flutter

1 ablation, although the relationship is complicated,
2 doesn't usually have a major impact on the outcome of
3 an atrial fib ablation.

4 DR. BORER: Yes.

5 DR. JEEVANANDAM: I just want a little
6 clarification. So this nav system doesn't require
7 3-D mapping, and because you said some patients had
8 CT scans and some did not, and did we separate out?
9 I mean the patients who had the CT scans guided
10 ablation, did they have better results than patients
11 who did not have CT guided ablation? That's one
12 question. The other questions is the nav system,
13 does that relate specifically to 3-D mapping and
14 guidance or is the nav system something separate?

15 DR. YAROSS: Regarding the first question,
16 can you state your first question again just for a
17 moment?

18 DR. JEEVANANDAM: You said that --

19 DR. YAROSS: The CT scans.

20 DR. JEEVANANDAM: Yeah, the CT scans.

21 DR. YAROSS: Right. All subjects who
22 underwent ablation had pre-procedural CT or MRI, but
23 that was as the baseline for the measurement of
24 pulmonary vein stenosis. It was not mandated for use
25 in the mapping procedure. So they all received those

1 measurements, but some may have chosen to use the
2 CARTOMerge module once it was released in 2005.

3 Regarding the effectiveness, I can, you
4 know, we didn't do a formal stratification of that.
5 However, my information is that that CARTOMerge is
6 not typically used by the highest enrolling site. So
7 I would say that there probably is not a strong
8 correlation.

9 DR. JEEVANANDAM: And the nav portion of
10 this catheter was for the CARTOMerge?

11 DR. YAROSS: No. When we were referring to
12 the NaviStar catheter, those are catheters that have
13 a location sensor. In Dr. Slotwiner's diagrams, he
14 showed you a map and then said, you know, if this
15 were live, you would see an icon for the catheter
16 tip. That's basically a mini GPS system that's in
17 the tip of the catheter. That's the major difference
18 between the nav and the non-nav catheters. That mini
19 GPS signal allows you to locate the catheter tip in
20 real-time when you are using the CARTO mapping
21 system, which is a hardware/software system that
22 allows you to visualize in real-time in 3-D what's
23 going on in the heart.

24 However, as was stated, some clinicians do
25 procedures outside of this trial without that using

1 other forms of guidance, such as intracardiac echo,
2 fluoroscopy, circular mapping catheters, and so
3 therefore there are multiple ways of locating these
4 targets.

5 Does that address your question?

6 DR. JEEVANANDAM: Yes. Thank you.

7 DR. BORER: Dr. Slotwiner, and then
8 Dr. Somberg.

9 DR. SLOTWINER: Yeah, if I could say the
10 same as Dr. Yaross. It's confusing, but I was
11 referring to two entities that we use often in
12 guiding our ablations. The first is the catheter
13 that has a navigation chip at the tip of it is
14 located in 3-dimensional space by three magnets that
15 are placed below the patient, and if we can turn the
16 computer back on, I can show you the slide again to
17 show the difference. Using that navigation system,
18 you can construct a 3-dimensional shell of the
19 chamber of interest and the pulmonary veins, and you
20 can keep track of where you've placed each ablation
21 lesion. Actually this is probably not the best
22 example because it uses the CAT scan, but the 3-
23 dimensional shell is one way to keep track of where
24 our catheter is.

25 In addition, we can get a CAT scan and use

1 that to recreate in 3-dimension that chamber, and we
2 can merge that CAT scan with our shell that we've
3 created with the navigation catheter to improve
4 accuracy and use both together.

5 DR. JEEVANANDAM: But without the nav
6 system, you don't have that 3-dimensional shell.

7 DR. SLOTWINER: Correct. Without the
8 navigation system, we can't create the 3-dimensional
9 shell.

10 DR. BORER: John.

11 DR. SOMBERG: Yes. I'd like to ask
12 Dr. Wilber, maybe you can comment on your feeling and
13 the investigator's feeling because you probably have
14 investigator meetings here, and was this a critical,
15 I mean you're all experienced ablaters, and was this
16 felt to be a critical aspect, the navigational system
17 or did some people feel that, oh, you put it in but I
18 can do it using the loop or I can do it doing other
19 things and I didn't need it. Because I think we're
20 getting into an areas of technical expertise that,
21 you know, you're asking the committee, and I'm making
22 this general, but the committee is being asked to
23 make, and members of it are being asked to make a
24 judgment on the experience of different
25 electrophysiology ablaters, and this is going to vary

1 from site to site. So maybe you can say what the
2 investigators were feeling.

3 DR. WILBER: I think clearly there's a
4 diversity of opinion in practice, and part of it
5 depends on how your own ablation practice evolves.

6 A couple of comments in that respect.
7 Currently, there's new technology that's
8 3-dimensional echo that is different from CARTO
9 altogether. There will obviously be new evolving
10 technologies. We don't make an electroanatomical map
11 anymore, and we don't use CT merge anymore, and we
12 just use the intracardiac echo.

13 So there will always be evolution of
14 different technologies for imagining. There are
15 laboratories that use only very experienced
16 laboratories and some that weren't in this particular
17 study that only use circular mapping catheters and
18 fluoroscopy as their predominant mode.

19 So the answer is ask a different
20 investigator and they'll give you a different opinion
21 about how important it is for them to have
22 3-dimensional mapping, and so I think that it's
23 difficult to come to a single conclusion.

24 DR. YAROSS: If I could just add briefly to
25 that. There were clinicians that we did approach

1 about the clinical trial who have experience in this
2 field who declined to participate in the trial
3 because that was not their routine way of performing
4 the procedures.

5 I also thought perhaps Dr. Hugh Calkins who
6 chaired the HRS consensus document could come forward
7 and speak briefly to the HRS consensus view on this
8 issue.

9 DR. CALKINS: Hugh Calkins from Johns
10 Hopkins. I was an investigator in the study, and I'm
11 a consultant for Biosense. So, you know, there are
12 lots of ways to figure out where you're ablating, you
13 know, during these procedures, and it is striking if
14 you look around the country and around the world,
15 that different centers are passionate about different
16 approaches. One of the largest centers in the United
17 States almost never uses one of these mapping
18 systems, but relies on intracardiac ultrasound. I,
19 on the other hand, can't stand intracardiac
20 ultrasound, and I rely heavily on these systems.
21 Other centers use a venography of each of the four
22 pulmonary veins, and you showed a slide the -- group.
23 Yeah, they never use navigation. They just use Lasso
24 and venography. So there's lots of different
25 approaches.

1 I know in the consensus document, we didn't
2 say there was a consensus that this was essential for
3 the procedure. It was that some centers use it, some
4 centers don't use it. And what is essential is that
5 the endpoint is, you know, electrical isolation of
6 the pulmonary veins as being the cornerstone which
7 was the main acute endpoint of the study.

8 DR. BORER: Thank you. Before we go into
9 -- Dr. Berry, I'm sorry.

10 DR. BERRY: So to address Dr. Naftel's
11 question about randomization, the randomization was
12 indeed in blocks by site. It was a block size of 11,
13 7 versus 4. So it's not quite two to one, but the
14 anticipation was that the control patients, some
15 control patients would drop out when they found out
16 how they had been randomized, and indeed that did
17 happen but not to the extent that they anticipated.
18 And hence the five to one is really not that unusual
19 in view of the seven to four.

20 The other thing I want to mention is what
21 we were talking about, Dr. Borer's question about the
22 prophylactic right atrial CTI. There were 23
23 according to the FDA and the sponsor, 23 out of 31 at
24 the site. So if you remove those, there was one
25 other prophylactic in the other sites. So if you

1 remove that one, it doesn't matter whether it's a
2 success or failure, the answer is still the same.
3 It's really quite a compelling statistical
4 conclusion.

5 DR. NAFTEL: May I just say that that's a
6 little bizarre to me, that all over the document it
7 says randomization was two to one when, in fact, it
8 was not.

9 DR. BERRY: The anticipation was two to
10 one. They anticipated that it would be 2 to 1, and
11 they incorporated what they expected and, in fact,
12 they ended up with 56 to 103, slightly different from
13 2 to 1. It probably should have said seven to four,
14 with an anticipation of dropouts.

15 DR. NAFTEL: It absolutely should have said
16 that.

17 DR. BERRY: Yeah, I agree.

18 DR. NAFTEL: There's no discussion on that
19 point.

20 DR. BORER: Okay. Before we enter a more
21 structured setting here and focus on the questions, I
22 want to make sure that everybody around the table has
23 asked what questions they have or given what opinions
24 they may want to give. We haven't heard from you
25 recently, Dr. Fleming. Do you have anything you want

1 to chime in with here?

2 DR. FLEMING: Not specifically. I think as
3 a potential consumer of that service, myself, and on
4 behalf of those who I represent here on the Panel, I
5 think my concern certainly is the safety and efficacy
6 of the device, which is what we're here to determine,
7 whether this particular device offers reasonable
8 assurance of safety and effectiveness. I believe
9 that at this time, that criteria has been satisfied
10 to my satisfaction. I think the issue for me is far
11 more practical perhaps, being a potential consumer of
12 that service.

13 One question I did have is technical in
14 nature. Maybe one of the gentlemen on the Panel who
15 does these can answer it for me. Does this
16 particular device that's before us in any way shorten
17 the procedural time over and above say other
18 catheters that are on the market? Because this
19 involves laying on the operating table for eight
20 hours and being either consciously sedated or asleep
21 for three and half at least of those. And so I would
22 like to know as a consumer, does a product like this
23 potentially shorten the time on the table?

24 DR. BORER: David.

25 DR. SLOTWINER: Yeah. I don't think that

1 we really know the answer to that. The duration of
2 the procedure is very dependent on the particular
3 laboratory and the details of how they perform it,
4 but it's a lengthy procedure, and there's nothing in
5 the data presented here to suggest that this would be
6 shorter than an alternative approach.

7 DR. FLEMING: Does the CARTO system, the
8 navigational system, in any way assist with that?

9 DR. SLOTWINER: In terms of duration of the
10 procedure? I don't have an answer to that. I'm not
11 sure. I don't think so.

12 DR. BORER: John.

13 DR. SOMBERG: Well, Jeff, if I'm asking, if
14 I'm making a statement that you think will fit in
15 someplace else, but I wasn't sure where to fit these
16 three comments I have in.

17 After hearing a lot about the Bayesian
18 statistical approach, I think we should move away
19 from just worried about that consideration, which I
20 think some of the fears have been allayed.

21 However, I am concerned about some other
22 aspects of the trial design. One was the asymmetry
23 of the blanking periods, and I do think there could
24 be some bias there. I brought up before with
25 Dr. Wilber, you know, that there was 14 days versus

1 90 days, and we were talking about that's all it
2 takes to titrate one antiarrhythmic. But there's a
3 period that if anything goes wrong with the ablation
4 procedures, it's not counted for a long period of
5 time, but it is counted very quickly for the
6 antiarrhythmic agent you can stabilize a patient and
7 that may have some effects on it.

8 So I think that's one of the quirks of this
9 small study that concerns me.

10 The other is just the use of
11 transtelephonic. If you had to do it all over, I
12 would suggest having some periods of 24 hour
13 monitoring because, you know, symptom actuated, there
14 could be a bias as Dr. Thompson brought in, and so
15 there was some fixed points of measurement, but were
16 they enough, and could we have had more episodes of
17 AF that people were ignoring and weren't picked up on
18 some small ones. So I think that's a problem with
19 the study design.

20 And the last thing is I think you brought
21 this up, Dr. Borer, and that was, you know, I'm
22 concerned about the benefit. I mean, you know, those
23 curves are dramatic, especially when you're leaving
24 100 percent effectiveness. There's no question about
25 that, but what is effectiveness? And I mean we're

1 not talking about, these are not Kaplan-Meier life
2 table where we're saving lives here, 100 percent
3 survival versus 18 or 19 percent. We're talking
4 about recurrence of PAF, and what's the significance
5 of the recurrence and what's the degree, and these
6 people are, you know, there's a lot of potential
7 morbidity lying on the table for eight hours, three
8 of which is sedated.

9 So these are considerations, and I just
10 would like to hear a little bit, you know, someplace
11 along the line here, a little bit more about, you
12 know, how were they benefited, you know, the quality
13 of life, you know, what are we really measuring and
14 what is the significance here because we're going to
15 be asked to vote yea or nay on that basis alone
16 because this was essentially a symptomatic benefit.
17 The blanking periods, how much did that bias it?

18 Is there anyway to look at that
19 statistically? And also how, if anyone wants to
20 comment from the clinical standpoint, how well do you
21 think those fixed transtelephonic periods measured
22 that? I need to hear about the length of those
23 transmissions and, you know, sometimes people only
24 transmit when they're feeling well because, you know,
25 it's arduous to transmit when you're not.

1 DR. BORER: Why don't we start with the
2 asymmetry of the time 0 issue. Dr. Thompson, could
3 you perhaps talk to us about that a little bit?

4 DR. THOMPSON: There was a question earlier
5 about what would have happened if the, I think
6 Dr. Naftel asked this, what would have happened if
7 the control had actually had a three-month dosing
8 period, and so let's just forget about what happened
9 to them from two weeks to three months. And actually
10 the statistical group at FDA did think about that
11 question, but we ultimately realized it couldn't have
12 been answered using the data given to us because if
13 you remember, once a control patient had a failure,
14 they went to the ablation group.

15 So that's all a preface to say that I don't
16 think that there's an answer, a statistical answer to
17 be able to know definitively about whether or not the
18 different blanking periods had an effect on the
19 results. I mean we could make up all sorts of
20 reasons why it did and probably reasons why it
21 didn't. So it's something that has to be open to
22 discussion.

23 DR. BORER: Okay. How about the monitoring
24 issues? Maybe somebody from the sponsor can tell us
25 the interval during which the transtelephonic

1 information was transmitted. What was the sampling
2 time total?

3 DR. YAROSS: The transtelephonic monitoring
4 was using a standard external monitor. They were
5 instructed to transmit on a monthly basis -- I'm
6 sorry -- on a weekly basis for the first two months
7 and then monthly thereafter, as well as whenever
8 symptoms occurred. The protocol called for a minimum
9 of 30 seconds and point of fact, you know, really any
10 continuous episode that was -- was adjudicated as
11 such.

12 I did just want to comment I think to
13 Dr. Somberg's question, there was some concern that
14 if something bad happened, you know, in the first 90
15 days in the ThermoCool arm, you know, the patient
16 could be retreated. In fact, adverse events or
17 recurrence, adverse events were counted from day 0 of
18 the treatment. And so there were some repeat
19 hospitalizations for arrhythmia recurrence, that
20 while those did not count against the effectiveness
21 endpoint because the trial was based on a strategy of
22 up to 3 ablations within 80 days, but anything bad
23 that happened was counted in the safety analysis in
24 both arms.

25 DR. SOMBERG: I guess I got too involved in

1 your company's program. I mean bad by not being
2 effective.

3 DR. YAROSS: Thank you. I appreciate that.
4 And then in terms of the issue of bias, about whether
5 or not the delay between time of randomization and
6 initiation of therapy could have had an effect, I
7 would like to ask Dr. Wilber to speak to that.

8 DR. WILBER: Well, it's probably helpful
9 for many people on the Panel that haven't been
10 exposed to catheter ablation, the concept of the
11 blanking period is really something that's evolved in
12 the clinical practice of catheter ablation over the
13 last probably 5 to 10 years, and particularly for
14 atrial fibrillation ablation, it's become very clear
15 that the immediate effect of the procedure doesn't
16 manifest itself necessarily in the first few days.
17 There continues to be both lesion progression and
18 lesion regression. Some of this may involved the
19 microvasculature. So if that's involved, then, in
20 fact, you can get secondary expansion of lesions in a
21 larger area, becomes electrophysiologically inert
22 than previously.

23 There are not great animal models to
24 suggest how long this time period should be until you
25 get sort of the final product that you're going to

1 have, and there's probably ongoing remodeling that
2 happens over a long period of time, but this period
3 of three months has been something that has evolved
4 probably over at least the last several years and is
5 actually reflected in the guidance document in terms
6 of how to evaluate outcome for atrial fibrillation
7 ablation.

8 And so having said that, that's why the
9 three months is opposed to a different time period,
10 and I think there was an allowance made for repeat
11 ablation at the cost that you couldn't reset the
12 blanking period no matter what, and because our
13 concern was obviously you didn't want to continually
14 extend that time period of follow-up.

15 DR. BORER: You know, for whatever it's
16 worth, I deal much more commonly with surgical maze
17 than with catheter based ablations, and the surgeons,
18 because of empirical data, have hit on three months
19 as the time that you begin to look for effectiveness,
20 too. But I think Dr. Somberg's issue was the other
21 one that Dr. Thompson referred to, that is what about
22 giving a little bit more time for medication, and the
23 answer is, can't do it with this study. That's all.

24 With regard, before we get onto
25 Dr. Jeevanandam, there was a third issue that

1 Dr. Somberg raised, I wonder if we can wait until we
2 deal with the questions for that one, and that is,
3 what is benefit because that's going to be central to
4 our consideration. So we'll go to Dr. Jeevanandam
5 and then Dr. Bilazarian.

6 DR. YAROSS: Dr. Borer, on that point,
7 would it be acceptable for us to just speak a little
8 bit more. We were asked specifically about quality
9 of life. Would that be acceptable?

10 DR. BORER: Oh, yes, absolutely.

11 DR. YAROSS: Great.

12 DR. BORER: Absolutely.

13 DR. YAROSS: Dr. Calkins.

14 DR. CALKINS: It's interesting when you
15 think about what the benefit is of catheter ablation
16 of atrial fibrillation, and I think they can tell you
17 for someone performing these procedures, yeah, the
18 patients that end up getting this procedure, it's a
19 quality of life issue. It's like hip surgery or knee
20 surgery, and I tell patients, you'll know when it's
21 time to get your afib taken care of, but the quality
22 of life improvements you see in this study is
23 reflected not only in, sort of the world of AF
24 ablation, and I think it's striking that catheter
25 ablation of afib is now the most commonly performed

1 ablation procedure in the world of any of the things
2 we do. So regardless of an approved catheter, people
3 are doing it worldwide, and that's because it works
4 and patients feel better, you know, and the ones that
5 are getting it are not the ones that are asymptomatic
6 but the ones like in the study that have a poor
7 quality of life that are really bothered by this
8 afib, and we all know that the most symptomatic
9 patients are paroxysmal patients, and oftentimes, you
10 know, sort of the young healthy patients that the
11 quality of life really matters to.

12 So I think it is a useful procedure, you
13 know, the practice of AF ablation is exploding out
14 there regardless of approved products simply because
15 it works, and I think this study really reflects what
16 we see in our day-to-day practice, but I'm sure David
17 can comment on this since he does a fair number of
18 these procedures.

19 DR. BORER: Did you want to say something
20 about that?

21 DR. SLOTWINER: Oh, well, no. I agree
22 entirely with what Dr. Calkins mentioned, and I was
23 speaking with some of the Panel members earlier, the
24 quality of life improvement that we see, these
25 patients tend to be the most thankful. The carefully

1 selected, symptomatic paroxysmal atrial fibrillation
2 patients, the improvement in quality of life is
3 dramatic but, you know, it's a small set of the 2.3
4 million.

5 DR. BORER: Dr. Jeevanandam and then
6 Dr. Bilazarian.

7 DR. JEEVANANDAM: I just have a question
8 about the monitoring. So when you have the
9 transtelephonic monitoring which is done on a regular
10 basis and those were scheduled every week, and then
11 if somebody was symptomatic, then they called in and
12 then I guess they downloaded. So what my question is
13 to the sponsor I guess, you know, what percentage of
14 the patients that we now say failed therapy were
15 because of the routine transtelephonic monitoring,
16 and what percentage were because they called in
17 because they were symptomatic? And I'm wondering
18 whether that percentage was different with the
19 control arm and the therapy arm and whether that was
20 different between OUS 1 versus everybody else. I
21 mean it's possible that OUS 1, no one called in for
22 symptomatic afib and they were just looking at the
23 scheduled telephonic monitoring.

24 DR. YAROSS: We had two slides in our
25 presentation this morning that showed blocked

1 diagrams of the reason for failure in the different
2 arms of the trial. May I have the first of that for
3 the ThermoCool group?

4 So if you look at the reasons for failure,
5 again of the failures in the ThermoCool group, 23
6 percent of the total failed due to symptomatic AF
7 recurrence, and the remaining 12 of the 36 failures
8 were due to other protocol adjudicated reasons, and
9 if you recall, none of these occurred at OUS 1 in
10 either category.

11 If I could have the next slide then to
12 compare that to the control group. In the control
13 group, 40 of the 47 failures were due to symptomatic
14 AF recurrence per the standard monitoring regimen
15 we've discussed, and the 7 remaining were because of
16 intolerance to the antiarrhythmic drug, which from an
17 intention to treat standpoint means the drugs cannot
18 be effective. Does that answer your question?

19 DR. JEEVANANDAM: Yes.

20 DR. BORER: Okay.

21 DR. WEINBERGER: Can I just ask one
22 question? Do these two slides imply that in the
23 protocol driven follow-up monitoring, there was never
24 a case of AF discovered when the patient was
25 asymptomatic?

1 DR. YAROSS: There were some asymptomatic
2 recurrences. In fact, we showed you those non-
3 primary analyses and showed that, in fact, that there
4 was a substantially larger difference between the
5 control and test when you looked -- a very large
6 difference also when we looked at the symptomatic
7 recurrence. There was also a very small difference
8 between the symptomatic and asymptomatic recurrence
9 curves, and those reflect the asymptomatic events
10 that were picked up in routine monitoring.

11 DR. BORER: Okay. Dr. Bilazarian.

12 DR. BILAZARIAN: I probably should have
13 asked this question earlier, but after this morning's
14 industry presentation, and you showed very nicely
15 your slide 67, CP67, where you excluded OUS 1 and
16 showed obviously the impact that that had on the
17 Kaplan-Meier curves, but then later in the morning
18 there was a discussion about how other European
19 centers were high volume and experienced. And I
20 wonder if there is available data on excluding the
21 other European centers?

22 DR. YAROSS: We have -- first of all, the
23 other OUS centers were not all European but we did
24 present earlier -- we talked to an analysis that
25 looked at discounting those centers, and I can ask

1 Dr. Berry to come up and speak to that, if that would
2 be helpful.

3 DR. BERRY: So we address discounting both
4 OUS 1 and the other OUS centers, and we, for example,
5 and Dr. Wilber presented this, this morning. If you
6 discount the OUS 1 and also the other OUS centers,
7 all four of the centers, well, I'll show you with the
8 risk of inundating you with numbers, if you focus
9 on -- this is OUS 1. These are the other three
10 sites. If you said I'm going to discount by a factor
11 of .8, some I'm only going to count 20 percent of the
12 data here, 20 percent of the data here, and this is
13 the number he showed, there's a 99.1 percent
14 probability of superiority based on that discount.

15 So what this would mean is of the 31
16 patients who were on ThermoCool in this site, we're
17 counting them as though there were 6 successes out of
18 6 and similarly here.

19 So if you discount completely, so that you
20 don't count any of them, then the probability is .89.
21 So it doesn't achieve the bounds, but if you count a
22 little bit, it does achieve the bounds.

23 DR. BILAZARIAN: So can I infer from that
24 analysis that as a clinician, you know we go from OUS
25 1 which has 100 versus 11 to the overall study of 72

1 versus 21, to excluding OUS 1 to what we saw in the
2 last slide, 47 versus 18, can I conclude by the 00
3 that that 47 versus 18 would be further narrowed? Is
4 that a fair conclusion?

5 DR. BERRY: No. It's mainly driven by the
6 sample size issue. So if we get rid of all of the
7 OUS studies, it decreases the precision that we have
8 in making a comparison. And so that's largely
9 driven -- it's because of power. So you have a
10 smaller trial, you have less power to be able to make
11 the conclusion. So it's essentially exclusively
12 driven by the sample size and not by changing the
13 bounds.

14 DR. BORER: The nominal difference would be
15 approximately the same. It's just that the
16 confidence intervals would be wider, and you wouldn't
17 have the same significance --

18 DR. BERRY: Yes.

19 DR. BORER: -- basically or whatever the
20 Bayesian term is.

21 DR. BERRY: Yes.

22 DR. YAROSS: If I can just follow-up on
23 that point. If we go ahead, I think the question
24 that was being raised was what happened if you
25 excluded all of the non-U.S. data, and we did present

1 this morning an analysis both of the primary endpoint
2 and of the additional evaluations of the U.S. data,
3 and if it would be helpful, we could show that again
4 briefly.

5 DR. BORER: Sure. Just that everybody has
6 it in mind.

7 DR. WILBER: Probably one other -- now this
8 is I think U.S. only. There's a couple of things.
9 Most of the differences in sites were really driven
10 by OUS 1, and so although we have comparisons of U.S.
11 and non-U.S., the differences in non-U.S. are really
12 largely driven by OUS 1, and once you take that out,
13 in fact, the differences in the remaining sites are
14 really very small compared.

15 Obviously it's important in this analysis
16 to think about what happens at U.S. sites only, and I
17 just want to emphasize that when you talk about not
18 protocol defined failures, but failures due to
19 recurrent atrial fibrillation, you see, if you
20 remember the analysis for all of the sites, including
21 OUS 1 was 75 percent and the ThermoCool were free of
22 atrial fibrillation. Well, if you exclude that site,
23 and you exclude the rest of the outside United States
24 sites, you still have about 61 percent. So that, in
25 fact, when you talk not about protocol defined

1 failures, deviations, but you just talk about
2 recurrent atrial fibrillation, in fact, the
3 difference between the U.S. and other sites is really
4 not that -- quite as striking as you might have taken
5 home, and it's 72 percent to 61 percent. And so
6 again, although clearly there was a superior ablation
7 outcome that was statistically significant at OUS 1,
8 in fact, the magnitude of the difference, when you're
9 talking about clinically meeting endpoints like
10 recurrent atrial fibrillation or any observed afib
11 recurrence, there's still a very strong performance
12 at U.S. sites that's somewhat less but not certainly
13 50 percent less than sites in the total trial.

14 DR. BORER: Okay. Dr. Karasik, we haven't
15 heard from you lately. Before we go onto the
16 questions, do you have any issues you want to raise
17 or questions or what have you?

18 DR. KARASIK: Yeah, I do. Thank you for
19 the opportunity. I guess my question, one for
20 Dr. Wilber, so you told us that the patients, well,
21 that the patients are similar between the OUS site 1
22 and the other sites, but I didn't really see any
23 patient demographics separated. Were the patients in
24 OUS 1 younger, healthier, different? We didn't see
25 any of that information.

1 DR. WILBER: We actually -- I didn't show
2 you the data although we talked about it briefly, so
3 if we can bring up that slide, I'll show you --

4 DR. KARASIK: It was the overall picture of
5 the patient population --

6 DR. WILBER: Yeah.

7 DR. KARASIK: -- but I didn't see a
8 separation.

9 DR. WILBER: Yeah. Patients at OUS 1 were
10 slightly different. The differences weren't
11 striking. You'll be able to see those in a minute.
12 They were slightly younger. They had slightly
13 smaller left atrial sizes and a slightly lower
14 incidence of structural heart disease, so more often
15 had structurally normal hearts. And you can see that
16 information here. In terms of age, 54 versus 56. Do
17 we have another slide on -- next slide on the
18 remaining demographics. You can see here for --
19 there you go. For most of these variables, they're
20 similar. A little minor difference in atrial
21 tachycardia, atrial flutter. Continue. Hypertension
22 is a little bit different. It's 44 versus 51,
23 although that particular one was not statistically
24 significant. You have left atrial size. I don't
25 have the left atrial size with us, but there was

1 again a slightly different incidence.

2 So in general they were matched, but there
3 were a couple of things that were again slightly more
4 favorable in OUS 1 compared to the remaining sites.

5 DR. KARASIK: Thank you.

6 DR. BORER: Okay. I think we've reached
7 that magical moment where we discuss the FDA
8 questions. We each have copies of them, and I'm
9 going to ask first of all that everyone comment,
10 every one of the Panelists comment on each question,
11 and we'll have different Panelists begin the
12 discussion.

13 The first one on design, number 1, David,
14 if you can read it and then give a response to it,
15 and then we'll to around the table.

16 DR. SLOTWINER: Okay. The question regards
17 design and patient selection. For inclusion in the
18 study, patients need to have demonstrated three
19 episodes of AF within the six month --

20 DR. ZUCKERMAN: Dr. Slotwiner, open up your
21 blue folder. The questions have slightly changed.
22 That's not question 1.

23 DR. SLOTWINER: Okay. All right. So new
24 question number 1. Design - comparison to standard
25 of care and generalizability of results. Therapy in

1 the medical control arm was limited to drugs approved
2 for treatment of atrial fibrillation. Per FDA's
3 recommendation, the list did not include amiodarone
4 which is commonly used off-label to treat atrial
5 fibrillation. Please discuss the impact of excluding
6 amiodarone as a treatment option in the medical
7 control arm. How does this affect the
8 generalizability of the control arm to medical
9 practice in the United States?

10 Well, I think we certainly use amiodarone
11 frequently for the treatment of atrial fibrillation,
12 but for these younger patients, I think the mean age
13 was 57, for these younger patients with paroxysmal
14 atrial fibrillation and no structural heart disease,
15 amiodarone would certainly not be a drug we would
16 want to turn to, and I think that the long-term risks
17 of amiodarone outweigh the risks of catheter ablation
18 substantially.

19 So I think that that was an appropriate and
20 reasonable design.

21 DR. BORER: Judah, what do you think of
22 this?

23 DR. WEINBERGER: As a clinician who uses
24 amiodarone, I would not be interested in using it in
25 such a young patient population. I tend to restrict

1 it to people in their seventies and above, where the
2 total length of time they're going to get an amio is
3 likely to be relatively short.

4 DR. BORER: Dr. Kelley.

5 DR. KELLEY: I would agree with that. I
6 think, you know, certainly people use it, but if you
7 look at why people stop the drug, maybe it's a little
8 more effective than the other agents, but it's
9 stopped more frequently to side effects. So I think
10 it's not considerably better than anything else.

11 DR. BORER: Dr. Bilazarian.

12 DR. BILAZARIAN: I agree completely. I use
13 it frequently in AF, but not in this patient
14 population, more commonly in the subsets, elderly and
15 structural heart disease patients.

16 DR. BORER: Mr. Halpin.

17 MR. HALPIN: It sounds like the FDA made a
18 good recommendation and the sponsor followed it.

19 DR. BORER: Dr. Fleming.

20 DR. FLEMING: Again, not being qualified
21 certainly to prescribe a drug like this, but as a
22 consumer, potentially of a drug like this, I do not
23 see its applicability in this particular condition
24 that we're considering today. So, no, I don't see it
25 as a problem that it was not used in the clinical

1 trial.

2 DR. BORER: Dr. Jeevanandam.

3 DR. JEEVANANDAM: As a surgeon, I use it in
4 the immediate post-operative period, but for a very
5 controlled time period. So we don't use it for more
6 than six weeks. So I don't think I'd use it in this
7 patient population.

8 DR. BORER: Dr. Karasik.

9 DR. KARASIK: Well, working in a veterans'
10 hospital, I see a somewhat different population, and
11 we use quite a lot of amiodarone and we use it quite
12 successfully. I think if there had been an
13 amiodarone arm in the trial, we would see a very
14 different set of Kaplan-Meier survival curves
15 especially when you take the OUS site 1. So I do
16 have a little bit of problem with not using the drug
17 in this study, although I agree with the comments
18 about wanting to avoid the drug in the younger
19 population.

20 DR. ZUCKERMAN: Dr. Karasik, can you expand
21 upon how you think the results would have been
22 changed? That's the purpose of this question.
23 Certainly there's no Medicare age cutoff for this
24 type of trial. It did turn out that mean age was in
25 the 50s, but in the 65 and older, are you implying --

1 how much do you think these results suggest a very
2 liberal difference between treatment and control?

3 DR. KARASIK: Well, I think there's ample
4 data in the literature that suggests that you can get
5 about a 40 percent success rate with amiodarone in
6 terms of freedom from atrial fibrillation within the
7 first year of therapy. And that would compare to
8 that 47 percent freedom in the group of patients if
9 you remove the OUS 1 site. So I just think the
10 results would look a bit different if amiodarone had
11 been used in this trial.

12 DR. BILAZARIAN: I agree as well, but I
13 would further add, and hopefully you would agree that
14 not only would we get that kind of result 40, maybe
15 even 50 percent reduction in atrial fibrillation in
16 that one year, but the rate of symptomatic atrial
17 fibrillation may be even higher than that. So the
18 reduction in the amount of patients on amiodarone
19 that may have atrial fibrillation but without
20 symptoms may even be higher than 40 to 50 percent.

21 DR. BORER: Dr. Somberg.

22 DR. SOMBERG: Yeah, I think all that is
23 true but there are two factors here. Number one is
24 that you couldn't design a trial with amiodarone
25 because of its pharmacogenetic effects, and the

1 second thing is, amiodarone has a terrible side
2 effect profile. So while you might get reduction in
3 symptoms, which I agree 100 percent and show high
4 efficacy antiarrhythmic drug, it wouldn't be -- I
5 mean you would have to then -- the other side of the
6 coin is you would have a much higher incidence of
7 adverse effects, even at nine months on the
8 amiodarone group compared to the ablation. So then
9 you would have a risk-benefit ratio that would still
10 come out in favor in all likelihood of the ablation.
11 So, you know, I mean there's a tremendous need for
12 amiodarone without amiodarone's adversity, no
13 question about it, and when that appears, maybe
14 another set of studies in the literature will be
15 needed, but that doesn't relate to the device itself.

16 The device I think has shown that it is
17 potentially effective with these analyses curves when
18 one compares it to the drug therapies that ought to
19 be used given the similar side effect profiles.

20 DR. BORER: Dr. Naftel.

21 DR. NAFTEL: I agree with Dr. Somberg.

22 DR. SOMBERG: Oh, well, thank you so much.
23 I'm greatly honored.

24 DR. BORER: I agree what's been said about
25 the concern about amiodarone toxicity and the fact

1 that the toxicity is dose cumulative, so that with
2 time you can expect more and more problems. You
3 know, that may be less of a problem with people as
4 they get older and get less of it. On the other
5 hand, my understanding of the distribution of PAF is
6 that it tends to be in relatively younger rather than
7 relatively older people, and when they get older,
8 people tend to have persistent AF, a totally
9 different ballpark.

10 But I would look at this in another way
11 that hasn't been mentioned, and I just want to say it
12 so it's on the record. No matter what antiarrhythmic
13 drug you use, and the protocol called for this,
14 patients need to be anticoagulated, and that's true
15 with amiodarone as well as with any other drug, and
16 especially as they get older. And that is a little
17 bit of a problem because now you've added some
18 morbidity to the strategy, which if I understood
19 correctly was not part of the issue for many of the
20 patients who are in the catheter therapy arm. Now,
21 maybe it should have been, but that's a different
22 issue. It wasn't.

23 So I think, you know, we do have to
24 consider that any drug therapy would mandate, unless
25 there were some major contraindication, an

1 anticoagulant adjunct.

2 The other point is that I think we're being
3 asked to judge here whether the catheter based
4 therapy is effective and acceptably safe for the
5 intended use. That means that the catheter based
6 therapy has to be compared to something. It doesn't
7 necessarily mean it has to be compared to the kitchen
8 sink. It just has to be compared to something that
9 we have a reasonable basis to expect to work, and
10 that was done here, and without jumping the gun on
11 these questions, the catheter based therapy looked
12 like it worked better, which tells us something about
13 effectiveness which is what we really needed to know,
14 whether giving amiodarone in some strategy would have
15 resulted in greater efficacy without unacceptable
16 adversity. I don't know. You'd have to do the trial
17 if it were even doable, but I don't think that's our
18 primary concern. So I would accept the study as it
19 was designed.

20 DR. SOMBERG: Dr. Borer.

21 DR. BORER: Yes.

22 DR. SOMBERG: You brought up an important
23 point, and I just wanted to add one thing to that was
24 the use of anticoagulation. I think we should as a
25 group stay away from any mention, I should say this

1 now, you know, in the label or recommendations, that
2 because the catheter ablation decreased symptomatic
3 atrial fibrillation, we are any way recommending that
4 they not receive anticoagulation because some of the
5 point I was trying to make was if you monitored these
6 people enough with Holter, you may be reducing
7 symptomatic, but I think you would still have
8 episodes of PAF and those things, you know, going in
9 and out is what can give you the embolic phenomena.
10 So I would not, because those curves are beautiful,
11 they separate out so much, especially with OUS 1 in
12 there, I still would think these people need
13 anticoagulation therapy.

14 So if the clinicians from the company think
15 otherwise, or anything, maybe I should be re-educated
16 but that's my understanding, that the success of
17 ablation does not preclude the need for
18 anticoagulation therapy.

19 DR. BORER: Dr. Zuckerman, have we
20 responded adequately here?

21 DR. ZUCKERMAN: Yes, you have. You've
22 given us an idea that this was a reasonably designed
23 trial, and the amio issue was not the major point
24 here.

25 DR. BORER: Okay. Now, number 2, maybe I

1 can ask Dr. Naftel to read that one and give the
2 first answer about poolability.

3 DR. NAFTEL: Please discuss -- do you want
4 me to read the whole paragraph?

5 DR. BORER: Just the part about outside the
6 U.S., poolability.

7 DR. NAFTEL: Okay. Outside of the U.S.
8 sites enrolled 60 percent of all patients in the
9 study. These sites generally performed better than
10 the U.S. sites as evidenced by the chronic
11 effectiveness result reported at the highest
12 enrolling site. At this site, none of the 31
13 ThermoCool subjects failed during the nine-month
14 period, whereas chronic success rate for ThermoCool
15 subjects in the remaining sites was 47 percent. The
16 respective control group success rates were 11 and 18
17 percent, for the highest enrolling site and remaining
18 sites. In addition, there were some differences in
19 patient treatment between the outside U.S. and U.S.
20 subjects. However, the posterior probability that
21 the ThermoCool ablation group is superior to the AAD
22 group was .997 for the remaining sites alone.

23 So the question is, please discuss the
24 impact of differences between outside U.S. and U.S.
25 sites on generalizability of the reported results to

1 a solely U.S. population.

2 Do you want me to give it the first shot?

3 DR. BORER: Please.

4 DR. NAFTEL: So it seemed to me from all
5 the discussion the impression I got was that it was
6 an issue of experience. Certainly that was the
7 feeling. I know when someone asked if there was an
8 analysis of experience across time in the entire
9 study, I think the answer was that we didn't have
10 enough patients at individual sites. Perhaps you
11 could have done something in some of the larger
12 enrolling sites because I think that's the issue.

13 It's maybe encouraging that the U.S. sites
14 still had a good result. The point was made that
15 those are perhaps centers of excellence, centers that
16 you think would do well.

17 To me, the whole study is fine like it is.
18 For me, I think I'm personally happy to generalize
19 the reports, the results to a U.S. population, and I
20 totally understand we're judging everything on the
21 basis of what's in front of us and happy to do that,
22 but then the next step is that postmarket study that
23 I think is just going to be critical and needs to be
24 designed correctly, and I think one of the endpoints
25 was look at five years at the patients. I hope we'll

1 look a whole lot sooner than that to see what's going
2 on.

3 DR. BORER: Dr. Somberg.

4 DR. SOMBERG: I was impressed by the lack
5 of differences in the patient population and the
6 dramatic difference between OUS 1 and the other
7 sites, and I agree with Dr. Naftel completely. It's
8 an experience which should be mentioned in the
9 product labeling that this is a technique, dependent
10 technique.

11 DR. BORER: Dr. Karasik.

12 DR. KARASIK: I agree with everything
13 that's been said so far. I mean I think we were all
14 struck by the difference. I was reassured to hear
15 that statistically the differences were not
16 significant, and I do think that, understanding how
17 the catheter is implemented in the future, if it's
18 approved, is going to be really important to know
19 that the effectiveness of the therapy stays high and
20 doesn't drop off as more inexperienced people use it.

21 DR. BORER: Dr. Jeevanandam.

22 DR. JEEVANANDAM: Clearly I agree with
23 everybody that the difference was striking. I mean
24 you go down from 70 percent to 47 percent if you took
25 out OUS 1, and I think there's some data that

1 suggests that the recipients had less atrial
2 tachycardia and had a smaller left atrial size, which
3 all are predictors, at least in the surgical
4 literature, of the success of these procedures. So I
5 think that perhaps there are patients who are less
6 sick for a less period of time, and perhaps that was
7 what some of their results were. I mean it's hard
8 for me to imagine that with all the sites in the
9 U.S., that I know that there could be such a dramatic
10 difference just because of pure technique.

11 And perhaps I'm also tainted by some of the
12 bad work because, you know, the European sites have
13 totally dramatically different results than the
14 American sites do.

15 Having said that, I mean, you know, it
16 looks like even without the European sites or outside
17 the U.S. sites, I don't know if they're European, we
18 still show that this was a device that was effective.

19 So I am a little more leery about pooling
20 the data, but I think even with that point, it stands
21 by itself.

22 DR. BORER: Dr. Fleming.

23 DR. FLEMING: I'm in agreement with the
24 rest of the comments. I do think it is a matter of
25 experience. I do detect that there is a patient

1 selection issue which emphasizes the need to properly
2 select patients for this procedure. So even though
3 the data seems to wash out to some extent, the
4 differences are dramatic, but nonetheless I still
5 think that we can generalize to the general U.S.
6 population here. I don't think that that's an error
7 to do so.

8 DR. BORER: Mr. Halpin.

9 MR. HALPIN: As a sponsor who's had to
10 defend and look at clinical trials, you often see
11 results that are not easy to explain. I think the
12 fact that if you look at the U.S. results alone, that
13 the data still meets its requirements, shows that
14 it's going to work in the U.S., and I think the fact
15 that in Europe it's being used and the results are
16 very good I think just adds to that picture. So I
17 think the data looks good.

18 DR. BORER: Dr. Bilazarian.

19 DR. BILAZARIAN: I agree with the prior
20 comments. I guess I would just ask the FDA in their
21 further review to see whether there is a linkage as
22 has been suggested, more clearly between the
23 experience of both the center and the operators that
24 can be explained in some other way and that might
25 help define the future use.

1 DR. BORER: Dr. Kelley.

2 DR. KELLEY: I pretty much agree with
3 everything. I mean I don't know how poolability is.
4 It's so different, but I think we still have
5 efficacy, and I think the biggest concern is what
6 will happen. If we really think experience is so
7 important, what's going to happen when we generalize
8 it to fairly inexperienced operators and centers?

9 DR. BORER: Dr. Slotwiner.

10 DR. SLOTWINER: I mean I don't quite
11 understand why the data is so different, but the U.S.
12 data does stand on its own, whether it's experience
13 or other factors in Europe I don't know outside the
14 U.S., but I feel comfortable with the U.S. data.

15 DR. BORER: Dr. Weinberger.

16 DR. WEINBERGER: I don't really have much
17 to add other than to say that clearly the statistics
18 say that this is an effective therapy even excluding
19 OUS 1. I think that our estimation of the magnitude
20 of effectiveness, however, varies dramatically
21 whether or not you include OUS 1, and I think that
22 ultimately when we're asked to judge about safety
23 versus efficacy, we have to take into account our
24 estimation of the magnitude of effectiveness. So at
25 that point, we'll have to worry about it.

1 DR. BORER: Okay. I agree. I think this
2 is fine when you pool or you don't pool. There were
3 so many analyses done, and they all went the same
4 way.

5 I would point out with regard to the
6 magnitude of effect, which I think is very important,
7 this was a small trial. There just weren't many
8 numbers there, you know. There were many endpoints;
9 there weren't many patients. The precision with
10 which a point estimate could be made, I think, is
11 relatively modest compared to what we're accustomed
12 to looking at now, 10,000 patient drug trials, so I'm
13 not as troubled by the apparent difference in
14 magnitude of effect between OUS 1 and the U.S. sites
15 as I might have been if there were more precision.

16 I don't know why the difference, if it's
17 real. I don't know if it's real, but if it's real, I
18 don't know why it exists. It could be experience and
19 that would be my intuition, but then there are the 23
20 patients who had the RA lesion put in
21 prophylactically. Who knows what that means? And
22 having said that, we've now defined some things that
23 have to be, if we believe this should be approved,
24 and the FDA chooses to do so, we've defined some
25 issues beyond the approvability issues that would

1 need to be explored in phase 4, in postmarketing.

2 Okay. Now, we come to --

3 DR. ZUCKERMAN: Dr. Borer, could I ask you
4 for a little bit more clarification because from the
5 FDA perspective, this is an important issue as the
6 Panel has appreciated it.

7 I've heard two hypotheses so far regarding
8 the difference between U.S. and OUS. One is it's an
9 experience issue. The other is it remains
10 indeterminate. Is there consensus on the Panel as to
11 which way the Panel is swaying?

12 DR. BORER: Between those two. Okay. Why
13 don't we just ask? Dr. Bilazarian, do you want come
14 down on one or the other?

15 DR. BILAZARIAN: (Off mic.)

16 DR. BORER: Dr. Kelley.

17 DR. KELLEY: You know, I don't think I
18 know. One hundred percent for afib is pretty
19 amazing, and it's hard to imagine human experience
20 doing that, but I don't think the clinical
21 differences were that significant. So if I had to
22 pick, I'd pick experience.

23 DR. BORER: David.

24 DR. SLOTWINER: I don't know. When I came
25 in, I was thinking that it was experience, but as I

1 look at the centers, the U.S. centers, they have a
2 lot of experience, and certainly the OUS 1 site shows
3 that there's more than just experience that's
4 factored into the difference of the numbers. So I
5 think that it's not just experience. I think that
6 there's some other factor, whether it's style of
7 reporting for patients or I don't know, but I think
8 it's not just experience.

9 DR. BORER: Judah.

10 DR. WEINBERGER: I don't think we can tell
11 from what information we have.

12 DR. NAFTEL: I'm leaning towards
13 experience.

14 DR. BORER: John.

15 DR. SOMBERG: Well, I think it's experience
16 because it's not that there was about -- it says from
17 upstairs it's experience, you know, so let's go with
18 the vote. It's not that this center has, you know,
19 great, you know, testimonials and then but has the
20 same number of patients. They have so many more
21 patients and they were doing the study and they have
22 the same pressures on them that, you know, people
23 don't want to get randomized, et cetera, and they
24 came for ablation and all that, but they still were
25 able to put in. So I think they have so many more

1 patients and have been doing it for a lengthy time,
2 that may be longer, and there maybe -- and, you know,
3 it's not just experience of doing it more. It's also
4 the technique is what I said and how they go about
5 doing it.

6 I sort of felt when I came in that it may
7 have been due to the other ablative procedure there,
8 but Dr. Wilber convinced me that the atrial
9 tachycardia is not necessarily or the atrial flutter
10 I should say is not the trigger for the recurrent
11 PAF. So that seems to speak against that, you know,
12 that part of the literature doesn't go. So I think
13 the hypothesis I would test would be experience, and
14 that's a good one because as our centers get more and
15 more experience as well with this catheter and
16 technique, then hopefully everyone's 100 percent.

17 DR. BORER: Dr. Karasik.

18 DR. KARASIK: That's what we aim for. I
19 think it's experience, exuberance, and it may be
20 patient selection in a center that really has a very
21 high volume normally. I don't think we can say any
22 more than that with the data we have, small numbers.

23 DR. JEEVANANDAM: I mean I think clearly
24 they're experienced, but I think there are other
25 factors definitely in play here.

1 DR. FLEMING: Well, I would agree with
2 Dr. Kelley, that it's hard to believe that 100
3 percent sometimes in anything is 100 percent, nor
4 would I think it's 100 percent experience. I think
5 it's probably a combination of experience, patient
6 selection, and perhaps what's not been mentioned is
7 post-operative management. I think the post-
8 procedural management may have played into this
9 dramatically in terms of recurrent afib.

10 MR. HALPIN: I would vote on experience
11 just based having more exposure to the catheter
12 combined with what the individual differences and
13 practices and the person may have a lot more.

14 DR. BORER: For whatever it's worth, I
15 don't know why there was the difference. I think
16 there were several factors that may have been
17 involved. Experience may be one, the lesions, the
18 adherence to the protocol with drugs, you know, who
19 knows. Patient selection. I don't think we can say
20 for sure from this.

21 DR. ZUCKERMAN: Okay. Dr. Borer, your
22 summary is helpful. The second thing that I'm going
23 to ask you and other Panel members to think about
24 when we do come to the hypothetical labeling
25 question, which is question 5, is that the Agency has

1 an obligation to detail or write up this trial in the
2 label in a truthful and accurate manner, and actually
3 honing in and describing this particular point will
4 be something that we're going to ask your advice on.

5 DR. BORER: Okay. Are we finished with
6 this question for now?

7 DR. ZUCKERMAN: Yes.

8 DR. BORER: Okay. We'll move onto the next
9 one. This is safety. Dr. Bilazarian, why don't you
10 start with that one?

11 DR. BILAZARIAN: The seven-day primary
12 adverse event rate in the pivotal study was 10.8
13 percent with a 95 percent upper confidence bound of
14 16.1. The adverse events included in the primary
15 adverse event analysis are the following: and
16 they're listed in the table. This study reported no
17 occurrences of death, stroke, atrioesophageal
18 fistula, myocardial infarction or thromboemboli
19 within seven days of the ablation procedure. These
20 serious adverse events have been reported in the
21 literature for AF ablation procedures. The
22 prespecified target upper confidence bound was 16.0
23 percent.

24 Please discuss whether the safety results
25 demonstrate that there is a reasonable assurance that

1 the device is safe for the treatment of drug-
2 refractory recurrent symptomatic paroxysmal atrial
3 fibrillation.

4 DR. BORER: So what do you think?

5 DR. BILAZARIAN: I think that the summary
6 statement of it exceeding its prespecified confidence
7 bound is mitigated for me by the fact that this
8 composite includes softer adverse events, like
9 hospitalization and vascular complications, and there
10 is very little in the more concerning permanent,
11 disabling issues like death, stroke, fistula,
12 myocardial infarction. So for me, although they
13 missed that safety endpoint as a composite, I was
14 reassured that the things that did occur were
15 reversible or self-resolving. And again to harp on a
16 repeated comment here, perhaps may be affected by a
17 learning curve.

18 DR. BORER: Can I just add something here?
19 Do you believe that these data suggest that there's
20 not going to be any death, strokes, atrioesophageal
21 fistulas, myocardial infarctions or thromboemboli
22 within seven days of the procedure?

23 DR. BILAZARIAN: Yes, in OUS 1.

24 DR. BORER: Even there I might, if I were a
25 betting person, bet against you. Okay. Dr. Kelley.

1 DR. KELLEY: Well, I mean I think the data
2 support that it's safe. It's a small trial, and as
3 you mentioned, there will be strokes. There will be
4 deaths. The only other comment I have is about the
5 drug-refractory because I'm still a little unclear if
6 someone goes on a beta blocker for a little while and
7 aggravated and tolerated or they went a little too
8 fast, they could be in the trial. And I'm not
9 certain that that's the spirit of what we usually
10 consider drug-refractory atrial fibrillation.

11 DR. BORER: I think we're going to come to
12 that next.

13 DR. ZUCKERMAN: Okay. Dr. Kelley, if I
14 could ask you to expand a little bit further. I'm
15 sorry for interrupting you, Dr. Borer. If we were to
16 rewrite this question right now, we would ask about
17 the concept of global device safety, which I think
18 Dr. Bilazarian just mentioned as well as safety for
19 each of the individual five devices. When you speak
20 about safety, are you just speaking about the
21 catheter that was used in the trial or all five
22 devices that the sponsor potentially wants approved?

23 DR. KELLEY: Well, I'm speaking about the
24 catheter used in the trial with a navigation system.

25 DR. ZUCKERMAN: Okay. And what would you

1 say about the four other devices?

2 DR. KELLEY: I don't think we have that
3 information. I could guess that the bidirectional
4 catheter would probably be equally safe, but I
5 would -- I don't think I could guess that it would be
6 without navigation. I don't think we have that
7 information.

8 DR. BORER: David.

9 MR. SLOTWINER: Yeah, I agree fully with
10 Dr. Kelley. I think the catheter tested looks safe
11 with the navigation system as tested.

12 DR. BORER: Judah.

13 DR. WEINBERGER: I agree with what's been
14 said.

15 DR. BORER: Dr. Naftel.

16 DR. NAFTEL: It's really nice to see that
17 everybody's not hung up on that 16.1 percent, and so
18 I appreciate that. And if you just had one less
19 event, it would meet the requirement just fine, and
20 the point that's made in the question, no occurrence
21 of death, stroke, et cetera. So I vote that it's
22 doing just fine.

23 DR. BORER: Dr. Somberg.

24 DR. SOMBERG: Well, I think everyone likes
25 me because my dissenting voice is here. I don't

1 think we have safety information on a study this
2 small, and I think anyone who says this device is
3 safe on this small a number is, you know, we don't
4 have the ability to do that.

5 Now, with that said, I think the way, and I
6 was thinking about this earlier, the way the data
7 should have been presented was these catheters have
8 been use extensively, not in the atrial fibrillation
9 ablation but, you know, the incidence of myocardial
10 infarction, the incidence of AD fistulas, the
11 incidence of a whole series of things. It's a
12 generic problem. So the experience should have been
13 and any company in this field should try to have a
14 registry where they get very large experience for all
15 these, I will use the word, generic adversities.

16 So I think what I would say is we're going
17 to be able to make a judgment on risk-benefit for
18 this particular indication for essentially one
19 catheter, but I think it is generalizable for the FDA
20 if they take into account the totality of the
21 evidence they have, further information, and for the
22 other uses from VT ablation to atrial flutter, et
23 cetera, and I think that could be put in the label.
24 So where we might say we saw no MI, no embolization,
25 et cetera, et cetera, in this particular 150

1 something patient study, in compiling all the
2 experience with these catheters, we see an incidence
3 of this, this, this and this, and that's what, if I
4 was a clinician talking with a patient, I would talk
5 about because those are the overall incidences of the
6 problem. You're smiling, Dr. Zuckerman.

7 DR. ZUCKERMAN: No.

8 DR. BORER: Can I just ask you,
9 Dr. Somberg, in fact, although they didn't talk about
10 it here, in the Panel pack, the sponsor did present
11 the data on the other catheters from the studies that
12 were pivotal for approval in the proposed label, and
13 would you feel better if I told you that the numbers
14 in those trials with approximately similar numbers of
15 patients being studied were similar to what we saw
16 here?

17 DR. SOMBERG: No, because I saw that. What
18 I'm interested in is at the post-approval experience
19 which is in the tens of thousands while the --
20 experience is maybe less than 500 or, you know,
21 something in that nature. So I think -- I'm
22 interested in post-approval surveillance data to be
23 incorporated because, correct me if I'm wrong, some
24 of these catheters have been out there since 2000,
25 and there's also OUS experience which can be

1 commented on as well.

2 DR. BORER: Let me just ask so that maybe
3 we can get some context here. From the numbers we
4 have, from the numbers that are presented in this
5 book and even in this trial, we can calculate what
6 the upper bound of the 95 percent confidence interval
7 is for adversity. It's going to be a lot higher than
8 the point estimate, but we could calculate it. I
9 couldn't. You could calculate it, you know,
10 Dr. Thompson could calculate it. Did the FDA assess
11 this? I mean you've got a whole set of data here.
12 You have a point estimate for adversity, you have an
13 upper bound of the confidence interval. In fact, you
14 gave it to us, 16.1 percent for all the events, is
15 the upper bound of the 95 percent confidence
16 interval, I think that constitutes data. If you want
17 to look at the terrible events, the tragedies which
18 were far fewer, you can calculate the upper bound of
19 the 95 percent confidence interval there, but, you
20 know, am I incorrect here? I mean we do have a
21 context within which we can judge the worst-case
22 scenario, I think. Is that correct? Give us some
23 wisdom.

24 DR. ELOFF: In the context of today's Panel
25 meeting, FDA is asking the distinguished members of

1 the Panel for your recommendations as to whether or
2 not the data presented in this package and that
3 you've seen today, presented both by FDA and the
4 sponsor, provides a reasonable assurance of safety
5 and effectiveness for this device when used to treat
6 symptomatic paroxysmal atrial fibrillation.

7 As much as I think Dr. Somberg has a very
8 good point on the lack of events, like the serious
9 events being observed in this group, I think that may
10 speak to the potential true incidence of these events
11 being seen in practice. We have no way of
12 understanding that, of knowing that, based on the
13 data in the trial presented. We have to work within
14 the confines of the trial that was performed to come
15 up with this information.

16 I will bring up a point that Ellen Pinnow
17 made with regards to the postmarket evaluation when
18 we get to that point. Postmarket data cannot and
19 should not be used to answer the primary question of
20 whether or not the data presented in the premarket
21 phase constitutes valid scientific evidence showing a
22 reasonable assurance of safety and effectiveness for
23 this device.

24 DR. BORER: Yeah, I appreciate that, and we
25 all do. My only point was and, you know, I'm not a

1 statistician, but I think I'm right here, that when
2 you have data, you can define a point estimate, and
3 you can define confidence intervals around it which
4 provide a worst-case scenario, the upper bound of the
5 confidence interval. And so we can make -- you want
6 us to make a judgment and we will. I promise you we
7 will, and I think we can, even if we can't accept
8 that there were -- even if we know there weren't many
9 events and the precision of the point estimate may
10 not be what we wish it were, we can say what the
11 worst-case scenario is, and we can therefore make a
12 judgment of benefit versus of apparent benefit within
13 the limits of precision that we have with that
14 parameter, versus risk. I think we can do it. It's
15 not that we have no data. We do have data and we can
16 make a determination of worst-case risk or something
17 like risk-based, I think. So I would suggest that
18 maybe it's not right to say we have no data. We do
19 have data.

20 But having said that, out of turn, let me
21 go onto Dr. Karasik.

22 DR. KARASIK: I think that the safety
23 results from this trial are in keeping and in line
24 with the data that we have from many other trials of
25 ablative therapy. But we touched on this one thing

1 only briefly, which is that what makes this catheter
2 unique is that it's an open irrigation catheter, and
3 so the patients receive a fair amount of volume
4 during the procedures when they are lengthy, as
5 atrial fibrillation ablations typically are with a
6 mean time in this study of 211 minutes, I think. And
7 so patients received upwards the average of 2, 2.2
8 liters of saline during the procedure.

9 Now, these patients all had normal ejection
10 fractions. There was one patient who had an EF of
11 less than 40 by echo but -- suggested the EF was over
12 50. But this was a very specific set of patients
13 with normal ejection fractions. And I think we have
14 to be very careful in thinking about a more general
15 or a larger population of patients who might then be
16 offered a procedure where they could get 2.5 liters
17 of normal saline in 2 or 3 hours.

18 And, you know, the catheter has been used
19 and approved for the use in ventricular tachycardia,
20 but there I think the risk-benefit analysis would be
21 different because you're dealing with a life
22 threatening illness, and here we're treating
23 symptomatic PAF, which although miserable is not
24 usually life threatening.

25 And so I would just want us to consider

1 that as we go forward, and if we were considering any
2 labelings or indications for use, that this is in a
3 patient population with an EF greater than 60 percent
4 which is different.

5 DR. JEEVANANDAM: I agree. I have nothing.
6 I agree with what everybody's said. I think
7 Dr. Karasik's points are very important, that this is
8 a particular patient population, and the 16.1 versus
9 16 doesn't bother me. If you look at the actual
10 complications, a lot of them are just prolonged
11 hospitalization, which is not a big issue.

12 DR. FLEMING: I'm very satisfied about the
13 device, and I would extend it actually to the other
14 four catheters because they've been in use a long
15 period of time as well. Again, I'm not an expert in
16 that area, but it seems to me that the data does
17 support safety beyond -- it's reasonable. That's the
18 word we need to use, I think.

19 DR. BORER: Mr. Halpin.

20 MR. HALPIN: Yes, as an approved catheter
21 that's being studied for a new indication, PAF, the
22 data looks good. I didn't see anything and I didn't
23 hear anything that would lead me to be concerned that
24 there's something particular to applying this already
25 approved device to PAF as an indication of treatment

1 of ablation.

2 DR. BORER: Okay. I don't see any major
3 safety issue that would preclude me from judging that
4 this device is acceptably safe for the intended use,
5 but you asked about all five catheters, and there I
6 have to demur a little bit.

7 I'm impressed with what David said earlier
8 about the possibility that the bidirectional catheter
9 may be just a little bit stiffer and what Dr. Karasik
10 said about the risk-benefit relationship in this
11 particular population. This catheter has to go
12 across the intra-atrial septum with the potential to
13 wreak havoc, and if it were a little bit stiffer, it
14 could do that. Having done a lot of
15 catheterizations, I'm quite familiar with that
16 possibility. So I would be a little concerned about
17 that, and I would be very concerned about
18 extrapolating from a system that was used in a
19 certain way with mapping in a study, 100 percent used
20 that way, extrapolating from that to the risk-benefit
21 relationship of using something that doesn't have
22 mapping associated with it. I'm not suggesting that
23 the other catheters are necessarily less safe. Maybe
24 they're more safe. I don't know.

25 But, you know, in line with Dr. Somberg's

1 point, these numbers are small. I can't compare one
2 to another. I can't compare a study done several
3 years ago for one indication with a study done today
4 for this indication with a different catheter. I
5 don't think there's any way to do that. So I would
6 feel very comfortable with the acceptability of the
7 safety of this device for this indication. I don't
8 think I can go any further than that.

9 Have you heard enough from everybody about
10 this?

11 DR. ZUCKERMAN: Yes, that's very helpful.
12 To give you a ballpark estimate of what the safety is
13 right now in terms of what we know, because I think
14 this may be critical for figure out how a post-
15 approval study might be able to make things more
16 precise or give us a better picture, I think there
17 are 139 patients in this study. So for events where
18 there are 0 adverse events, like stroke, Dave, can
19 you help us here? If you use the rule of 3, would
20 that be a good approximation; 3 over 139 is about 2.2
21 percent. So we're still in that wide region that
22 Dr. Somberg is telling us about. David.

23 DR. NAFTEL: I agree totally.

24 DR. ZUCKERMAN: Okay.

25 DR. NAFTEL: Yes.

1 DR. BORER: That's the worst-case scenario
2 issue that I was trying, not very well, to raise
3 earlier. I think you've just done it for us and, you
4 know, we know what we know and we don't know any
5 more, and we'll learn more with more data, but I
6 think what we've told you is that we feel comfortable
7 that we have enough information now to make a risk-
8 benefit relationship assessment for this device
9 today, and then the FDA will do what it will do.

10 The next issue is effectiveness. Why don't
11 we start with Dr. Kelley. You want to take that one.

12 DR. KELLEY: Effectiveness Results -
13 General. The results of the study demonstrate
14 freedom from symptomatic AF in the 9-month evaluation
15 period in 53 out of 103 patients enrolled in the
16 ablation arm, not including 14 censored patients
17 compared with 9 out of 56 in the medical control arm.

18 Using available data only, the posterior
19 probability of increased effectiveness, i.e.
20 superiority, of ablation over control for freedom
21 from symptomatic AF at 9 months was greater than
22 .999, which exceeded the prespecified criterion of
23 .98. In addition, the predictive probability of
24 concluding superiority of ablation over control, had
25 the full 230 subjects been enrolled and have

1 outcomes, is greater than .999.

2 Please discuss whether the chronic
3 effectiveness results demonstrate that there is a
4 reasonable assurance that the device is effective for
5 the treatment of drug-refractory recurrent
6 symptomatic paroxysmal atrial fibrillation.

7 So I would agree that it is effective with
8 a few caveats. Again, the patient population is
9 somewhat not representative of a larger population
10 with atrial fibrillation. The mean atrial size here
11 was 4, which is certainly not what I see in most afib
12 patient. There are very few with structural heart
13 disease. In addition, they all used CARTO, which I
14 think is important, and I would echo my earlier
15 comment about the drug-refractory characterization.

16 DR. BORER: Dr. Bilazarian.

17 DR. BILAZARIAN: I certainly agree that it
18 seems effective, but it's of marginal clinical
19 significance, thinking as a clinician, the 47 versus
20 18 percent difference that we see when we exclude OUS
21 1 is relatively disappointing as a clinician, to
22 refer a patient for a procedure with this catheter in
23 this setting and be able to quote a likelihood of
24 being AF free even at 90 days, since the curve seems
25 to be flat up to 90 days of less than 1 and 2. It's

1 disappointing from a clinically effective standpoint.

2 So I think I'm being asked whether it's
3 effective based on statistical criteria, and I would
4 say that I can't refute that it is, but from a
5 clinician's viewpoint, to refer someone to very
6 experienced operators at very experienced sites, with
7 mapping, state of the art sort of approach, and end
8 up with a likelihood of being AF free at 90 days of
9 less than 50 percent is a disappointing result.

10 DR. ZUCKERMAN: If I could just ask
11 subsequent Panel members when they're talking about
12 this important question, as with the prior one, to
13 make clear for the record whether you're talking
14 about all five catheters or the catheters
15 individually.

16 DR. BILAZARIAN: Thank you. So I haven't
17 commented on the five catheter question, and I would
18 say that from my understanding of what has been
19 explained here, that the two catheters, that they
20 would be relatively equivalent but I certainly agree
21 with others' comments that I would not include the
22 guidance catheters in that since they have not been
23 investigated at all and may be as suggested, more or
24 less safe. We certainly have no data on that. So I
25 would feel comfortable extrapolating -- certainly

1 comfortable with my comments for this one catheter
2 that's presented, and I would be comfortable
3 extrapolating it to the bidirectional catheter. So
4 that's two catheters, but I would not be willing to
5 extrapolate it beyond that.

6 DR. BORER: David.

7 DR. SLOTWINER: I think the catheter
8 studied in the patient population study, with the
9 mapping system study, is clearly effective.

10 DR. BORER: Judah.

11 DR. WEINBERGER: I think that statistical
12 effectiveness has been demonstrated. I think
13 clinical utility is a different question. I don't
14 know if we're being asked to comment on that. Within
15 the other question that was raised about
16 generalizability to non-study catheters, again I
17 would say that we don't have enough information to
18 generalize.

19 DR. ZUCKERMAN: Okay. Dr. Weinberger,
20 you're always asked to put on your clinician's hat.
21 So can you be a little bit more specific?

22 DR. WEINBERGER: Okay. I think there is a
23 subset of patients who will drive me crazy enough
24 that I'm willing to send them for a catheter ablation
25 procedure which has a 50/50 chance of working after 8

1 hours in the EP lab. There is a subset of such
2 patients. They don't constitute the run of the mill
3 patients with atrial fibrillation. So there exists a
4 set of patients, I'm not an electrophysiologist, for
5 whom I would be willing to send them for a procedure
6 based upon this study with this catheter. I think
7 it's a relatively small fraction of patients who have
8 atrial fibrillation.

9 That being said, I think that in the
10 absence of anything else that works for those
11 patients, we need an option. So there is an option
12 that will treat half those patients after they've
13 been exposed to it.

14 So I think that, you know, like you've got
15 to try to consider the role of the therapy. This
16 therapy has a role for patients who have not just met
17 the criteria here of the study, but I don't think if
18 I had a 38-year-old patient who, you know, who hadn't
19 had a very extensive drug trial, I'd be willing to
20 send him for this procedure, notwithstanding the fact
21 that there's a 50/50 chance that this will cure him
22 for 9 months and keep him away from me.

23 DR. BORER: That's pretty clear.

24 DR. WEINBERGER: I don't know if I've been
25 sufficiently clear. I think that the clinical use of

1 this will be in the truly drug-refractory patients.

2 DR. BORER: Dr. Naftel.

3 DR. NAFTEL: So I think this will be the
4 last time to comment at least from me on the
5 statistical part of this unless I'm asked a question.
6 But I am extremely encouraged with the effectiveness.
7 It was enlightening to me to see that the Bayesian
8 analysis and the frequentist analyses essentially
9 came up with identical answers for the effectiveness,
10 and I think it might have been lost on some of us for
11 a moment that, Dr. Berry, when you said that you
12 would have designed this trial exactly the same way,
13 sample size and analysis, if they had come to you
14 originally, I found that very comforting and very
15 believable.

16 So what I'm trying to say is I think the
17 whole analysis is good and points totally towards
18 effectiveness, and I'm the guy that goes for the
19 statistical effectiveness, and I certainly bow to
20 others on the clinical utility and if the difference
21 is big enough to make you send that patient for the
22 procedure.

23 As far as the catheters, I just have to
24 totally bow out of that and listen to the other
25 experts on the Panel.

1 DR. BORER: Dr. Somberg.

2 DR. SOMBERG: Well, given the stipulation
3 of some of the flaws in the study design, I still
4 think that the study did show that this ablative
5 procedure for PAF is effective.

6 I also believe that the point of where you
7 make the ablation, or burns, is what's critical and
8 how you might go about doing that might differ is
9 certainly, you know, a valid point, and I also want
10 to underscore for the other Panelists, Dr. Wilber's
11 statement that he doesn't even use a navigation
12 system anymore because they use 3-D echo. So it's a
13 problem with the devices in general. It's not like a
14 drug. There's so many competing and evolving other
15 aspects. So I tie this all into the technique, and I
16 don't think the technique is, but some part of the
17 enthusiasm, but it has a lot to with procedural
18 aspects, and therefore I think all the catheters,
19 unless they make the ablation lesions differently,
20 may be interchangeable if you can make that array of
21 ablation lesions, and some people will need a robot.
22 I would probably need a robot that would tell me
23 exactly what to do. I press the button, it goes, the
24 procedure's finished, and the robot tells me it's
25 good and it's good by frequency or the Bayesian

1 approach. But otherwise, some people might just use
2 a ring catheter and look at things as well.

3 So I think we can get on, you know, where
4 it's slippery slope and, you know, we need to have
5 everything put in a study might be excessive here.

6 DR. BORER: Dr. Karasik.

7 DR. KARASIK: I think as an
8 electrophysiologist, we're always looking for tools,
9 new tools that will help us manage our patients and
10 hopefully make them feel better if not live better,
11 not necessarily live longer. And although I agree
12 with the statement that 47 percent success rate
13 doesn't seem very robust when compared to the sorts
14 of drugs we normally use, it is a significant
15 improvement, and there are clearly patients who would
16 take up that option, you know, they say if you give
17 me better than a 50/50 or a 50/50 chance, I'll take
18 it.

19 So I think that this study, given all it's
20 flaws and reservations that you heard me express, I
21 think at least this catheter, and only this catheter,
22 appears to be effective for what its use is intended,
23 although I would like to see a bidirectional catheter
24 approved just because it would be a useful tool to
25 have in the lab.

1 DR. BORER: Dr. Jeevanandam.

2 DR. JEEVANANDAM: I guess there's two
3 questions. One question is the number of devices
4 versus this device, and I think this device in this
5 trial is shown to be effective, but I wouldn't
6 automatically predict that for all the other devices
7 that the sponsor wants to get approved.

8 In terms of efficacy, I also concur with
9 everybody else, but it's very interesting.
10 Everybody's talking about 47 percent. So people are
11 starting to discount the OUS 1, and even at 47
12 percent, statistically it's effective, which is okay
13 with me but, you know, it's going to be how, and I
14 don't know if that comes to labeling or marketing,
15 but it's going to be interesting, how this thing is
16 projected out there in the market. Are people going
17 to say, well, this is 75 percent effective or are
18 they going to say it's 47 percent effective and take
19 out the OUS 1 data?

20 DR. BORER: Dr. Fleming.

21 DR. FLEMING: I believe that the study
22 indicates to my satisfaction that it's an effective
23 device for which it's intended to used.

24 MR. HALPIN: I think the study met its
25 predefined endpoint and showed that it was

1 statistically effective. I think it also scored on
2 qualify of life endpoints and other secondary
3 endpoints. So I think it was shown that it was
4 effective.

5 With regard to five catheters versus one
6 catheter, I think having enrolled 5500 patients and
7 screened them to get 160 patients is a pretty high
8 burden. So I think if there's any way that you can
9 extend this data to the other catheters by logic
10 versus having to reproduce the study over and over
11 again, I think that would be a prudent thing to do,
12 if it makes sense from a safety point of view.

13 DR. BILAZARIAN: So the only thing I'd like
14 to just mention is a question that hasn't been raised
15 is that blends the three concepts, the experience
16 issue, the safety issue, and the efficacy issue. If
17 this device is approved and rolled out, will the
18 frequency or the number of second ablations be
19 increased? And will a greater number of second
20 ablations raise risk as we would expect more
21 ablations would require, would result in greater
22 risks without a significant increase in
23 effectiveness. So might that safety/efficacy balance
24 change again as it's rolled out because of the
25 greater need for additional second ablations?

1 DR. BORER: It sounds like a question to
2 put into that phase 4 study or post-approval study if
3 it's approved.

4 Okay. I think in general everybody has
5 agreed that this is an effective therapy.
6 Personally, I'm not as concerned about the absolute
7 magnitude of the effect from this study. First of
8 all, I'm not sure what it really is. I mean I know
9 what the point estimate is in the United States and
10 outside the United States, and there are factors why
11 those seem to be different, but my guess is that over
12 time, they're going to come closer together and go up
13 probably. That's my guess, my intuition.

14 My concerns just to put them on the table
15 about my understanding of the true effectiveness are
16 primarily based on the design flaws, the symptomatic
17 endpoint, the whole list that Dr. Thompson gave.
18 Those make it hard for me to be, you know, as certain
19 as I might want to be about the absolute outcome, but
20 as sort of a general statement, it looks to me as if
21 this therapy is three times as effective as giving
22 drugs, and I would echo exactly what Judah said.
23 Most patients don't have -- when they have paroxysmal
24 atrial fibrillation, it's not sufficiently
25 symptomatic so that they absolutely require to be

1 sent to an EP lab for a pulmonary vein isolation
2 procedure. On the other hand, there is that segment
3 of patients who are, for whatever reason,
4 psychological debility, physical debility, whatever
5 it is, there is that segment of patients who really
6 can't tolerate recurrent AF and who are refractory to
7 drug therapy, you know, have adverse severe, et
8 cetera, and I think it's important to have this
9 modality available for them, and I think it's three
10 times as likely to be successful as whatever they
11 were on, and so I think that's pretty good, and we'll
12 see what the absolute effectiveness is as more data
13 come in, but I don't think that's the dispositive
14 issue in defining approvability.

15 Have we given you enough information on
16 that one, Dr. Zuckerman?

17 DR. ZUCKERMAN: Yes.

18 DR. BORER: Thank you. Then we will go
19 onto the next question which has to do with the
20 device labeling. Dr. Karasik, why don't you, if you
21 would please, start out on that one.

22 DR. KARASIK: One aspect of the premarket
23 evaluation of a new product is the review of its
24 labeling. The labeling must indicate which patients
25 are appropriate for treatment, identify the product's

1 potential adverse events, and explain how the product
2 should be used to maximize benefits and minimize
3 adverse effects. Please discuss whether the proposed
4 indications identify the appropriate patient
5 population for treatment with the device.

6 Well, I think this gets to the question
7 Dr. Kelley raised which is drug refractoriness --

8 DR. ZUCKERMAN: Excuse me, Dr. Karasik.
9 Before we hear your comments, could I ask everyone to
10 open up their Panel pack to Tab 6, where the labeling
11 is, and that particular question refers to the
12 current indications which is on page 3 of that label.
13 And it's Section 2.

14 DR. KARASIK: So drug refractory,
15 symptomatic paroxysmal atrial fibrillation.

16 DR. ZUCKERMAN: Yes.

17 DR. KARASIK: So what is drug refractory?
18 And I guess I have the same concerns that have been
19 voiced before, which is that if you look at the HRS
20 guidelines and the ACC guidelines, drug refractory
21 implies that you've failed a class I or class III
22 antiarrhythmic agent, and I think that should be
23 specified. I don't think class II and class IV
24 belong in that mix. I also think there should be
25 something about patients with heart failure, you

1 know, perhaps not class III -- let's say not class IV
2 heart failure patients, but I have concerns about
3 that population receiving the catheter for AF.

4 DR. BORER: Dr. Jeevanandam.

5 DR. JEEVANANDAM: Where are you looking at
6 because the one that I have over here looks at VT.

7 DR. ZUCKERMAN: Open Tab 6, page 3 of the
8 label. You're right. And do you see Section 2 is
9 the indications and usage.

10 DR. JEEVANANDAM: Okay.

11 DR. ZUCKERMAN: Okay. So Part A refers to
12 just the IFU statement. To give some clarity,
13 certainly the comments that Dr. Karasik just made are
14 extremely useful in terms of what warnings and
15 precautions this Panel might recommend for heart
16 failure patients and patients on certain class II and
17 IV agents, but there's a fundamental question as to
18 whether that last statement drug-refractory
19 symptomatic paroxysmal atrial fibrillation is
20 specific enough to indicate what this device is
21 doing.

22 DR. JEEVANANDAM: Well, it seems pretty
23 general to me, and I would concur with her question
24 about what exactly -- I mean do you have to define
25 what is drug-refractory afib?

1 DR. ZUCKERMAN: No, that would be described
2 in the clinical indication section, but you can add
3 more specificity. For example, you can recommend
4 that drug-refractory paroxysmal afib for treatment of
5 all AF episodes, symptomatic episodes, asymptomatic
6 episodes, for cure of the disease, et cetera. I mean
7 you can describe what the device is doing.

8 DR. JEEVANANDAM: Well, I think this study
9 was on symptomatic paroxysmal atrial fibrillation in
10 patients with normal ejection fractions. So I would
11 probably say it's not indicated for somebody in heart
12 failure with an EF of less than 35 percent, or hasn't
13 been tested.

14 DR. BORER: Dr. Fleming.

15 DR. FLEMING: Is it possible to drug-
16 refractory and intolerant to the medications because
17 obviously -- does that include that?

18 DR. BORER: Yeah, I think what's -- the way
19 the term has been used here, refractoriness includes
20 the concept of intolerance.

21 DR. FLEMING: Okay. So I think this is a
22 good reflection of what the study was designed to
23 demonstrate. So --

24 DR. BORER: Mr. Halpin.

25 MR. HALPIN: I think that the indications

1 for use indicates the study and in general what was
2 tested on the product. I think that one other thing
3 I would mention is that if there are particulars, it
4 doesn't necessarily have to be a change in the
5 indication for use. You could use the precaution
6 section if you felt very strongly about something.

7 DR. BORER: John Somberg.

8 DR. SOMBERG: I think it's appropriately
9 written. Remember, for those who don't like class II
10 and class IV, I tend to agree with them, but that was
11 in the protocol. That's the way it was happening.
12 So if you want to stick to the protocol and not count
13 the other catheters, then you have to stick with the
14 protocol. But I'm being complex here. I think this
15 is reasonable. Many people define drug-refractory
16 differently. Someone sends a patient to me. They
17 know they're going to go through five, six drugs.
18 Someone sends a patient to someone else. I think
19 Dr. Wilber, they go through one or two, no offense
20 but, you know, it's just a different approach, and --

21 DR. BORER: These people from Chicago, they
22 just can't --

23 DR. SOMBERG: It's thin crust pizza versus
24 deep dish, you know, things like that. But I think
25 it's a reasonable thing, and I think that would work

1 in the community, and it's really what the protocol
2 was addressing in their inclusion/exclusion criteria.

3 DR. NAFTEL: I agree. For me it's because
4 it's the protocol. The protocol was written and it
5 looks good to me.

6 DR. WEINBERGER: In the context of this IFU
7 where you're putting it right next to cure of type 1
8 atrial flutter, I would prefer if this were, to
9 somehow underline if this was a symptomatic treatment
10 and not a curative treatment. So the indications
11 might say something like for treatment of symptomatic
12 patients who have drug-refractory symptomatic atrial
13 fibrillation. So the instructions should underline
14 that the treatment is really just a symptomatic
15 treatment rather than an attempt to cure.

16 DR. BORER: David.

17 DR. SLOTWINER: I think it's fine the way
18 it is, reasonably vague. I'd just leave it like
19 that.

20 DR. BORER: Dr. Kelley.

21 DR. KELLEY: So, you know, I still have an
22 issue with the drug-refractory thing but it may not
23 be practical. I think there should be at least
24 mention of the risk of heart failure patients, of the
25 potential risk, and then can I ask a question about

1 the labeling that is under warnings and precautions
2 or are we going to do that later?

3 DR. BORER: No, go ahead.

4 DR. KELLEY: Okay. Well, under warnings
5 and precautions, it says the patient who's had a
6 prior atrial flutter ablation procedure may be at
7 greater risk for perforation and/or pericardial
8 effusion with the use of this system, and I'm just
9 unclear on where that came from since a lot of these
10 patients had flutter ablations during the procedure.

11 DR. BORER: Do we have data from anywhere
12 that anyone wants to provide for us?

13 DR. YAROSS: We'll have to check and
14 confirm, but my immediate recollection is that that
15 would have come from one of the prior indications for
16 use, and it was not specific from this trial. We'll
17 double-check that.

18 DR. SOMBERG: I don't think they're saying
19 that if you had in the same sitting, but those people
20 have had distant procedures, a repeat procedure, and
21 I remember at an EP conference hearing that. I don't
22 know what the data support is; maybe others can
23 address that.

24 DR. KELLEY: Well, even so, I'm just
25 puzzled as to where that came from.

1 DR. SOMBERG: Well, I've heard that, too,
2 said that if you've had a prior ablation, there's a
3 higher risk if you go in a year or two later and do
4 another ablation but --

5 DR. YAROSS: Now, in our prior, in our
6 atrial flutter study, there had been some incidence
7 of tamponade, and those had been more likely in
8 patients with prior failed atrial flutter procedures.
9 So that's basically a holdover from that --

10 DR. KELLEY: But you were re-ablating in
11 the same place presumably, and this would be --

12 DR. YAROSS: That's correct.

13 DR. KELLEY: -- a different place
14 presumably.

15 DR. YAROSS: Yes.

16 DR. KELLEY: Okay.

17 DR. YAROSS: Our goal has been to have on
18 integrated instructions for use document but we, of
19 course, can break things out based on what the Panel
20 and FDA recommends.

21 DR. JEEVANANDAM: I can give you -- from a
22 surgical point of view, you know, we can do these --
23 we can do epicardial ablations, and if you do an
24 epicardial ablation on somebody who has already had
25 an endocardial ablation, that tissue is certainly

1 scarred and, you know, there's going to be some
2 transmittal injury. So you can have scar tissue and
3 the tissue is quite tough. So doing a surgical
4 ablation on somebody who has already had a catheter-
5 based ablation is actually much more difficult, and
6 you can get into problems with the tissue
7 characteristics right at the area of the ablation
8 site can tear. So I think if you're going for repeat
9 catheter-based ablation and somebody's already been
10 ablated, that tissue is just not going to be normal.
11 So that's probably they had a higher incidence of
12 perforation.

13 DR. BORER: Dr. Bilazarian.

14 DR. BILAZARIAN: I would disagree with the
15 suggestion that it be a vague indication and that it
16 be a stricter indication listing, and the reason for
17 that, from my clinical viewpoint, is that a frequent
18 reason why patients in this age group that meet these
19 clinical entry criteria seek ablation is the desire
20 to not be on anticoagulation, and obviously patients
21 have to be told that that's not an appropriate reason
22 to have ablation, but having clarity around this, for
23 patients seeking information about it, would be
24 beneficial. So I agree that Dr. Weinberger's idea of
25 having it say that this is for the symptom treatment

1 of drug-refractory atrial fibrillation, and I don't
2 think it would be complex to add that the definition
3 is as was defined by the study population, at least
4 three episodes of atrial fibrillation in the last six
5 months.

6 DR. BORER: Okay. So there seems to be
7 general agreement that it really should be for people
8 who have symptoms.

9 My own opinion is like Dr. Bilazarian's,
10 that it should be a little more specific, but I think
11 in a slight different way, and this just may
12 represent my bias. I don't think it's good enough to
13 say symptomatic atrial fibrillation. You know,
14 people are going to use this the way they're going to
15 use it, and people are going to define the words that
16 I'm going to use in different ways, and that's okay.
17 Judgment's appropriate. But I think that the issue
18 here is that the people for whom this procedure at
19 this moment in time with the data we have should be
20 used are people who have unacceptably debilitating
21 symptoms despite efforts with drug therapy.

22 So I would be very specific with that
23 language. I don't think it's for symptomatic atrial
24 fibrillation. You know, anyone who has a paroxysmal
25 and feels palpitations is symptomatic. I don't know

1 that that's a reasonable basis for doing a catheter-
2 based ablation with some degree of very real risk of
3 major events, not high, but real, measurable,
4 definable.

5 And secondly, I understand that the
6 protocol, I agree, was written the way it was
7 written, and it's probably not right to try to
8 segregate sections of therapy, but the result, the
9 number of patients who received rate control therapy
10 alone was small, and the results were so
11 disappointing that I would specify that this is
12 really intended for people who have failed
13 antiarrhythmic drug therapy that does not include
14 just rate control therapy alone. So I would put
15 those two concepts into the indications section.

16 In terms of the heart failure and left
17 ventricular dysfunction, you know, we have no data.
18 I think you just have to say that in the label. We
19 have no information. We don't know what it will do
20 in these patients and leave it at that.

21 Is that sufficient for this --

22 DR. ZUCKERMAN: Okay. This has been a very
23 helpful discussion, but the points that Dr. Borer
24 just brought out are critical to discuss a bit
25 further. Dr. Borer suggested a more specific

1 indication statement. Can I hear from any other
2 Panel members as to whether there's general
3 agreement, disagreement?

4 DR. BORER: Let's don't all talk at once.
5 David, why don't you say something.

6 DR. SLOTWINER: I don't know how detailed
7 you want to go on that line, but I think we have
8 indications that in patients with preserved left
9 ventricular function, not significant valvular
10 disease, paroxysmal symptomatic atrial fibrillation,
11 refractory to antiarrhythmic drug therapy, I think
12 there are some who will not tolerate. I wouldn't get
13 too specific on that, but this patient population, I
14 think we have data that shows it's safe and
15 effective. I don't know if you want to go about
16 specifically eliminating every other group, heart
17 failure, valvular disease, you know. We don't have
18 that information. I would say these lone paroxysmal
19 symptomatic atrial fibrillation patients.

20 DR. BORER: I think the issue though that
21 Dr. Zuckerman may be asking about is the adjectives I
22 put in front of symptomatic.

23 DR. ZUCKERMAN: That's correct.

24 DR. BORER: Unacceptably debilitating
25 symptoms.

1 DR. SLOTWINER: I missed that. Sorry. I
2 would leave it vague because in day-to-day patient
3 care, these AF patients, symptoms can vary
4 tremendously from patient to patient, and their
5 perception of what's acceptable can vary
6 tremendously, and I think to try to be too specific
7 here is probably not going to help.

8 DR. BORER: Just to clarify, before anybody
9 else chimes in, I agree absolutely with you, but I'd
10 like it for the patient to say, hey, I just don't
11 want to live with this. I don't care what the level
12 of debility is in some absolute sense. I think
13 though that the patient has to say I'm not willing to
14 accept this. I'll take that risk to get rid of this
15 thing, whatever it is. That was the kind of thing
16 that Judah was talking about before rather than
17 telling people, gee, you have symptoms. Therefore,
18 I'm going to give you this procedure. I'm going to
19 make your symptoms go away when they didn't ask for
20 it.

21 DR. SLOTWINER: I think that's incumbent on
22 the physician to discuss with the patient, and I
23 certainly insist on them saying that, but whether you
24 can put that in the labeling, I don't know.

25 DR. BORER: Okay. Judah.

1 DR. WEINBERGER: I think that what you're
2 doing is you're taking the art of medicine and you're
3 legislating it here.

4 DR. BORER: John.

5 DR. SOMBERG: I'm the first one to say that
6 the study was too small in size to get a good handle
7 on adversity, but I saw no signal that warrants your
8 concern for raising the level to this highly
9 symptomatic population. So I think drug-refractory
10 which to me means a couple of antiarrhythmics and a
11 good considerable trial, others it would mean less,
12 but that's as Judah says, the practice of medicine.
13 So I think that's fair.

14 What I disagree with is the aspect of heart
15 failure. I think it should be said up front that
16 there's no data here because there is a potential
17 problem. I mean it's very clear. It's giving 2
18 liters plus of saline, and that's, you know, you take
19 people with class III, class IV heart failure and you
20 put them in the hospital, and you give 100 people 2
21 liters of saline, and you're doing to have, you know,
22 a good demonstration of codes and pulmonary edema all
23 over the hospital. So I think this is an important
24 thing, but I wouldn't want to, you know, once again
25 legislate. There are people who, you know,

1 appropriate diuresis, appropriate catheter
2 management, who are having intractable PAF that is
3 bothersome, it would improve their quality of life.
4 So I think one has to be warned, but, you know, it's
5 up to the patient and the physician to make the
6 decision.

7 DR. BORER: Okay. I think you've heard a
8 reasonable spectrum.

9 DR. ZUCKERMAN: Yes. I think I've been
10 outvoted here. Why don't we go to B and, Judah, why
11 don't you take that one if you would.

12 DR. WEINBERGER: AF often occurs in
13 patients with heart failure. At the September 2007
14 advisory committee meeting on the general topic of
15 trial design issues for the study of devices intended
16 to treat AF, Panel recommended that patients with
17 structural heart disease be studied as a separate
18 group. The clinical study specifically excluded
19 patients with advanced heart failure, left
20 ventricular ejection fraction of less than 40
21 percent, and New York Heart Association class III or
22 IV.

23 Please comment on whether the labeling
24 should include a warning that the safety and
25 effectiveness has not been demonstrated in patients

1 with heart failure.

2 I think that we have discussed this, and I
3 would volunteer that we have unanimity about such a
4 statement being appropriate.

5 DR. BORER: Does anybody disagree with
6 that?

7 (No response.)

8 DR. BORER: Okay. Can we, without going
9 around the table, is that enough information on that
10 one?

11 DR. ZUCKERMAN: Yes.

12 DR. BORER: Thank you. Okay. We have C.
13 Mr. Halpin, why don't you grab that one.

14 MR. HALPIN: Okay. Number C, in the
15 clinical study and protocol, the CARTO EP Navigation
16 System was required to map the anatomical location of
17 the pulmonary vein and the RF lesions. The PMA
18 application requests approval for several catheters
19 that do not include a location sensor capable of
20 generating electroanatomic maps with the CARTO EP
21 Navigation System.

22 Please comment on whether the data
23 collected in the clinical study can be generalized to
24 devices that are not capable of generating
25 electroanatomic maps. If not, please discuss whether