

Capital Reporting Company

Page 201

1 But I say this with the understanding, that I
2 think that risk can be managed for this. I mean, I'm not
3 giving this an emphatic no. But at the same time I would
4 have liked to see some better superiority over other
5 things, because there are a lot of other things that can
6 address this issue as well. So, looking at risk benefit
7 ratio that's what conclusion I came to.

8 DR. RELLER: Dr. Alston.

9 DR. ALSTON: I voted yes because I think
10 Vancomycin is sort of a dying drug. And in clinical
11 practice I see Vancomycin failures all the time. And if
12 this is son of Vancomycin and an improved Vancomycin
13 within the same class with manageable toxicities, at
14 least the nephrotoxicity is something we can easily
15 detect and should be reversible, and hopefully we can
16 keep it away from pregnant women, then I thought it
17 justified voting yes.

18 DR. RELLER: Dr. Goetz.

19 DR. GOETZ: I essentially agree with
20 Dr. Alston's comments. While there are clearly concerns
21 about the drug in persons with impaired renal function, I
22 think that's a manageable risk that we can provide

Capital Reporting Company

1 providers with warnings in regards, similarly in regards
2 to pregnancy and prolongation of QTc.

3 DR. RELLER: Dr. Fleming.

4 DR. FLEMING: Well, I've long thought that
5 it's -- I have concerns that Advisory Committee members
6 vote. I don't think it's our role. I think our role
7 should be providing advice to the agency about what the
8 issues are that they need to carefully consider as they
9 decide. We are advisory. You decide. And these are
10 situations where I find it really difficult. A yes, no
11 vote isn't going to capture the essence.

12 I voted yes. But there are major issues
13 here. The strength of what I see is that we have two
14 trials where, when we focused on patients with wound and
15 ulcer infections, and cellulitis, and erysipelas leaving
16 out the sub two abscess patients, we are in those trials
17 hitting the margin of 10 percent. I think it's a
18 suboptimal endpoint and suboptimal timing. I prefer the
19 type of endpoint that was advocated and discussed in the
20 IDSA document. And I'm concerned about the timing of
21 this as a time point where we don't have as much evidence
22 to justify the noninferiority margin. But all things

1 considered, I accepted that this was establishing
2 efficacy.

3 The nonMRSA patients fortunately are not
4 distinctly different from the MRSA patients in terms of
5 their affect. When the -- you have a relatively neutral
6 result, if the MRSA was a lot better, then the nonMRSA
7 would be a lot worse, then I would have trouble having
8 the indication be brought in an MRSA. But in this
9 setting it doesn't seem to be that much of an effect
10 modifier. So, I can see it being inclusive to those
11 patients.

12 But the 10 percent margin, as we were
13 discussing, is very substantially influenced by managing
14 the safety profile. And, so, my question to you, Ed, was
15 specifically setting up my justification for voting yes,
16 which was that I'm making an assumption -- and it's --
17 I'm always a little uneasy about this assumption -- but
18 I'm making an assumption that we're going to be able to
19 identify the patients that -- in which the risks would
20 outweigh the potential benefit when you consider the
21 other options that patients would have with other agents
22 that are available here.

1 So, with renal toxicity there's a substantial
2 increase in SAEs. There's a substantial increase in
3 discontinuations for renal events due to SAEs. There are
4 eight versus one patients that had death as the outcome
5 where there had been renal insufficiency or renal failure
6 in advance. Three of them called related. It's always
7 hard to know what's related and what isn't, but there
8 were three such cases in the Telavancin group and none in
9 the Vancomycin. This is a substantial issue. And there
10 needs to be management of patient selection based on this
11 issue.

12 The sponsor had talked about focusing on
13 identifying patients with comorbidities as being
14 problematic. Both the FDA and sponsor's analyses also
15 point out that patients with baseline clearance less than
16 50 ML, and particularly less than 30 ML, seem to have a
17 much less favorable benefit to risk profile.

18 Regarding QTc prolongation, it's been -- the
19 sponsor identified that the target areas to exclude would
20 be known prolonged QT interval, uncompensated heart
21 failure, and severe left ventricular hypertrophy. And
22 then we will come in the second question to dealing with

1 this issue of pregnancy.

2 So, under the assumptions that we're right
3 about being able to characterize where these risks are,
4 and that we can manage the use of this agent to avoid its
5 use where it's unfavorable benefit to risk based on that
6 insight, it seemed to me that under that condition,
7 benefit to risk could be judged to be favorable to
8 justify a yes.

9 DR. RELLER: Dr. Leggett.

10 DR. LEGGETT: I voted yes, because I think
11 that -- to keep things brief -- the efficacy definitely
12 was established and across a wide enough range that I was
13 satisfied that it existed. And I think that the toxicity
14 such as it -- such as it is manageable.

15 Addressing the renal thing just -- in this --
16 sort of -- I would like to keep this in the context of
17 our current drugs, that would be alternatives on neither
18 of the other -- for Gram-positive drugs or without their
19 problems.

20 And in terms of the nephrotoxicity, you know,
21 aminoglycosides have lots more known toxicity and has
22 lots of alternatives, and yet we keep them around. And

Capital Reporting Company

Page 206

1 there's a reason. And I can foresee the same thing
2 occurring with Telavancin. And I won't -- I'll address
3 the pregnancy issue later.

4 DR. RELLER: Dr. Bennett.

5 DR. BENNETT: I voted yes. I thought the
6 toxicity is manageable. We're used to seeing bone loss
7 oppression with Linezolid, rhabdomyolysis with
8 Daptomycin, striking clinical failures with Vancomycin.
9 So, we're dealing with the problems. And I think the
10 drug that we're looking at, Telavancin here, has enough
11 to offer with manageable toxicities that we should
12 approve it.

13 Now, what about the patients in the subgroup
14 analysis who had decreased renal function less than 50,
15 particularly less than 30? It's been pointed out. I
16 have some concern about multiple subgroup analyses. As
17 soon as you start breaking down things into multiple
18 subgroups, you go -- you find phenomena that don't make
19 sense. Maybe someday this will make sense, but at the
20 moment I can't make sense out of it. And I think it's
21 just a signal we have to look for, but I don't believe
22 that we should then reject the drug just based upon that

Capital Reporting Company

1 subgroup.

2 DR. RELER: Dr. Lesar.

3 DR. LESAR: I voted yes. Again, I echo the
4 other previous comments.

5 One additional thing is the statement made to
6 the QTc and the warnings, and that the operationalization
7 of warnings related to use with other drugs that prolong
8 QTc is extremely difficult in a clinical study. And I'll
9 just throw that out as a comment.

10 DR. RELER: Dr. Nelson.

11 DR. NELSON: I voted yes. And I think what I
12 feel has been captured already.

13 DR. RELER: Dr. Septimus.

14 DR. SEPTIMUS: I voted no. And actually I
15 agree with a lot of the statements that Dr. Katona made.
16 I think there are other agents that are out there, and
17 some agents in development, which is very different from
18 Gram-negative organism, which is a different topic. But
19 I was disturbed a little bit about the decreased efficacy
20 with some of the sicker patients. Those people had
21 decreased renal function, and those people had certain
22 underlying diseases, the exact place where I might want a

1 drug that was more efficacious than Vancomycin.

2 And then weighing the toxicity. I really
3 could have gone either way, but I think those are the
4 issues that made me slant towards no rather than yes.
5 But I do think the toxicities, though, can be managed.

6 DR. RELER: Dr. Mirkes. And as we go around
7 the room, if anyone has recommendations about
8 postmarketing studies to further evaluate toxicity, which
9 was part of this question, please, comment on those.

10 DR. MIRKES: Yes. I also voted yes having
11 listened for the past day and a half to a lot of
12 information, which convinces me that the drug certainly
13 is efficacious. And in terms of the toxicity, I didn't
14 hear anything that would have forced me to vote no. And
15 I will comment more specifically on developmental tox
16 issues, with regard to question two.

17 DR. RELER: Dr. Paganini.

18 DR. PAGANINI: I actually voted yes as well.
19 I believe that there should be a labeling that warns
20 about potential AKI. One of the things that I really
21 keyed in on was the AT group with a lower 95 percent
22 value that was way away from the 10 percent that we were

1 considering. It was more along the lines of 5 percent.
2 So, I thought that was reasonable.

3 In other words, you altered -- they
4 fortuitously altered their 95 percent low, 95 percent,
5 which was far away from the line of 10 percent that
6 everybody was concerned about. So, I think that
7 fulfilled my need to have a little bit tighter. But I do
8 believe that there needs to be warning on the label for
9 folks with CKD, and the fact that there is a higher
10 instance of problems with that group.

11 And as far as postmarketing studies, I think
12 close attention to renal function is extremely important
13 as you are giving the drug. And that would be the only
14 thing I would ask and add.

15 DR. RELLER: Dr. Smith.

16 DR. SMITH: I voted yes. And I think the
17 growing problem with resistance to these drugs is really
18 important and played a big part in my decision. I also
19 think, as a toxicologist, there are no completely safe
20 drugs, and I think the risk can be managed.

21 DR. RELLER: Dr. Shelby.

22 DR. SHELBY: I voted yes, perhaps a little

1 reluctantly. I mean, it looks like it's got efficacy
2 similar to Vancomycin. It's got some separate problems
3 that didn't exist there.

4 The developmental tox studies raise some
5 concern in my mind. At the same time I'm not convinced
6 by them. At the same time I can't dismiss the results
7 there. But I think the risk there and elsewhere can be
8 managed if properly dosed.

9 DR. RELLER: Dr. Kopp.

10 DR. KOPP: I voted yes. In terms of the
11 benefits, I'll echo some of the comments that were made
12 before, that the overall efficacy seemed, if anything,
13 slightly greater than Vancomycin. And as Dr. Paganini
14 commented, the 95 percent confidence interval stayed well
15 away from the minus 10 percent margin.

16 I did have some thoughts about managing the
17 nephrotoxicity. One is that all of our data was MLs --
18 GFR expresses MLs per minute from a creatinine
19 clearance. But I think more and more of our laboratories
20 are reporting using the so-called MDRD or Levey equation,
21 MLs per minute, per once .73 meters square. And it makes
22 a difference. Some of our patients are at the BSA of

1 1.5, some have a BSA of 2.5. And bigger people need
2 bigger renal function to clear a larger plasma volume and
3 to excrete toxic waste coming from muscle. So, I think
4 it would be much more appropriate for the FDA to consider
5 dosing intervals, or dosing guidelines, adjusted to 1.73
6 meters squared. So, that was one point.

7 Another is, I would agree with a warning. I
8 don't know if it rises to the status of black box warning
9 or another warning, listing not just CKD, but also some
10 of the other risk factors that held up in the
11 multivariable analysis. We didn't hear exactly what
12 those are from the sponsor, but I'm sure they can supply
13 them and presumably include hypovolemia, sepsis,
14 diabetes, pre-existing hypertension and so forth.

15 Another would be some thought to giving --
16 stopping rules for rising creatinine. Although I'll have
17 to say that has to be individualized. But I think in
18 general in the nephrology service we get nervous when we
19 see a rising creatinine by 50 percent and somebody
20 receiving a nephrotoxic drug, realizing that typically
21 nephrotoxins work in a multifactorial context, and other
22 things, such as hypotension, and other drugs contrast

1 might be driving that.

2 So, I'm not sure if you can write a specific
3 rule that says, you know, you need to stop when the
4 creatinine has doubled or gone up by 50 percent. But
5 it's something that I, anyway, wrestled with.

6 And, lastly, in terms of Phase 4 studies, I
7 guess I didn't specifically ask the sponsor if any urine
8 was saved from these individuals early in the course, but
9 there's a lot of incidence -- a lot of interest on the
10 part of the FDA's critical path to identify better
11 biomarkers in the year, and other than our traditional
12 tubular epithelial cells and muddy brown casts.

13 So, I know there's a list of five to ten
14 molecules that have been suggested by genomic studies, by
15 early clinical studies. And the FDA in particular is
16 looking for patient cohorts to gather urine samples on,
17 say, at two -- day two or day three to try to predict
18 later nephrotoxicity.

19 I think this has broad, general utility to the
20 medical and patient community, and then potentially to
21 this particular medication, in terms of deciding early on
22 who is having a significant renal hit.

Capital Reporting Company

1 DR. RELLER: Dr. Cragan.

2 DR. CRAGAN: I voted yes as well for most of
3 the same reasons. I think it's clearly high
4 effectiveness with increasing resistance to other drugs.
5 It appears to, perhaps, fill a clinical niche that could
6 be critical to a patient's survival in certain
7 situations. And I agree that the risks are manageable.
8 And I'll save comments about the management of pregnancy
9 for question three.

10 DR. RELLER: Dr. Black.

11 DR. BLACK: I also voted yes, a little bit
12 reluctantly as well. I think there is an unmet need
13 coming, if it's not already here, and that this may well
14 fill it.

15 DR. RELLER: Miss Thomas.

16 DR. THOMAS: I voted no. I think the risk is
17 greater than the benefits. Having experienced Vancomycin
18 long-term myself, I know how toxic that is. I certainly
19 would not want to have a drug that is more toxic.

20 I think additional trials are needed for renal
21 impairment and risks to women that could be pregnant or
22 are pregnant. Many MRSA patients have recurring

1 infections, and a study on the long-term effects of the
2 drug should be conducted.

3 DR. RELLER: Dr. Steckelberg.

4 DR. STECKELBERG: Yes, for the reasons exactly
5 as articulated by Dr. Bennett in nonpregnant patients.
6 And I would hope that there would be some postmarketing
7 attention due to patients who have baseline renal
8 insufficiency and efficacy, because that's such an
9 important intersection to patients with these infections.

10 DR. RELLER: Dr. Cross.

11 DR. CROSS: I also voted yes. I think that,
12 in addition to what's already been said, I think it's
13 important that in the future physicians do have some
14 options. And although this was not shown in a clinical
15 study, they did show a slide where there were a number of
16 Staphylococcal agents that were resistant to Daptomycin
17 and a lot of the other drugs which we consider
18 alternatives. And I -- and, you know, as mentioned, even
19 though this was certainly not shown in the clinical
20 study, I think it's good to have an agent available that
21 may in that situation be useful. And I do think that the
22 risks are manageable.

Capital Reporting Company

1 DR. RELLER: Dr. Hilton.

2 DR. HILTON: I voted yes. It seems that the
3 patients who are most at risk can be well identified at
4 baseline. And I also found it encouraging that the MRSA
5 results were relatively positive, in terms of efficacy.

6 And I echo Miss Thomas' remarks about the need
7 for studies in recurrent infections in the future.

8 DR. RELLER: Mr. Levin.

9 MR. LEVIN: I voted no, because I'm not
10 ensanguine about the ability to manage risk without
11 aggressive risk management programs in place. And
12 because there's no assurance that's the way we're going
13 to go today, I don't feel comfortable -- I wouldn't have
14 been comfortable voting yes.

15 I certainly recognize the need for new drugs
16 in the face of galloping resistance, but I am concerned
17 about a number of the safety signals that we're getting.
18 It makes me reflect that this sort of up or down process
19 that we have really has to be rethought, because there
20 should be some intermediate ground where we can say yes,
21 but we've got some real concerns. We'd like to learn
22 more along the way.

Capital Reporting Company

Page 216

1 We have treatment INDs. We don't seem to want
2 to use them because sponsors don't want to use them. But
3 we would have the opportunity for getting the drug out to
4 people and learning a lot more about it in a controlled
5 way.

6 The -- so, I'll stop there. I'm sorry.

7 DR. RELLER: Dr. Weinstein.

8 DR. WEINSTEIN: I voted yes with some concerns
9 about the toxicity, but I think ultimately they can
10 probably be managed.

11 DR. RELLER: Dr. Gutierrez. Follmann.
12 Dr. Follmann.

13 DR. FOLLMANN: Thanks. Yeah. I voted yes. I
14 thought, you know, the drug showed that it was
15 efficacious. It beat the noninferiority margins. I
16 thought that the risks could be managed. I had some
17 concern about the difference in cure rates for poor
18 creatinine levels, and also obviously renal adverse
19 events, which I thought showed a consistency of signal as
20 you went up the grading of severity.

21 DR. RELLER: Dr. Gutierrez. Could you repeat
22 your comments closer with the microphone on, please?

Capital Reporting Company

Page 217

1 DR. GUTIERREZ: Okay. I did vote yes, you
2 know, for reasons that have previously been articulated.
3 I think, you know, it did meet the noninferiority
4 standards. I do have some concerns, particularly about
5 the developmental toxicology, but we'll discuss that in
6 the next question.

7 DR. RELLER: Dr. Kauffman.

8 DR. KAUFFMAN: I also voted yes. And I think
9 that there definitely should be some postmarketing
10 studies done in evaluating the nephrotoxicity issue. I
11 think we've learned a lot over the years of Vancomycin,
12 and I think we can start out right on the ground floor
13 with postmarketing studies with Telavancin and maybe
14 figure things out a little bit better that it took us so
15 long to do with Vancomycin.

16 DR. RELLER: I was one of the minority five.
17 And the basis for that was the safety concerns in
18 multiple systems, not just one, that I think complicates
19 risk management, the details of which have been amply
20 expressed. And in weighing the balance, the paucity of
21 those organisms with a elevated MIC to Vancomycin for
22 which we currently have experience, not that it isn't

1 important to have alternative agents.

2 And, thirdly, that I was -- I'm much more
3 enamored of an alternative or supplemental drug that has
4 a fundamentally different mechanism of reaction for
5 addressing emerging resistance. And I was not
6 sufficiently convinced that the mechanism of action. So,
7 without more experience with the elevated MICs, I don't
8 know how much of a difference this will make in adding to
9 the armamentarium for resistant organisms based on what
10 we've heard today, that coupled with the multiple systems
11 is why, without further information studies, that I fell
12 on the seesaw to the no side.

13 Question number two --

14 DR. FLEMING: A quick clarification.

15 DR. RELLER: Yeah.

16 DR. FLEMING: You had asked for the
17 postmarketing recommendations after you --

18 DR. RELLER: Yes.

19 DR. FLEMING: -- passed me. I just wanted to
20 --

21 DR. RELLER: Please.

22 DR. FLEMING: -- quickly add to that.

1 I endorsed what a number have said, that we
2 definitely do need to have postmarketing studies to
3 evaluate nephrotoxicity. So, pharmacovigilant studies
4 that would allow us to more clearly understand the rate
5 of serious adverse events and any potential linkage to
6 mortality would be very useful. That's an art. That's
7 very difficult. It's often best to be able to discern
8 causality when you have a proper control.

9 Pharmacovigilance works when you're trying to
10 detect something that's ten-fold or 100-fold and you're
11 looking at whether the rates are higher than what was
12 historically the case as, Dr. Chen was talking about
13 earlier on, when he was talking about interpreting the
14 safety data IN pregnancy.

15 If, however, one has a two-fold, three-fold
16 increase, for example, in events that would be causally
17 influencing mortality mediated through nephrotoxicity,
18 you're not going to discern that unless you have
19 randomized control. So, if there's any way in the future
20 to build in an evaluation of this in randomized studies
21 that would be looking at Telavancin, that would be an
22 important part of the approach postmarketing to evaluate

1 the frequency and influence of nephrotoxicity.

2 And just one, I think it's clear, but to make
3 it very explicit rather than implicit, as I've voted for
4 efficacy, it's efficacy in wound, and ulcer infections,
5 and cellulitis, and erysipelas, of course, not in
6 subcutaneous or a major abscess.

7 DR. NELSON: Can I just make that one comment
8 as well, because you had asked that question after you
9 passed me. But I would just -- I would just like to --

10 DR. RELLER: This is Dr. Nelson speaking.

11 DR. NELSON: Thank you. I would like to just
12 put in a plug that QT issues really have to be looked at
13 as well. I think this drug, given a little bit of in
14 vitro and other data that we have, is potentially a setup
15 for producing torsade and other QT-related complications,
16 once it's available to a larger population of patients
17 who are relatively unselected, unlike those who are in
18 clinical trials. And this historically has been
19 something that has been overlooked, for sometimes years,
20 before it's picked up as a real signal.

21 DR. RELLER: Given the realities of time and
22 the discussion that's taken place, I think that we must

1 vote on question number two.

2 Yes, Mr. Levin.

3 MR. LEVIN: The question of FDA, the language
4 -- I mean, the way the question is asked, and the
5 language distinctions between C and X, one could read
6 that as saying, you could never use this drug in a
7 pregnant woman under any circumstance. And, one,
8 labeling isn't law. And we know that people deviate from
9 labeling all the time in both positive and negative
10 ways. So, it strikes me that we need to think about a
11 break-the-glass situation, which to me says, this
12 shouldn't be interpreted. This is me, myself, my own
13 view, as saying, that if you exhausted all alternatives
14 and there was a pregnant woman, that you couldn't use
15 this drug. I mean, that would be a clinical judgment to
16 make and that this is -- this is the label -- the label
17 is the label. And the evidence from study is that
18 physicians don't follow labeling a lot of the time.

19 So, I'm just -- you know, the way it's worded
20 it sounds like that could never happen. So, you would
21 sort of be dooming a pregnant woman who is -- in whom all
22 other treatments had failed and had a serious infection

1 to death. And I don't think that's the way I want to
2 think about it, frankly.

3 DR. RELLER: Dr. Cox, for clarification, in
4 sharpness in this question, how I would interpret it --
5 this question is a yes would mean that it should not be
6 used in pregnant women. That does not mean that there
7 would not be overwhelming clinical situations where it
8 couldn't be with justification and acceptance on the risk
9 by both parties, the patient and the physician, in order
10 to preserve life. Is that a fair rendition, or does that
11 help or confuse things?

12 DR. COX: So, yeah, I see the fine point of
13 distinction you're making here. And probably the
14 important thing will be to provide a rationale as we --
15 if we can go around the table after we have the vote.

16 You know, we really are asking you, you know,
17 are there situations when, you know, you would, you know,
18 see utility in using the drug in a pregnant woman, or
19 would it be appropriate to do so? So, if there are
20 situations that you would expect that would arise, then,
21 you know, that would be, you know, a yes answer. And
22 then if you can describe those situations, that would

1 also be helpful to us.

2 Does that help, Dr. Reller?

3 DR. RELER: So, that is, that if you would
4 ever use it -- in other words, it has nothing to do with
5 how frequently this might be encountered? I mean, it
6 hinges on if you would ever use it. I mean, the common
7 clinical situations, or does it come up frequently, or
8 can one conceive of a situation where you would want to
9 use it?

10 DR. COX: On the -- I'm sorry. Let me gather
11 my thoughts here. The issue of -- you know, I mean, the
12 comment Dr. Feibus made is another piece of advice to put
13 out there is to look -- to think about the overall risk
14 and benefit, you know, that you're evaluating when
15 looking at the situation where you would -- you know,
16 might or might not consider using this agent in pregnant
17 women.

18 The other thing to think about, too, it would
19 be helpful to have some feel for what the frequency would
20 be of situations, you know, that might arise where folks
21 would think it would be reasonable to take on, you know,
22 or consider administering the drug to a pregnant woman.

1 DR. RELLER: Dr. Mirkes, Fleming and
2 Steckelberg, and then we will vote.

3 DR. MIRKES: Well, I've refrained from making
4 a comment about the developmental tox studies, as I
5 wasn't quite sure where the appropriate time was, and I
6 think this may be it.

7 A lot of the decision as to whether you think
8 this ought to or ought not to be given to pregnant women
9 is based upon these development toxicology studies in
10 animals. And, so, I think we really need to address the
11 adequacy of those studies. And we've got two very
12 conflicting opinions, one from industry, one from FDA, as
13 to the adequacy.

14 As I read them, and I wasn't privy to the
15 entire studies, but only the redacted studies. And my
16 take on them is that the rabbit study in which there was
17 one fetus with a limb defect, you know, I don't know what
18 to make of that. There's no dose response. So, that one
19 fetus, even though it may not have been in historical
20 controls, to me, is relatively meaningless. And I don't
21 know what that study tells me.

22 The rat study, where there were two that had

1 malformations, it turns out the one that was thought to
2 have shortened limb when the skeleton was looked at
3 didn't. The other one wasn't -- the skeletal wasn't
4 done. So, again, in the rat study, I would say I don't
5 know what that says. I'd throw that one out.

6 The mini pig study to me is totally
7 uninterpretable, because there's something going on in
8 this study based on the fact that the pregnancy rates
9 were so low, and the fact that several -- a fairly high
10 percentage of the pregnancies were terminated earlier for
11 reasons that I don't understand. So, I don't know what
12 to make of those other -- so, I don't think there's
13 strong developmental toxicology evidence that -- as FDA
14 indicated, that this is a highly suspected human
15 teratogen. I would disagree with that characterization.

16 DR. RELLER: Thank you. Dr. Cox, and then
17 Dr. Fleming and Steckelberg.

18 DR. COX: Yeah, just one other point on the
19 question. I mean, you know, the reason we are asking
20 question two is to get to the issue of how we would label
21 this product. So, you know, we are trying to understand
22 what the frequency might be where a situation would arise

1 -- if such a situation would arise. And, you know, the
2 question as Dr. Mirkes -- the points he's bringing up
3 with regards to, you know, assessment, with regards to
4 degree of concern about teratogenicity. Okay.

5 DR. RELLER: Dr. Fleming.

6 DR. FLEMING: Well, I'm just -- this following
7 up on what Ed said was my comment. The question doesn't
8 seem to be really precisely what I understood you were
9 getting at. It says, are there clinical situations when
10 benefits of Telavancin use in pregnant women would
11 outweigh the risks?

12 It's really more rich than that, it's whether
13 -- are there clinical situations where it has been
14 established that the benefits of Telavancin use exceed
15 alternative options in a pregnant woman who -- would
16 exceed alternative options in a pregnant woman and where
17 those would out -- or such that it would outweigh the
18 risk. Because essentially Category X's wording is, for
19 example, safer drugs or other forms of treatment are
20 available. And, so, if one says, there are safety issues
21 here that are of concern, there is efficacy here, but if
22 that efficacy could be provided by alternative therapies

Capital Reporting Company

Page 227

1 that are safer, then that is the exact wording for
2 Category X. So, isn't that really the spirit of what
3 you're asking us to comment on?

4 DR. COX: Yeah, I think that's a fair way to
5 characterize it, and that does reflect the wording, with
6 regards to Category X. It asks the issue of safe
7 alternative. You know, we're trying to understand, are
8 there or are there not situations, you know, that would
9 arise where people would turn to this drug in a pregnant
10 --

11 DR. RELLER: Dr. Steckelberg.

12 DR. STECKELBERG: I apologize, but even after
13 all the discussion I'm still unclear as to what the
14 question is. It strikes me as ambiguous. This sponsor
15 -- sponsor's expert has said, that in his estimation
16 there's practice that 99 percent of the time there's safe
17 alternative therapy. So, it's not sort of a question --
18 is the question -- is it conceivable that there ever
19 could arise a situation, or is it a Saddam and Gamora
20 question of what percent -- or how frequent does this
21 happen? Or perhaps you just want to ask us, should it be
22 Category C, or X, or B, or something like that.

1 But in any case, I need to know what the
2 question is, if you want me to vote.

3 DR. COX: So, on this question, I'm just
4 trying to think, you know, perhaps the way to handle this
5 is, you know, to think about this in terms of, you know,
6 are there situations today, you know, a patient that you
7 might encounter today where you would, you know, consider
8 it appropriate or inappropriate to give this drug, based
9 upon what we know about the drug?

10 And then also to give us a feel for -- and we
11 can do this perhaps as we go around the table and the
12 comments -- the frequency with regards to what -- you
13 know, when such a situation might arise. And then if --
14 I guess as a sort of an add on, and I realize we'll have
15 to be very efficient here in trying to address this,
16 would be if folks want to make a recommendation with
17 regards to the pregnancy category, we can also include
18 that in the comments.

19 Does that sound okay, Dr. Reller? I know
20 that's a lot to try and chew.

21 DR. RELLER: You're fusing question two and
22 question three?

Capital Reporting Company

1 DR. COX: Am I?

2 DR. RELER: Because the Category X delineates
3 that there must be a risk management program, as I
4 interpret Category X. Not so?

5 DR. COX: Well, the two things are related.
6 And I think there we're asking more for, you know, should
7 a risk management program be there, so, if one were to
8 feel that X were the appropriate way to go, then it would
9 follow that in three you would vote yes. And then -- you
10 know, then you would actually describe the additional
11 elements that you would, you know, actually include in
12 such a risk management program.

13 DR. RELER: I had been educated that the risk
14 management program is a separate decision from a Category
15 C or Category X. I think there's no way around this,
16 other than through explanation of the comments. But
17 taking everything into consideration, come down on the
18 side of a yes or no, and amplify on that vote in the
19 discussion. And we'll go swiftly around the table.

20 I realize that we're over time. I think it's
21 important not to short change these questions, but it's
22 also important to be fair for this afternoon's sponsor.

Capital Reporting Company

Page 230

1 Dr. Cox.

2 DR. COX: Yeah, and I think your point,
3 Dr. Reller, is critical. And that is, understanding the
4 rationale to thinking and how you arrive at your answer.
5 If we can understand the rationale, that will be very
6 helpful to us.

7 DR. RELLER: So, we must vote the question as
8 it is, amplify and the comments thereafter. It's time to
9 vote.

10 Everyone certain of the right button?

11 The voting is closed.

12 The votes are 18 yes, 5 no, and 3 abstentions.

13 Dr. Kauffman.

14 DR. KAUFFMAN: I voted yes. I have clinically
15 seen patients in whom I had none of the alternatives for
16 treating a serious MRSA infection. Obviously pregnancy
17 wasn't a part of that issue at the VA. However, I think
18 overall this is going to be an uncommon event, but I
19 think physicians will need to have that option in extreme
20 circumstances.

21 DR. RELLER: Dr. Gutierrez.

22 DR. GUTIERREZ: Well, I voted no. And the

1 reason I voted no, is that I still don't have a very good
2 sense of either what the benefits or the risks would be
3 in this particular patient population. And I guess being
4 a pediatrician I'm more cognizant of needing to
5 understand exactly what the risks would be potentially to
6 the fetus. So, I think, sort of given a lack of
7 information about the risks, and the discussion about the
8 integrity of the studies in the animal models, I felt
9 that I would vote no for this. It -- I agree that there
10 could be a conceivable situation where, if you had no
11 other alternative, then you can argue benefit would
12 outweigh risk. But that's the reason for my no vote.

13 DR. RELLER: Dr. Follmann.

14 DR. FOLLMANN: I voted yes. I thought the
15 FDA's analysis of the data was more compelling to me. I
16 was struck by the consistency across the three species,
17 and also by the independent consultants FDA hired.

18 The sponsor's analysis just didn't seem so
19 compelling to me. It seemed like they were more
20 explaining the way these abnormalities. So, I don't
21 really have a good clinical explanation of why I would
22 have voted yes, other than I can imagine a situation,

1 perhaps, where this would be the only alternative
2 between, you know, saving the mother's life if there was
3 no other viable option. So, I'm assuming that such a
4 situation could exist and that's why I voted yes.

5 DR. RELLER: Dr. Weinstein.

6 DR. WEINSTEIN: Yeah. I voted yes. I think
7 that there could be clinical situations where the
8 benefits would outweigh the risks. I'm trying to think
9 about it. I've thought about VISA isolates, hVISAS.
10 Certainly there have been reports that VISA isolates are
11 also resistant to Daptomycin. And I think that might be
12 a situation where you might need to use this drug.

13 DR. RELLER: Mr. Levin.

14 MR. LEVIN: I voted no, not because I can't
15 conceive of a situation where it would be appropriate, as
16 I said, in a sort of break-the-glass sense. But I think,
17 frankly, this question is joined at the hip to the issue
18 of categorization, and the very specific and confining
19 language that FDA uses in categorization. I don't think
20 I could vote yes on this and then vote for the category I
21 might be interested in.

22 DR. RELLER: Dr. Hilton.

Capital Reporting Company

Page 233

1 DR. HILTON: I abstained, because I feel that
2 the teratogenicity data are incomplete, inadequate for us
3 to make this assessment.

4 And also I think that no amount of limb
5 malformations are going to be acceptable. One of my
6 first positions as a researcher was on diethylstilbestrol
7 adenosis study where eight men born of women who had
8 taken that during pregnancy went on to have malformations
9 as young adults. I just don't think our society accepts
10 that. So --

11 DR. RELLER: Dr. Cross.

12 DR. CROSS: I voted yes, because I can
13 conceive of a situation, albeit rare, when there aren't
14 any other alternative in which it may be useful. I think
15 we do need more data on risk, but I was also impressed
16 that the observations made, in terms of risks were, at
17 close to the human dose. And while I -- I'm impressed
18 with Dr. Mirke's analysis that we really don't have
19 enough data. I think that was of interest to me.

20 Having said that, I think, you know, that its
21 use would be rare, because there are presently
22 alternatives.

Capital Reporting Company

1 DR. RELLER: Dr. Steckelberg.

2 DR. STECKELBERG: I voted yes, because I can
3 conceive -- I can construct in my mind a situation where
4 this might be true, although I haven't seen such a
5 situation. If you had asked the question about C versus
6 X, I would have said X for the following reasons. There
7 are really two components to it, one is whether or not
8 the animal studies have shown defects. And I'm not sure
9 what the answer to that is, but I do know the sponsor's
10 expert has said he clearly thinks it's Category C. And
11 Category C says, animal reproduction studies have shown
12 an adverse effect on the fetus, otherwise it would be
13 Category B.

14 So, then it kind of comes down to Category C
15 versus X, and that question then comes down to the second
16 part, which is whether the risk of the use of the drug in
17 a pregnant woman clearly outweighs any possible
18 benefits. And the specific examples are given as to
19 whether other forms are -- of therapy are available. And
20 the sponsor said 99 percent of the time there are. And,
21 so, it kind of comes down to that 1 percent.

22 My main concern would be that -- would be the

Capital Reporting Company

Page 235

1 protection of the safety of the patient in this
2 situation. And without further data, I would come down
3 on the side of avoiding inadvertent use on the basis of
4 the labeling. And just the -- I think my experience with
5 physicians, is that if it's in Category C, there's
6 probably more likely to be use without really having that
7 hard discussion about it, or hard thought about it. So,
8 it's just a judgment call, but that's the basis for it.

9 DR. RELLER: Miss Thomas.

10 DR. THOMAS: I voted yes, because I think it
11 should be used when there is resistance to all other
12 antibiotics. It's the last resort. But I think it's
13 very important that the patient be told of the side
14 effects and -- with her doctor, so, yet it -- she really
15 knows what the risks are involved.

16 DR. RELLER: Dr. Black.

17 DR. BLACK: I voted yes as well, because it's
18 impossible for me to not think of a situation where you
19 could -- you might not use this. And, so, I think the
20 way the question was worded, it was impossible to answer
21 any way but yes.

22 DR. RELLER: Dr. Cragan.

Capital Reporting Company

1 DR. CRAGAN: I voted yes as well for the same
2 reasons that I think it should be used, if there are no
3 alternatives and it's a life-saving situation. I intend
4 to agree with Dr. Mirke on the interpretation of the
5 animal studies. But clearly there are questions that
6 remain, and just the dichotomy of the two interpretations
7 that we've heard needs -- is going to be out there and
8 needs to be addressed. So, I would not recommend it as
9 first line use or frequent use, et cetera. But in a
10 patient who is pregnant who -- or who may be pregnant and
11 it's a life-saving situation, yeah, I think it should be
12 used.

13 DR. RELLER: Dr. Kopp.

14 DR. KOPP: I voted to abstain, just because I
15 don't have enough personal knowledge on the infectious
16 disease side of the seesaw, or the teratogenic side.

17 DR. RELLER: Dr. Shelby.

18 DR. SHELBY: Since all my clinical experience
19 is as a patient, I didn't feel like I had enough
20 knowledge to actually reach a conclusion as to the
21 clinical situations. All right.

22 DR. RELLER: Thank you. Dr. Smith.

Capital Reporting Company

Page 237

1 DR. SMITH: I voted yes. I think that, if a
2 woman is pregnant and all other therapies have failed,
3 she, you know, needs the option to do this. And I think
4 the -- again, the teratology is weak, the studies are
5 weak, and it's hard to make a judgment based on those.

6 DR. RELLER: Dr. Paganini.

7 DR. PAGANINI: I voted yes and I'll let
8 Dr. Steckelberg -- all his discussion is exactly the
9 discussion I would have. I'd probably place it in an X
10 with all of the caveats listed there.

11 DR. RELLER: Dr. Mirkes.

12 DR. MIRKES: Yeah, and I believe I was
13 confused by the question, and I probably should have
14 voted yes. But what I tried to convey, was that I don't
15 think we know what the risks are in terms of the
16 teratogenicity. And, so, I don't distinguish this drug
17 from Vancomycin, in terms of how we deal with it. And,
18 therefore, I would be in favor of a Category C. And
19 that's what I was trying to convey.

20 DR. RELLER: Dr. Septimus.

21 DR. SEPTIMUS: Well, this time I voted yes,
22 because we voted yes on the first question. But I can

1 conceive of a situation which this might be indicated. I
2 think one of the obligations we have, both as physicians
3 and here today, is that we have to think of patient
4 safety first. And, so, although I think there is a
5 reason that we might give this drug in someone who is
6 pregnant, I think it has to be done very carefully with
7 the risk management program in place, both for this
8 category and for the next question, and obviously to
9 establish a registry so we monitor for any potential low
10 level toxicity.

11 DR. RELER: Dr. Nelson.

12 DR. NELSON: Yeah. I voted yes as well. I
13 think -- I deal frequently with patients who take or get
14 exposed to substances that are potentially teratogenic
15 while they're pregnant. Sometimes it's intentional.
16 And, you know, these discussions come up very
17 frequently. And I think patients have a very strong
18 sense about whether it's cultural, political,
19 philosophical, religious, about what you're going to do
20 in a situation like that. And this is not an all-or-none
21 teratogen, this is a drug that has a potential to be
22 teratogenic. And it seems unrealistic to sentence a

Capital Reporting Company

Page 239

1 pregnant woman essentially to death, if you believe
2 that's going to be the outcome, because of some potential
3 to have a teratogenic event, which many people, you know,
4 feel is resolvable. So, that's why I voted yes.

5 DR. RELER: Dr. Lesar.

6 DR. LESAR: I voted yes as well. Again, my
7 comments, many have to do with what question three, will
8 be in terms of what that risk management is. Because I
9 think that my response has a lot to do with how we manage
10 this issue.

11 DR. RELER: Dr. Bennett.

12 DR. BENNETT: We use the Vancomycin in my
13 hospital. It just sky rocketed. We see so much MRSA,
14 suspected or proven, that we're using Vancomycin. It's
15 one of the most commonly used antibiotics. And what this
16 means is, we're seeing more patients who are getting skin
17 rashes, particularly the ones with -- this is something
18 Carol Kauffman brought up, that is diffuse erythroderma.
19 And I'm afraid, if we continue the drug, they'll
20 exfoliate or go on to Stephens Johnson.

21 So, Ed Cox was asking, is there a situation
22 where you don't have very many alternatives? And one of

1 the things is, would you reach for Vancomycin in a person
2 who had a skin rash like that? And the answer is, no.
3 Particularly the pregnant female you wouldn't want to
4 reach for something -- a drug that would have some
5 serious delayed hypersensitivity.

6 Another point to bring up is, although I voted
7 yes to use this drug, I don't like the teratogenicity
8 data we've got. I do not know why they didn't use higher
9 multiples of the dose we use in humans. It may be
10 because there's a factor here of volume. Perhaps the
11 stuff is so diluted, the Cyclodextrin, that you
12 physically can't get in that much in the experimental
13 animal.

14 But when Dr. Scialli said there's nothing else
15 to be done, I wondered why we weren't using higher doses
16 to study teratogenicity. So, I'm concerned about it
17 enough to think about it, other than having it in the X
18 category. But I do think we need it. I think there will
19 be times when we would reach for Telavancin.

20 DR. RELLER: Dr. Leggett.

21 DR. LEGGETT: I voted yes for many of the same
22 reasons as before. I can think of several plausible --

1 very infrequent, but plausible scenarios where this would
2 be -- the benefit would outweigh the risks.

3 One thing I would mention that hasn't been
4 brought up, I think we have to consider ourselves not
5 only with the known pregnant woman, but with the unknown
6 pregnant woman. And I would make a plea for as much
7 consistency and constancy as possible on the part of the
8 FDA regulators going forward. One of the things I'd
9 point out is that we have several Class D drugs,
10 aminoglycosides, Tigecycline still is labeled D as far as
11 I'm concerned, even though the person said it was the
12 equivalent to C. And then we have favorance. We use
13 those all the time. So, whatever the FDA decides about
14 this drug, should keep in mind what is currently around
15 and in use right now.

16 And in terms of the teratogenicity, I sort of
17 concur with Dr. Mirkes that, you know, this could be a
18 Class C drug, and I would like the FDA to reconsider
19 after they've read Dr. Scialli's Vancomycin reference.
20 This may be a moot point.

21 Regarding Class X drugs that I'm aware of that
22 would sort of fit in the anti-infective category, we've

1 got Quinine and Ribavirin, which I think are very
2 different than what we are considering this drug to be as
3 a Class X or -- yeah.

4 And, so -- or class -- and then I think I'll
5 just -- that will do it.

6 DR. RELLER: Dr. Fleming.

7 DR. FLEMING: For this question I would have
8 voted yes if I believed that there were clinical
9 situations where it's been established that the benefits
10 of Telavancin use do exceed alternative options in a
11 pregnant woman, and where those unique benefits would
12 outweigh the risk, then I would have voted yes.

13 We haven't established that. The
14 teratogenicity issues are very significant. As
15 Dr. Hilton was pointing out, they're not established.
16 But the evidence to suggest this risk is sufficient, in
17 my view, that it takes more than a hypothetical, I can
18 conceive of a situation, or if there were no other
19 alternatives where the patient would die and this will
20 save the life, there's been no evidence to indicate that
21 that, in fact, exists.

22 And my sense is, the wording in Category X,

1 for example, safer drugs or other forms of treatment are
2 available applies. And with the concern that's
3 legitimately been raised about the safety issues, I voted
4 yes to question number one, recognizing that we've only
5 established noninferiority relative to Vancomycin, with
6 the idea that we would be protective against these issues
7 of safety that could tip the scale in the unfavorable
8 benefit to risk. So, I voted no.

9 DR. RELLER: Dr. Goetz.

10 DR. GOETZ: I voted yes, not surprisingly.
11 Many of my reasons echo that of the previous people who
12 voted yes. I would limit -- but having said that, at the
13 present time I see that the number of circumstances for
14 the use of Telavancin would be justified in pregnant
15 women can be quite unusual. I would limit the use to
16 people who clearly have Vancomycin resistance, or clear
17 evidence of Vancomycin intolerance. Then at the same
18 time have a life, limb, or function compromising
19 infection.

20 But this may change in the near future. We
21 are seeing changes in the MICs of Staph aureus isolates,
22 and we are seeing consideration given to where the

1 appropriate break point is for Vancomycin
2 susceptibility. But the break points haven't changed in
3 the last two years. They may change yet again.

4 So, I think we need to have the flexibility to
5 have this drug available in pregnant women when there is
6 a serious infection, and when there's evidence of lack of
7 Vancomycin susceptibility, or clear absence of Vancomycin
8 tolerance.

9 DR. RELLER: Dr. Alston.

10 DR. ALSTON: I voted yes. And I have nothing
11 further to add.

12 DR. RELLER: Dr. Katona.

13 DR. KATONA: I voted yes. I'm curious amongst
14 those that voted yes, if we had substituted the toxicity
15 of Thalidomide, which was rare but very dramatic, whether
16 that would have made a difference in that voting.

17 But what it comes down to to me, is you can't
18 have a category for drug of last resort. You know, you
19 can give it a Category C and eventually it will be looked
20 upon by the practicing physician as every other drug that
21 it's a comparator to that is also a Category C. So, I
22 think you have to look at it in that way, and not look at

1 it as the drug of last resort when all other options are
2 being taken off -- the way we're actually asked to look
3 at it currently.

4 DR. RELLER: I voted no for much of the same
5 reason that Dr. Fleming has already outlined. I
6 recognize that to save a life no one would hesitate to
7 use this drug based on the efficacy.

8 On the other hand, we're anticipating a
9 greater need, at the same time we have such a sparse
10 experience on the development of resistance of this drug
11 going to the number of exposures. So, I'm not certain.
12 I just don't think that it should be given to pregnant
13 women unless there is -- unless one has exhausted other
14 alternatives based on the uncertainties that have been
15 presented today.

16 Question number three. This should be
17 relatively more straight forward. Since labeling of the
18 drug is not automatically linked to risk management,
19 let's vote.

20 Question three: Is a risk management strategy
21 needed to prevent an unintended use in pregnant women or
22 women of childbearing potentials? Please note -- vote

Capital Reporting Company

Page 246

1 yes or no.

2 All set?

3 Time for the vote. We have a moment for
4 technical corrections.

5 We wish to vote as soon as we have blinking
6 lights in the bottom panel.

7 We're ready for the vote. Please vote.

8 Voting is closed.

9 Results. Question number three, 22 members
10 voted yes, 1 no, 0 abstentions. Excuse me. What did I
11 say? Even without the glasses. The vote is 25 yes, 1
12 no, and 0 abstentions.

13 Dr. Cox, need we go to each individual, or can
14 we simply ask if there's anyone who wishes to comment?

15 DR. COX: I think you can ask for folks who
16 would like to specifically make comments on this issue
17 about things that they'd like see included.

18 DR. RELLER: Please raise your hand and we
19 will -- you will be acknowledged.

20 Dr. Cragan.

21 DR. CRAGAN: I voted yes on this because --
22 not because I'm concerned that this is a major teratogen

1 along the lines of Accutane, and Thalidomide, and some of
2 those drugs. But I think it's realistic to be -- you
3 need to be realistic about what can be done in the
4 postmarketing arena.

5 One of my activities at CVC is I'm on the
6 Scientific Advisory Committee of four of the pregnancy
7 registries by -- as a consultant to -- on several drugs
8 to the pharmaceutical industry. And I think you have to
9 be realistic about what that can accomplish. Because if
10 there is not a risk management strategy then, you know,
11 it's Category C and, well, we'll do a pregnancy
12 registry. And these are -- the way they're typically
13 done are voluntary registries, a physician or a woman
14 enrolls themselves or enrolls their patient. And the
15 registry calls them up after the pregnancy is over and
16 find out what happen, and what was the outcome, and did
17 the child have any problems.

18 Their prospective that -- they're voluntary.
19 They're not random. They're not population based. There
20 are, you know, characteristics presumably associated with
21 participation that very well may be associated with the
22 risk of having a birth defect.

1 They're underpowered, in terms of they do okay
2 with looking at all birth defects across the board, so,
3 if you combine everything that could go wrong and look at
4 risk levels, it may tell you something there.

5 But in this instance where there's -- concerns
6 have been raised about a particular type of malformation,
7 or a particular organ system, a prospective registry of
8 that nature typically is not going to get there. You're
9 talking about very rare events. And you're talking about
10 the ability to only identify huge increases in those rare
11 events.

12 Most pregnancy registries have a very tiny
13 proportion of the total drug use that happens in
14 pregnancy.

15 So, my feeling about it is that, you know,
16 even this is a Category C and such, there needs to be a
17 more active kind of hands-on approach to identifying the
18 pregnancies that happen that do get exposed, and finding
19 out what those outcomes were, to be at all informative.
20 Because if it's left as the typical -- the more usual
21 approach that a pregnancy registry has, I don't think
22 it's really going to be informative.

1 So, I don't know if that means, you know,
2 working directly with hospitals or directly with
3 infectious disease specialists who are going to be
4 prescribing these -- this drug or recommending its use.

5 I do think there is always potential for an
6 inadvertent use when you don't know -- a woman doesn't
7 know that she's pregnant, and you don't realize that she
8 is before you use it. So, that always has to be a factor
9 in this kind of use. But I think you need to be
10 realistic about what the alternatives are.

11 DR. RELER: Miss Thomas.

12 DR. THOMAS: You know, I voted yes, but with a
13 caveat. Fifty percent of inpatients are not told they
14 have MRSA. They don't know that this is what they have.
15 And they're not given instructions on home care or
16 information about the disease on discharge.

17 So, due to hospital staff shortages and lack
18 of communication, and I see an educational program for
19 pregnant women or women of childbearing potential just
20 would not occur.

21 DR. RELER: Mr. Levin.

22 MR. LEVIN: So, Dr. Cox, we're not talking

1 about category, right? We're not being asked that
2 question. And, so, my question is: That short of the
3 Category X, how much can be required?

4 So, we have the model from Accutane and other
5 drugs with proven teratogenesis of how we try to manage
6 risk. And even Accutane is not 100 percent in terms of
7 avoiding exposure. So, we know all the tools. And the
8 complication here is, if it's not Category X, or, you
9 know, if it's one or the other, does that effect what
10 tools can be used?

11 And, number two, I think the really important
12 point that was brought out in the FDA presentation on
13 risk management, this is sort of a different animal than
14 the risk management experience we've had, because it has
15 a huge inpatient component. And a lot of what we've done
16 in risk management is around outpatient. So, it's quite
17 different how you operationalize this in a hospital
18 setting, from how you operationalize this in a
19 physician's office.

20 So, do we need to -- I mean, do we need to be
21 throwing suggestions out? You guys have worked with,
22 we've developed in other settings the tools for risk

1 management as regards to pregnancy. Why don't we just
2 say to you guys, figure it out? Work with the hospitals,
3 work with doctors, and figure out, what are those tools?
4 I mean, I don't think -- we're going to take a lot of
5 time to talk about all the possibilities. I don't know
6 where that's going to get us. We know the tools. We've
7 sort of had that experiment already. We know how well
8 each of them work and don't work because they've been
9 evaluated. And I think the difficulty here is the
10 different logistical issues of inpatient versus
11 outpatient. And I don't think we can solve that for you
12 in 15 minutes today.

13 So, what do you need from us in addition to
14 what you have?

15 DR. COX: Yeah. And to your first question, I
16 think it is fine to disassociate the issue of category
17 with regards to tools that you think are appropriate for
18 managing the risks. So, if there are suggestions with
19 regards to tools that we should be considering, we'd
20 certainly welcome those.

21 I also understand your second point, which is
22 that this is a complex issue because we're dealing with

1 the situation of, you know, inpatient versus outpatient.
2 So, maybe a way to phrase this is, if there are
3 additional suggestions that folks would like to volunteer
4 to us that -- you know, to advise us on tools that we
5 should be considering with regards to management risks,
6 we'd certainly welcome those comments.

7 DR. RELLER: Thank you. Dr. Rex.

8 DR. REX: Very briefly. I want to introduce
9 an observation from the industry standpoint that goes
10 back to question one. During these comments Dr. Fleming
11 introduced a view on the analysis of efficacy that differ
12 somewhat from the spirit of yesterday's discussions. In
13 particular his statements have implied that there is no
14 role for any microbial therapy as part of the management
15 of abscess.

16 I'm concerned that we not lose sight of the
17 problem we had yesterday with defining serious or major
18 abscess, abscesses associated with constitutional
19 symptoms, abscesses in threatening locations, abscesses
20 in abnormal hosts are complicated skin infections that
21 appear to benefit from any microbial therapy, as we've
22 shown by the IDSA review. And it's a view that I heard

1 supported by the comments of the clinicians at the
2 table.

3 Our supported data may be imperfect, but
4 yesterday's consensus found them to be clinically
5 meaningful.

6 A comprehensive program does need to include
7 disease forms, other than abscess. I absolutely agree.
8 I have no difficulty with doing a sensitivity analysis by
9 subcategory. And I thought it was useful to see that
10 presented today. But I do wish that we avoid introducing
11 a new idea here accidentally or by implication. Thanks.

12 DR. RELLER: Any other comments on question
13 three?

14 We will have the opportunity to emphasize some
15 of these points in today -- this afternoon and tomorrow.
16 We'll now break for lunch.

17 As yesterday, across the hall in The Prince
18 George's Room, anything that -- we'll be setting up for
19 the next meeting -- take with you. The room will be
20 closed. We'll reconvene at 2:15 p.m. 2:15 p.m. The
21 hours have been extended in the restaurant to accommodate
22 us. 2:15 back here. Thanks.

1 (Pause in proceedings.)

2 DR. RELER: Power having been restored to the
3 microphones, we'll begin the afternoon session of the FDA
4 Anti-Infective Advisory Committee -- Anti-Infective Drugs
5 Advisory Committee Meeting.

6 I'll now call the meeting to order and make
7 our opening remarks. For the topics, such as those being
8 discussed at this afternoon's meeting, there are often a
9 variety of opinions, some of which are quite strongly
10 held. Our goal is this afternoon's meeting will be a
11 fair and open forum for discussion of these issues, and
12 that individuals can express their views without
13 interruption.

14 Thus, as a reminder, individuals will be
15 allowed to speak into the record, only if recognized by
16 the Chair.

17 We look forward to a productive meeting.
18 Going to the time constraints of this afternoon, if we
19 are so fortunate as to have time for a break, we'll have
20 one, but probably not. And, consequently, if there be a
21 physiologic need to briefly exit from the meeting, please
22 do so.

Capital Reporting Company

Page 255

1 In the spirit of the FDA Federal Advisory
2 Committee Act and the Government on Sunshine Act, we ask
3 that Advisory Committee members take care in that their
4 conversations about the topic at hand take place only in
5 the open forum of this meeting. We are aware members of
6 the media are anxious to speak with the FDA about these
7 proceedings; however, FDA will refrain from discussing
8 the details of the meeting with the media until its
9 conclusion.

10 The press -- FDA press contact for the meeting
11 is Miss Karen Riley. Karen is standing in the back.

12 UNIDENTIFIED SPEAKER: It's Sandy Walsh.

13 DR. RELLER: Sandy Walsh will be pitch hitting
14 for Karen Riley as the FDA press contact.

15 Also I would now like to turn the meetings
16 attention to introduction of the committee members who
17 are voting -- participating this afternoon.

18 We will start this afternoon for a separate
19 recording with Dr. Katona to my left.

20 DR. KATONA: Peter Katona, I'm an Infectious
21 Disease Physician at UCLA.

22 DR. ALSTON: Kemper Alston, I'm an Infectious

Capital Reporting Company

Page 256

1 Disease Physician at the University of Vermont and
2 Fletcher Allen Healthcare in Burlington, Vermont.

3 DR. GOETZ: Matthew Goetz, Professor of
4 Clinical Medicine, UCLA Chief Infectious Diseases, VA
5 Greater Los Angeles Healthcare System.

6 DR. FLEMING: Thomas Fleming, Department of
7 Biostatistics, University of Washington.

8 DR. LEGGETT: Jim Leggett, Infectious
9 Diseases, Providence Portland Medical Center and Oregon
10 Health and Sciences University.

11 DR. BENNETT: Jack Bennett, Infectious Disease
12 Division, NIH.

13 DR. LESAR: Timothy Lesar, Director of
14 Pharmacy, Albany Medical Center, Albany, New York.

15 DR. NELSON: Lewis Nelson, Emergency Physician
16 and Medical Toxicologist at New York University.

17 DR. SEPTIMUS: Ed Septimus, Infectious
18 Diseases, University of Texas in Houston.

19 DR. MOLEDINA: Nasim Moledina, Medical
20 Officer, Division of Anti-Infectives and Ophthalmology
21 Products.

22 DR. VALAPPIL: Thamban Valappil, Statistician,

Capital Reporting Company

Page 257

1 CDER FDA.

2 DR. ALEXANDER: John Alexander, Medical Team
3 Leader, Division of Anti-Infective and Ophthalmology
4 Products.

5 DR. LAESSIG: Katie Laessig, Deputy Director,
6 Division of Anti-Infective and Ophthalmology Products.

7 DR. COX: Ed Cox, Director of Antimicrobial
8 Products, CDER FDA.

9 DR. THOMAS: I'm Jean Thomas, patient
10 representative (inaudible).

11 DR. RELLER: We've just heard introduced Jean
12 Thomas who is the patient representative on the
13 committee.

14 DR. CROSS: Alan Cross, Infectious Disease
15 Physician, University of Maryland, Baltimore.

16 DR. HILTON: Joan Hilton, Professor of
17 Biostatistics, University of California and San
18 Francisco.

19 MR. LEVIN: Arthur Levin, Center for Medical
20 Consumers in New York and the Consumer Representative.

21 DR. WEINSTEIN: Mel Weinstein, Infectious
22 Disease Physician, Robert Wood Johnson Medical School in

1 Brunswick, New Jersey.

2 DR. FOLLMANN: Dean Follmann, Head of
3 Biostatistics at the National Institute of Allergy and
4 Infectious Diseases.

5 DR. GUTIERREZ: Kathleen Gutierrez, Pediatric
6 Infectious Disease, Stanford, Lucille Packard Children's
7 Hospital.

8 DR. KAUFFMAN: Carol Kauffman -- excuse me --
9 Carol Kauffman, University of Michigan Medical Center,
10 and Chief of Infectious Diseases at the Ann Arbor VA.

11 DR. KIM: Janie Kim, Designated Federal
12 Officer for Anti-Infective Drugs Advisory Committee.

13 DR. RELLER: Barth Reller, Infectious Diseases
14 Physician and Medical Microbiologist at Duke University
15 Medical Center.

16 We will now have the conflict of interest
17 statement read by Dr. Kim.

18 DR. KIM: Thank you, Dr. Reller. The Food and
19 Drug Administration is convening today's meeting of the
20 Anti-Infective Drugs Advisory Committee under the
21 authority of the Federal Advisory Committee Act of 1972.
22 With the exception of the industry representative, all

Capital Reporting Company

Page 259

1 members and temporary voting members of the committee are
2 special government employees or regular Federal employees
3 from other agencies and are subject to the Federal
4 conflict of interest laws and regulations.

5 The following information on the status of the
6 committee's compliance with Federal ethics and conflict
7 of interest laws covered by, but not limited to, those
8 found at 18 USC, Section 208 and Section 712, of the
9 Federal Food, Drug and Cosmetic Act, are being provided
10 to participants in today's meeting and to the public.

11 FDA has determined that members and temporary
12 voting members of this committee are in compliance with
13 Federal ethics and conflict of interest laws. Under 18
14 USC, Section 208, Congress has authorized FDA to grant
15 waivers to special government employees and regular
16 Federal employees who have potential financial conflicts
17 when it is determined that the agency's need for a
18 particular individual's services outweigh his or her
19 potential financial conflict of interest.

20 Under Section 712 of the FD&C Act, Congress
21 has authorized FDA to grant waivers to special government
22 employees and regular Federal employees with potential

Capital Reporting Company

Page 260

1 financial conflicts, when necessary, to afford the
2 committee essential expertise.

3 Related to the discussion of today's meetings,
4 members and temporary voting members of this committee
5 have been screened for potential financial conflicts of
6 their own, as well as those imputed to them, including
7 those of their spouses or of minor children, and for
8 purposes of 18 USC, Section 208, their employers.

9 These interests may include investments,
10 consulting, expert witness testimony, contracts, grants,
11 CRADAs, teaching, speaking, writing, patents, and
12 royalties, and primary employment.

13 Today's agenda include -- involves a new drug
14 application, NDA 022-153, Oritavancin, Targanta
15 Therapeutics Corporation proposed treatment of
16 complicated skin and skin structure infection. This is a
17 particular matter involving -- meeting during which
18 specific matters related to Oritavancin will be
19 discussed.

20 Due to their past involvement with
21 Oritavancin, the product at issue for today's meeting,
22 Dr. James Steckelberg, and Dr. John Rex, FDA's invited

Capital Reporting Company

Page 261

1 industry representative, will not be participating during
2 this portion of the meeting.

3 We would like to remind members and temporary
4 voting members, that if the discussions involve any other
5 products or firms not already on the agenda for which an
6 FDA participant has a personal or imputed financial
7 interest, the participants need to exclude themselves
8 from such involvement, and their exclusion will be noted
9 for the record.

10 FDA encourages all other participants to
11 advise the committee of any financial relationships that
12 they may have with any firms at issue. Thank you.

13 DR. RELER: We will have two sequential
14 45-minute presentations, first by the sponsor, Targanta,
15 followed by the FDA presentation.

16 Dr. Parr.

17 DR. PARR: Thank you. Good afternoon. My
18 name is Tom Parr. I'm the chief scientific officer of
19 Targanta Therapeutics. And I'm going to present to you
20 the introduction and handle the questions and answers, as
21 well as a short resume of the microbiology of
22 Oritavancin.

Capital Reporting Company

Page 262

1 My presentation will be followed by Dr. Pierre
2 Etienne, our chief development officer, who will present
3 the efficacy and pharmacology of Oritavancin. And
4 Dr. Susan Moriarty, our senior director of medical
5 affairs, who will present the safety and the benefit
6 risk.

7 This -- on this slide are additional experts
8 with the company and also consulting experts who will
9 introduce themselves, if they are called upon to answer
10 any specific questions.

11 The NDA for Oritavancin has been submitted for
12 the indication of complicated skin and skin structure
13 infections, for the treatment of susceptible organisms,
14 using a daily administration of 200 milligrams unit
15 dosing, or 300 milligrams for patients with a body mass
16 over 110 kilograms, for a three to seven day dose in
17 course. The application is supported by two Phase 3
18 trials, each of which met its primary endpoint.

19 Oritavancin has been administered to almost
20 2,000 subjects, including more than 1,600 patients. It
21 is also the case that there are 13 Phase 1 studies, and
22 four Phase 2 studies that have been conducted that also

1 support the dossier.

2 Oritavancin is a semisynthetic molecule with a
3 chlorobiphenyl methylene group circled on this slide,
4 added to the natural product chloroeremomycin. This
5 semisynthetic modification is the exciting new addition
6 and changes the attributes to the molecule in several
7 ways.

8 The substitution to the natural product
9 enhances the potency of the molecule very dramatically.
10 It makes the molecule active against many antibiotic
11 resistance strains, including glycopeptide-resistant
12 strains. This substitution also changes the
13 pharmacokinetics of Oritavancin and how the body handles
14 the molecule.

15 With the substitution, the molecule has a long
16 residence time in the body, and this allows a short
17 dosing course. Once a day for three to seven days is our
18 proposal. From the trials supporting this we will show.

19 This short course has been successful, and
20 increased relapse rates have not been seen. The molecule
21 has eliminated unchanged slowly, both in urine and in
22 feces. As will be explained by Dr. Moriarty, the long

1 residence time is not associated with adverse events in
2 our clinical studies. And, in fact, Oritavancin has
3 demonstrated statistically fewer treatment emergent
4 adverse events in our trials versus the comparator.

5 The semisynthetic substitution also adds
6 additional mechanisms of action to Oritavancin, changing
7 the way the molecule interferes with bacterial
8 proliferation. It now attacks -- attacking the bacteria
9 in several ways.

10 The molecule inhibits several -- I'm sorry,
11 multiple steps of bacterial cell wall synthesis,
12 independent steps, and Oritavancin is also a bacterial
13 membrane perturbing agent that's attribute is associated
14 with the very rapid bactericidal seen -- bactericidal
15 activity seen. And I will show you the -- a bit of that
16 data from the briefing document in a moment.

17 These multiple mechanisms of action may help
18 forestall the emergence of resistance through mutation.
19 And, in fact, none of the clinical failures seen to date
20 have been associated with the emergence of pathogens with
21 increased MICs to Oritavancin.

22 The important attributes of Oritavancin are:

1 The greater utility that we believe we will see, in part
2 due to the spectrum and potency, including antibiotic
3 resistance, Gram-positive organisms, such as MRSA.
4 Preclinically you will recall from your briefing document
5 that we have seen substantial activity against
6 Vancomycin-resistant Enterococci, Vancomycin-resistant
7 Staph aureus strains, Daptomycin, and Linezolid
8 nonsusceptible isolates.

9 A short course of therapy, as Dr. Etienne will
10 review, our trials have been successful with the
11 application of 3 to 7 days of once a day dosing, compared
12 with 10 to 14 days in the control arm of the studies.

13 This is consistent with the expectation from
14 in vitro studies, from our preclinical animal model
15 studies, and the multiple mechanisms of action of
16 Oritavancin.

17 This short course will allow convenience in
18 the hospital and for successful application to otherwise
19 resistant strains. Oritavancin has shown fewer side
20 effects and fewer treatment related issues than as
21 compared to Vancomycin in our clinical studies.

22 Dr. Moriarty, in the safety portion of the

1 presentation, will run through these data with you. She
2 will show that there is no need for monitoring levels of
3 Oritavancin in hepatic nor renally impaired patients
4 using the unit dosing schedule which we are proposing.

5 Finally, Oritavancin has significant future
6 potential. We have completed a Phase 2 study using
7 Oritavancin single dose or infrequent dose. We have two
8 Phase 2 bacteremia studies exploring the drug in that
9 application. We have strong published data on
10 clostridium difficile colitis as an oral formulation of
11 Oritavancin. And we have unusually strong also published
12 preclinical data on bacillus anthracis inhalation
13 Anthrax.

14 As we have but a short time to present to you
15 today the microbiology dossier, I will share but two
16 pieces of information from the preclinical data taken
17 from our briefing document. This is an in vitro study
18 using the MRSA strain clone, the community-associated
19 USA-300 strain.

20 On the vertical axis is the bacterial density
21 in log scale, while time is on the horizontal axis. In
22 this experiment all drugs were tested at their free peak

1 concentrations, taking into account serum protein
2 binding, that resulted from the standard clinical doses
3 for complicated skin and skin structure, taken from the
4 package insert of the registered drugs, and the unit
5 dosing of 200 milligrams that we are proposing for
6 Oritavancin.

7 You can note the steep slope of the decline of
8 the bacterias well being for Oritavancin, with more than
9 log kill within 30 minutes in this experiment.

10 In data in the briefing document you will also
11 recall that Oritavancin's activity is concentration
12 dependent, with more rapid bactericidal effects seen as
13 concentrations are increased.

14 Oritavancin has been shown to be very active
15 in many animal models, including models that are
16 pertinent to skin and skin structure infections, and
17 against multiple bacterial pathogens, including
18 antibiotic resistance strains.

19 In these animal model systems, measures under
20 the -- measures of area under the concentration curve, or
21 peak to MIC, or peak to MIC levels, best protect efficacy
22 in mice. This is true for both the neutropenic thigh

1 model using Staphylococcus aureus and Streptococcus
2 pyogenes, including MRSA, and pneumococcal pneumonia
3 using Streptococcus pneumonia. These data are -- concur
4 with the concentration dependent bactericidal activity of
5 Oritavancin in vitro.

6 Finally Oritavancin's activity in animals is
7 very prolonged and long lived. I will finish this
8 compact summary of Oritavancin's preclinical profile with
9 an animal study that displays such long lived in vivo
10 activity.

11 This is a representation of data from a rat
12 granuloma pouch model using methicillin-susceptible Staph
13 aureus. The bacterial burden is again indicated in log
14 scale on the vertical Y axis with time now extending to
15 144 hours on the X axis. In these experiments, single
16 applications of drug were applied, 100 milligrams per
17 kilogram to the rat of Vancomycin, and 30 milligrams per
18 kilogram in the case of Oritavancin.

19 During the first 24-hours of the infection,
20 both drugs show a positive antimicrobial activity. But
21 Oritavancin's activity is prolonged with the return of
22 growth not occurring until, perhaps, 72 hours or you

1 pick, but certainly much longer than Vancomycin.

2 These data are supportive of the once daily
3 dosing strategy that the NDA for complicated skin and
4 skin structure infection is proposing.

5 In summary, we are approaching you today to
6 propose Oritavancin for the treatment of complicated skin
7 and skin structure infections, using a once daily dosing
8 of a unit dose of 200 or 300 milligrams for a duration of
9 three to seven days. We will demonstrate the
10 effectiveness of this profile and its strong safety
11 characteristics.

12 Oritavancin will be recommended for dosing
13 once daily, three to seven days. We anticipate no
14 special laboratory monitoring for either efficacy or
15 safety. It -- Oritavancin has an enhanced potency in
16 spectrum in comparison to other glycopeptides on the
17 market. Strong activity against VRE and VRSA, in both in
18 vitro and in animal models, multiple mechanisms of
19 action, which we believe will forestall the emergence of
20 resistance. It is very rapidly bactericidal and has a
21 sustained activity.

22 With that introduction I'd like Dr. Etienne to

1 teach us about the efficacy and pharmacology of
2 Oritavancin.

3 DR. ETIENNE: Thank you, Dr. Parr.

4 Good afternoon. I'm Dr. Etienne, chief
5 development officer at Targanta.

6 Today I present efficacy data for the two
7 Phase 3 studies that demonstrate Oritavancin's
8 effectiveness and low relapse rate, with short course
9 therapy for cSSSI. And there are three areas I'd like to
10 focus on.

11 I'll briefly summarize the pharmacology and
12 population pharmacokinetics of Oritavancin that are
13 relevant to the dosing we propose. Then I'll describe
14 the Phase 2 -- the Phase 3 studies in chronological
15 order, starting with ARRD, a weight-base study, and
16 following with ARRI, a fixed dose study.

17 Finally I'll summarize the results in the
18 pooled Phase 3 studies, including outcomes and subgroups.

19 As you will see, Oritavancin was found to be
20 consistently effective across all populations, disease
21 categories, pathogens and subgroups, even with the
22 inclusion of patients at risk for worse outcomes.

1 Now, a few words about clinical pharmacology.
2 The clinical pharmacology of the drug has been
3 characterized in 11 Phase 1 studies. It's
4 pharmacokinetic parameters are predictable and linear in
5 all doses studied up to 1,200 milligram. The drug is
6 well described by a three-compartment model. The plasma
7 concentrations display a multiexponential decline. The
8 terminal half life is about two weeks.

9 Our population pharmacokinetic model also
10 indicates that no dose adjustments are needed for renal
11 or hepatic insufficiency, or for elderly subject.

12 This figure shows the plasma concentration
13 time profile of Oritavancin following 200 milligram IV
14 infusions every 24-hours for seven days. As you can see,
15 the drug is rapidly distributed out of the central
16 compartment. Twenty-four hours after the first dose,
17 Cmin is about 10 percent of the first Cmax. By day three
18 Cmin increases approximately three-fold with only a
19 slight increase beyond that. Over seven days of dosing
20 Cmax does not increase significantly.

21 And importantly three concentrations of
22 Oritavancin remain above the MIC 90 for the pathogens of

1 interest for several days after the last dose.

2 With regard to the drugs ADME profile, it is
3 extensive redistributed into tissues. It's protein
4 bound, primary to albumin, which results in a very modest
5 impact on its in vitro activity. It is not metabolized.
6 And there is no evidence that it effects the metabolism
7 of other drugs. And it's slowly eliminated from the body
8 via the urine and feces.

9 Now, I'll move to the two Phase 3 studies.

10 And the two studies are similar. And I'll present these
11 similarities first before going to the individual results
12 of each study.

13 The primary objective of both Phase 3 studies
14 was to show that three to seven days of Oritavancin was
15 not inferior to 10 to 14 days of Vancomycin Cephalexin
16 for cSSSI. Patients who did not have an MRSA or an
17 Enterococcal infection could be switched down to oral
18 Cephalexin after at least three days of IV treatment with
19 Vancomycin. And Cephalexin was dosed at 500 milligram
20 twice a day -- or 1,000 milligram twice a day in the
21 first of the Phase 3 studies, and 1,000 milligram twice a
22 day in the second study, ARRI.

1 Next I'll share with you redefinition of cSSSI
2 that we used in those studies. Nothing surprising here.
3 These are complicated infections that require surgical
4 intervention, involve deeper tissue, or occur in patients
5 with significant underlying diseases or conditions.

6 It's a fairly standard definition, but it does
7 not do justice to the seriousness of the cases enrolled
8 in the two Phase 3 program.

9 In both Phase 3 studies, patients were
10 enrolled with underlying diseases, including diabetes,
11 HIV/AIDS or immunosuppression. And there was no limit on
12 prior hospitalization or expected hospitalization, and
13 any level of renal or hepatic insufficiency was allowed.

14 Next I'd like to share with you the intent and
15 logic behind the design of both Phase 3 studies. The
16 intent was to impose an early termination of Oritavancin
17 treatment and let the comparative treatment run its
18 normal course. Logic wise, that Oritavancin would still
19 be active in the relevant tissues for several days after
20 therapy was discontinued.

21 To protect blinding, just by different lengths
22 of active therapy, all patients received 10 to 14 days of

1 study drug, either as active study drug or placebo.

2 Now, let me walk you through the details of
3 drug administration. So, the bright yellow bags
4 represent the active drug, and the white bags represent
5 placebo. Administration of study drug was to last a
6 minimum of 10 days and could be prolonged to 14 days.
7 So, that's the optional part.

8 Let me start with a situation for patients who
9 were to stay on IV therapy, because it's easier to
10 explain. So, these were the patients who had MRSA, or
11 Enterococcal infections, or patients who did not meet the
12 IV-to-oral switch-down criteria. Because of the intended
13 design of this study, an early termination was imposed on
14 the Oritavancin treatment arm. So, these patients were
15 to receive seven days of Oritavancin.

16 In contrast, in the Vancomycin arm, these
17 patients were to receive a minimum of 10 days of
18 Vancomycin, and the Vancomycin could be prolonged up to
19 14 days.

20 So, to protect the blind, patients of
21 Oritavancin received placebo on days 8, 9, and 10. So,
22 all patients who completed therapy had an apparent active

1 treatment of 10 days. Ten days minimum.

2 Now, for the majority of patients, that's
3 about 80 percent of them, an IV-to-oral switch down was
4 permitted beginning at day four, in patients who were
5 clinically improving, who could tolerate oral therapy,
6 and had no need for continued hospitalization. The same
7 color code is used here. So, in the Oritavancin arm, the
8 IV-to-oral switch was to placebo, and in the Vancomycin
9 arm, the IV-to-oral switch was to Cephalexin. And to
10 protect the blind, either oral or IV placebo was given in
11 the Oritavancin group to complete the ten-day minimum
12 treatment duration.

13 So, as you can see in the Oritavancin group,
14 the minimum duration of active treatment was three days,
15 and the maximum duration of active treatment was seven
16 days. Whereas in the Vancomycin Cephalexin group, the
17 minimum duration was 10 days, and the maximum was 14
18 days.

19 Now, I'll move to the individual studies. And
20 I'll first describe ARRD. So, this Phase 3 study was a
21 weight-based dose study. It had three treatment arms,
22 1.5 milligram per kilogram, 3 milligram per kilogram per

1 day and comparator. In a noninferiority margin of 15
2 percent -- minus 15 percent was selected using the
3 principles that were in effect at the time this study was
4 conducted.

5 Over 500 patients were enrolled in ARRD. And
6 approximately 70 percent of the patients completed
7 therapy, and roughly three-quarter of the ITT population
8 was clinically-evaluable.

9 Enrollment was stratified by the disease
10 categories of wound infection, major abscess, and
11 cellulitis, with slightly more patients enrolled with a
12 diagnosis of cellulitis. And the mean duration of
13 disease prior to study entry was approximately five days.

14 Here you can see the depth of tissue
15 involvement in the patients enrolled in ARRD. You can
16 see that greater than -- more than 25 percent of patients
17 had infections with fascial plain or muscle involvement.

18 Now, I'd like to move into the efficacy
19 results. Though by first saying, the treatment groups
20 were well-balanced for demographics, including age,
21 gender, and weight. And let me orient you to this slide
22 that has the same structure as a few slides that will

1 follow. So, each treatment group is color coded with the
2 1.5 milligram per kilogram in darker blue, the 3
3 milligram per kilogram in light blue, and the comparator
4 in gray. And at the bottom of each bar you can read the
5 ratio of cure to total. And the percentage skewer is at
6 the top. So, the vertical axis actually represents the
7 percentage of clinical cure.

8 So, in Study ARRD, the primary endpoint was
9 the clinical response at test of cure in the clinically-
10 evaluable population as determined by the investigator.
11 And both Oritavancin arms were noninferior to comparator,
12 although the lower bound of the 95 percent confidence
13 interval came close to the margin.

14 Here you can see the cure rates in the
15 different analysis populations. And they are maintained
16 across analysis populations.

17 Now that we've seen the outcomes, that the
18 outcomes were comparable and consistent for the primary
19 outcome variable, I'd like to share with you the outcomes
20 with the sponsor-defined clinical outcome. So, the
21 sponsor-defined clinical outcome was DCO was derived from
22 the IDCO after review and revision by the sponsor. And

1 it was derived by strictly applying protocol criteria
2 while never improving the IDCO. So, failures remain
3 failures and indeterminates remained indeterminates or
4 failures. Only downgrades were allowed. And as
5 expected, the cure rate for SDCO is always lower than for
6 the IDCO.

7 Using the sponsor-defined clinical outcome,
8 Oritavancin cure rates were also comparable to Vancomycin
9 cure rates. On this slide you can see the comparable
10 numbers of patients were enrolled in each of the three
11 disease categories of wound infection, major abscess, and
12 that -- and cellulitis, and that cure rates in patients
13 treated with Oritavancin were similar to comparator
14 across all three disease categories.

15 Now, on Page 23 of the FDA's briefing
16 document, it is stated that multiplicity could be an
17 issue, if the intent of this study was to determine which
18 or both, the two Oritavancin regimens, were noninferior
19 to the Vancomycin treatment.

20 The intent of this study was to determine that
21 both Oritavancin regimens were not inferior to the
22 Vancomycin treatment. And the issue of multiplicity was

1 raised in a request for recalculation of the 97.5
2 confidence intervals after ARRD and ARRI were completed.

3 But if one were to conclude that multiplicity
4 was an issue in Study ARRD -- I mean, you can see on Page
5 24 of the FDA briefing document that the FDA proposed
6 analyses were conducted with larger confidence intervals
7 and using the primary endpoint of the study, that is the
8 investigator defined clinical outcome, you can see that
9 the 3 milligram dose would still meet the prespecified
10 noninferiority margin for the prespecified clinically-
11 evaluable population. And the 3 milligram per kilogram
12 dose is the dose that is most relevant and most similar
13 to the dose that we propose.

14 Now, I'll talk about Study ARRI, the second of
15 the Phase 3 studies. Similar design to ARRD with the
16 main difference being the dosing regimen. The population
17 pharmacokinetic model show that subjects weighing more
18 than 110 kilos required a dose of 300 milligram to match
19 the exposure achieved with 200 milligram in patients
20 weighing less than 110 kilos. So, ARRI used a fixed-dose
21 regimen of 200 milligram once daily for patients weighing
22 less than 110 kilos, and 300 milligram for patients

1 weighing more than 110 kilos. Randomization was two to
2 one.

3 The second important difference is that a 10
4 percent noninferiority margin was selected for this trial
5 based on the '98 ICH guidelines.

6 Over 1,200 patients were enrolled in this
7 study. It was just under 90 percent of the patients
8 completing therapy, and roughly 80 percent of the
9 population being clinically-evaluable.

10 In contrast to Study ARRD, a cap of 25 percent
11 was imposed on the enrollment of patients with
12 cellulitis. And this resulted in a higher proportion of
13 patients with cultured pathogens at baseline. And the
14 mean duration of illness prior to study entry was the
15 same as in ARRD at approximately five days.

16 And the percentage of patients with the
17 involvement of deep tissues was higher than in ARRD, with
18 over 40 percent of patients with fascial plain and muscle
19 -- or -- and muscle involvement.

20 The primary endpoint for this study was the
21 sponsor-defined clinical outcome in the clinically-
22 evaluable population. Oritavancin was noninferior to

1 comparator with a clinical cure rate of approximately 79
2 percent for Oritavancin and 76 percent for comparator.
3 As you can see, the primary endpoint was achieved well
4 within the 10 percent noninferiority margin, with the
5 lower amount of the 95 percent confidence interval around
6 the point of stimulate treatment difference at minus
7 three percent. In this study, by the way, resists the
8 removal of abscess cases.

9 And as in Study ARRD, cure rates were
10 comparable in all treatment groups and efficacy is
11 maintained in all four patient populations.

12 Now, for the investigator defined clinical
13 outcome, the Oritavancin cure rates were also comparable
14 to the Vancomycin cure rates, and so were the patient
15 level microbiological outcomes.

16 You can also see that cure rates in patients
17 treated with Oritavancin remain similar for all disease
18 categories.

19 Now, I'll present some data from the combined
20 Phase 3 studies. We combine them regardless of the
21 Oritavancin dose to examine safety overall, and efficacy
22 overall, and to increase the denominators for

1 microbiological outcomes and outcomes in special
2 populations. These are the high level efficacy outcome
3 variables in the combined data that show that
4 comparability is maintained, which is no surprise.

5 The subgroups represented here, represent
6 patients for whom treatment may be more challenging. So,
7 outcomes in these subgroups were analyzed, and
8 Oritavancin was shown to be effective in all age groups,
9 diabetics, renal patients with low creatinine clearance,
10 or hepatic insufficient patients, and immunocompromised
11 patients.

12 The other group for whom treatment may be
13 challenging is patients with associated bacteremia, which
14 I'll speak to now.

15 In the briefing document, you will see the
16 outcomes of patients with baseline bacteremia. But I
17 would like to note, however, that the outcomes are in the
18 briefing document reflect the outcomes for the primary
19 study condition of cSSSI, and are not reflective of the
20 clearing of the underlying bacteremia.

21 So, to better understand this population, we
22 performed a thorough review of all the patients with

1 associated bacteremia at baseline. And what we found was
2 that, based on cultures, and those events reported during
3 the trial period, 90 percent of Oritavancin-treated
4 patients, and 89 percent of Vancomycin-treated patients
5 with bacteremia at baseline, had no evidence of ongoing
6 bacteremia.

7 I'd like to share with you some efficacy data
8 by pathogen. These are presented here on Staph aureus,
9 including MSSA and MRSA. There is Streptococci species
10 common to cSSSIs and Enterococci faecalis. Eradication
11 rates were comparable between treatment groups across the
12 three types of pathogens.

13 Now, the lower microbiological outcomes in
14 MRSA, regardless of treatment, are associated with higher
15 disease severity and more difficult surgical treatment.
16 Now, since those -- these pathogens were collected a few
17 years ago, due to the history of the Oritavancin
18 development program, it was important to compare the
19 sensitivities of the Phase 3 pathogens with more recent
20 surveillance isolates.

21 And what was found was that the susceptibility
22 to Oritavancin for recent surveillance isolates of Gram-

1 positive pathogens is similar to the Phase 3 studies,
2 thus indicating a broader predictability of the study
3 results to recent clinical pathogens.

4 Another important point that the Oritavancin
5 MICs of pathogens isolated terminally from patients in
6 the Phase 3 cSSSI Studies remained within two-fold of
7 those determined at baseline. Actually of the 17
8 patients who showed a doubling of their MIC from baseline
9 at any time post baseline, only four had a critical
10 response of failure.

11 And the relapse rates that were determined
12 approximately one month after the test-of-cure visit also
13 show no difference in either the ITT or the clinically-
14 evaluable groups, and were around 2 percent.

15 So, let me conclude and leave you with five
16 points. Both Phase 3 studies achieved their primary
17 efficacy endpoint within their predefined noninferiority
18 margins. And efficacy was consistent in all patient
19 populations in disease categories. Notably, in patients
20 with underlying diseases, including diabetes, HIV/AIDS,
21 and renal, and hepatic insufficiency, Oritavancin was as
22 effective as comparator. Microbiological efficacy was

1 established for several common pathogens for cSSSI,
2 including Staph aureus, MRSA's, Strep pyogenes, and
3 Enterococcus faecalis.

4 And last but not least, you know, a short
5 course of Oritavancin was as effective as 10 to 14 days
6 of comparator with a low relapse rate.

7 I thank you for your attention. And I would
8 like to now to turn the podium back to Dr. Parr -- or
9 Dr. Moriarty who will share with you the safety data on
10 Oritavancin.

11 DR. MORIARTY: Thank you, Dr. Etienne.

12 Good afternoon. I'm Susan Moriarty, senior
13 director of medical affairs for Targanta Therapeutics.
14 Today I'll present a brief overview of the safety data
15 and analyses for Oritavancin. I'll concentrate primarily
16 on Phase 3 safety data, but I'll also touch on some
17 relevant, nonclinical and earlier clinical findings.

18 I hope to demonstrate to you through this
19 brief review, and through the information provided in the
20 brief document the following points: Oritavancin is
21 well-tolerated in patients with complicated skin and skin
22 structure infections. Safety data do not indicate a need

1 for dose adjustments in special populations. This
2 finding supports the same conclusion based on PK data.
3 And no special laboratory monitoring is indicated for
4 safety.

5 There were 1,173 patients who received any
6 amount of Oritavancin in these Phase 3 studies, and these
7 patients comprise the safety-intended treat or ITT
8 population.

9 To summarize the exposure to Oritavancin in
10 this population, 96 percent of the patients received IV
11 therapy for three to seven days, 85 percent received the
12 recommended cumulative dose range for cSSSI of 600 to
13 2,100 milligrams. And the mean duration of treatment
14 with Oritavancin was 5.1 days. Patients were then
15 followed for 39 to 90 days from the first day of dosing.

16 Now beginning our review of the standard
17 safety analyses, we'll start with adverse events. I'd
18 like first to clarify, that throughout this presentation,
19 significant refers to statistical significant with a P
20 value of less than .05. On some of the slides you will
21 note specific P values.

22 To summarize the overall adverse events in

1 these Phase 3 studies, I'd like to start by orienting you
2 to this graphic. Illustrated here is the percentage of
3 patients with at least one treatment emergent adverse
4 event on a vertical axis, with the Oritavancin-treatment
5 group again in blue, and the Vancomycin-treatment group
6 again in gray.

7 As we look at the percentage of patients in
8 each treatment group with at least one adverse event, we
9 see that significantly lower percentages of Oritavancin
10 than Vancomycin-treated patients had an adverse event.
11 This is true when we look at the entire study period
12 where comparator was IV Vancomycin, with or without
13 follow on Cephalexin, and it's also true in the -- during
14 IV therapy, during which comparator was Vancomycin alone.

15 In addition, a significantly lower percentage
16 of Oritavancin than Vancomycin-treated patients had an
17 adverse event that the investigator considered to be
18 possibly related to study drug.

19 Next, as we look at the most common adverse
20 events in these studies, noted on the vertical axis are
21 the adverse events occurring in at least 2 percent of
22 Oritavancin-treated patients.

1 On the vertical axis, the adverse events shown
2 in yellow text were seen in a significantly lower
3 percentage of Oritavancin than Vancomycin-treated
4 patients. Those events are insomnia, pruritus, and rash.

5 You'll note the adverse event of dizziness
6 shown here in red text is the only adverse event that
7 occurred in the significantly higher percentage of
8 Oritavancin patients. This finding prompted us to look
9 very closely at the study data for each of these patients
10 with dizziness, where we found no specific evidence to
11 indicate vertigo, vestibular or inner ear toxicity, or
12 concurrent clinically relevant decreases in blood
13 pressure, ventricular arrhythmias, or central nervous
14 system events.

15 In addition, we found in our study that
16 comparable percentages of Oritavancin and Vancomycin-
17 treated patients had an adverse event of dizziness
18 considered to be possibly related to study drug by their
19 investigator. And we found that the incidents of
20 dizziness did not increase in patients receiving higher
21 doses of Oritavancin.

22 In an effort, then, to sort through these

1 adverse events and focus on those that we felt were most
2 likely to be related to study drug, we defined treatment
3 emergent adverse events of interest. These events were
4 potential glycopeptide class related events, or those
5 events that occurred in at least 1 percent of
6 Oritavancin-treated patients in the during IV therapy
7 phase where we saw most adverse events occur, and in a
8 significantly different percentage of Oritavancin,
9 compared to Vancomycin-treated patients.

10 Illustrated here on the vertical axis are the
11 12 adverse events that fit our criteria for adverse
12 events of special interest. Of these 12 events, there
13 are seven noted here in yellow text, which occurred in a
14 significantly lower percentage of Oritavancin than
15 Vancomycin-treated patients.

16 In looking at these events, it's interesting
17 to note that these are symptoms and signs which can
18 represent histamine-like infusion reactions, or red man
19 syndrome.

20 The remaining five events seen were seen in
21 comparable percentages of Oritavancin and Vancomycin-
22 treated patients. Four of them are symptoms and signs

1 potentially related to injection site vein inflammation
2 or phlebitis. As noted in the briefing document,
3 Targanta's focused and comprehensive review of injection
4 site phlebitis also demonstrated a comparable incidence
5 in Oritavancin and Vancomycin-treated patients.

6 Next we'll look at the categories of adverse
7 events frequently referred to as notable events, where we
8 see that Oritavancin and Vancomycin-treatment groups had
9 comparable percentages of patients who had serious
10 adverse events, including a comparable percentage who
11 died.

12 In contrast, though, we see a lower percentage
13 of Oritavancin than Vancomycin-treated patients who
14 discontinued study drug due to an adverse event.

15 To address any potential safety concerns
16 related to Oritavancin's long residence time in the body,
17 Targanta analyzed the timing of onset of adverse events,
18 and the time to resolution of adverse events.

19 First, illustrated here, we see the rate of
20 adverse events per patient on the vertical axis, and the
21 study date displayed on the horizontal axis. Please note
22 that the final bars on this figure represent days 28

1 through 90, with a gray bar reflecting a higher rate of
2 events over this time frame in the Vancomycin-treatment
3 group.

4 We see no indication of later adverse event
5 onset, nor of any increased rate of events beginning
6 later in the study in the Oritavancin-treatment group.

7 We then used the Kaplan-Meier method to
8 construct this illustration of timing of resolution of
9 adverse events. By looking at the fraction of these
10 events resolving per day after onset displayed on the
11 vertical axis, we see that the time to adverse event
12 resolution was similar in the Oritavancin-treatment group
13 shown in blue, and the Vancomycin-treatment group shown
14 in white.

15 As discussed in the briefing document, safety
16 review of clinical laboratory and vital signs
17 measurements demonstrated no clinically relevant safety
18 differences between the Oritavancin and Vancomycin-
19 treatment groups, including no indication of renal or
20 hepatic toxicity with Oritavancin, and no safety signal
21 in the Oritavancin-treatment group.

22 Subgroup analyses of adverse events and

1 laboratory studies were done in patients with varying
2 demographics or underlying diseases or conditions at
3 baseline. Despite the allowance of medically-complex
4 patients in these studies, including those with any
5 degree of hepatic or renal insufficiency, any degree of
6 underlying immunocompromise, the data demonstrated no
7 clinically relevant safety findings in any of these
8 treatment groups.

9 This lack of safety signal supports PK data
10 concluding that no dose adjustment is required in any of
11 these patient groups.

12 Next I'd like to briefly summarize our
13 analyses of potential glycopeptide-related effects, which
14 are discussed in more detail in your briefing document.

15 Although Oritavancin is a lipoglycopeptide, we
16 knew from nonclinical and earlier clinical studies that
17 it does share certain adverse effects with some of the
18 other glycopeptides, specifically injection site
19 phlebitis and histamine-like infusion reactions.

20 When we look at Oritavancin compared to the
21 well-known, widely-used glycopeptide Vancomycin, we see
22 no evidence of drug associated endorgan toxicities with

1 Oritavancin, including no evidence of renal or hepatic
2 toxicity. And we see no evidence of Oritavancin
3 associated cytopenias. And Targanta's comprehensive
4 review of adverse events demonstrated a comparable
5 incidence of injection site phlebitis, and a
6 significantly lower percentage of Oritavancin-treated
7 patients with histamine-like infusion reactions or red
8 man syndrome.

9 In understanding the evaluation of
10 Oritavancin, with regards to cardiac safety, some
11 background information is helpful. Nonclinical data
12 revealed a potential signal in a hERG channel in vitro,
13 primarily at higher concentrations of Oritavancin.

14 Subsequent dog studies did not reveal any
15 evidence of QT prolongation or of arrhythmias with
16 treatment with Oritavancin.

17 Then in 2007 Targanta completed a thorough QTc
18 trial in accordance with the current E-14 Guidelines,
19 which included an active control of Moxifloxacin, a
20 concurrent placebo control, and both a clinical 200
21 milligram and a super therapeutic 800 milligram dose of
22 Oritavancin.

1 The study demonstrated adequate sensitivity,
2 with a significant QT effect with Moxifloxacin and no QT
3 effect with either the clinical or the super therapeutic
4 dose of Oritavancin.

5 In addition, we have in Phases 1, 2 and 3 ECG
6 data, which was collected on patients and healthy
7 subjects specifically for evaluation of QT interval, read
8 in a blinded fashion at a central lab, demonstrating no
9 evidence of QT prolongation with Oritavancin.

10 As noted in the briefing document, review of
11 laboratory testing that might indicate adverse effects of
12 Oritavancin on the liver, demonstrated comparable
13 percentages of Oritavancin and Vancomycin-treated
14 patients, starting at normal baseline, with subsequent
15 elevations of hepatic transaminases, alkaline
16 phosphatase, and/or bilirubin.

17 In addition to these standard laboratory
18 analyses, we also screened the Phase 3 safety database
19 for potential drug-induced liver injury cases, using the
20 relatively inclusive laboratory screening criteria of
21 greater than three times ALT or AST, along with a
22 significant elevation of bilirubin, but without regards

1 to alkaline phosphatase levels.

2 In conducting this screening, we found
3 identical percentages of Oritavancin and Vancomycin
4 treated patients who met these inclusive screening
5 criteria. Both of the Oritavancin-treated patients had
6 ongoing pre-existing liver disease at study entry. In
7 fact, one of them met screening criteria at study entry.
8 And then neither of these had significantly --
9 significant worsening of liver laboratory test following
10 the administration of Oritavancin.

11 The one Vancomycin patient did not have
12 documented prior liver disease, though they did have a
13 complicated clinical course.

14 In conclusion, no Oritavancin-treated patient
15 met Hy's Law criteria.

16 In summarizing the safety of Oritavancin for
17 the treatment of cSSSI, I'd like to leave you with the
18 following observations. Oritavancin has a comparable or
19 better safety profile than Vancomycin in patients with
20 complicated skin and skin structure infections. Overall,
21 compared with Vancomycin, Oritavancin showed a
22 significantly lower percentage of patients with treatment

1 emergent adverse events, with a possibly related
2 treatment emergent adverse event, and with
3 discontinuation of study drug due to an adverse event.

4 In addition, there were lower or comparable
5 percentages of patients in the Oritavancin-treatment
6 group with potential glycopeptide related events.

7 Further, both the safety and PK data support
8 the conclusion that Oritavancin does not need to be dose
9 adjusted in special populations, including those with
10 renal or hepatic insufficiency. And there is no
11 indication for special laboratory monitoring for safety
12 when administering Oritavancin for complicated skin and
13 skin structure infections.

14 Now I would like to summarize the benefits
15 versus the risks for using Oritavancin in treatment of
16 cSSSI.

17 As you know, all of the currently available
18 therapies have some limitations of their usefulness
19 related to the antibacterial spectrum, or the development
20 of resistance, to poor tolerability, or to efficacy and
21 safety in certain patient populations. Because of these
22 factors, there's an ongoing medical need for new

1 therapies to treat Gram-positive cSSSI, particularly in
2 medically complex patients.

3 As you've read in your briefing documents and
4 heard summarized briefly today, Oritavancin has
5 demonstrated efficacy in the treatment of medically
6 complex patients with cSSSI, and a favorable safety
7 profile compared to Vancomycin, with or without follow-on
8 therapy with Cephalexin.

9 Of note, a lower percentage of Oritavancin-
10 treated patients had an adverse event, a related adverse
11 event, discontinued treatment due to an adverse event, or
12 had a possible histamine-like infusion reaction.

13 A wide range of laboratory studies have
14 demonstrated that Oritavancin has potent activity against
15 common Gram-positive pathogens, it's rapidly
16 bactericidal, and it has multiple distinct mechanisms of
17 action. These properties should result in a reduced
18 potential for the development of resistance to
19 Oritavancin. In fact, there have been no increases in
20 Oritavancin MICs in clinical studies to date.

21 Oritavancin has demonstrated clinical efficacy
22 and safety in treating cSSSI with simple, once daily IV

1 dosing, requiring only a single dose adjustment based on
2 body mass.

3 This simple dosing should result in fewer
4 medication errors and a lower risk for under or
5 overdosing, for example, in patients with changing renal
6 function.

7 Patients with renal or hepatic insufficiency
8 require no changes in dosing, and blood levels do not
9 need to be monitored for efficacy or for safety.

10 Further, there's no indication for special
11 laboratory monitoring for safety. As with all
12 medications, the risk of clinical adverse effects not
13 seen in clinical studies, there is a risk of them being
14 reported after more wide spread use. Targanta will
15 monitor for such occurrences through post approval safety
16 monitoring and in future clinical studies. And as with
17 all antibiotics, there is the ongoing risk of development
18 of resistance. We will be monitoring resistance trends
19 and planned surveillance studies, and in future clinical
20 studies.

21 In summarizing the benefits and risks of
22 treatment of cSSSI with Oritavancin, I would like to

1 leave you with the following points. Oritavancin offers
2 the benefits of demonstrated efficacy and a favorable
3 safety profile in a broad range of patient populations,
4 no laboratory monitoring indicated for efficacy or for
5 safety, a simple once daily three to seven day treatment
6 course for complicated skin and skin structure
7 infections, with a single dose adjustment based on
8 weight. These features should result in a decreased risk
9 of medication errors, and of under or overdosing,
10 especially in patients with changing renal or liver
11 function.

12 Oritavancin also offers multiple distinct
13 mechanisms of action, rapid bactericidal activity, and
14 activity against quiescent nondividing bacteria, features
15 that are anticipated to forestall the emergence of
16 resistance, and potent in vitro activity against
17 pathogens resistant to other available therapies.

18 On the other hand, risks include the infusion-
19 related events of injection site phlebitis and histamine-
20 like infusion reactions. The risk of these events with
21 Oritavancin administration is similar to or lower than
22 the risk of these events with the administration of

1 Vancomycin. Targanta has studied these events in
2 clinical trials and will include clear instructions for
3 healthcare professionals in the package insert to help
4 minimize their occurrence and their severity.

5 Other potential risks not yet seen with
6 Oritavancin include rare adverse events not seen in
7 clinical trials to date. Targanta has initiated
8 discussions with FDA and other partners, planning
9 pharmacovigilance and microbiological surveillance
10 studies to monitor and manage the risks of seeing rare
11 adverse events, or of seeing development of resistance in
12 the future.

13 We believe we've demonstrated to you that
14 Oritavancin is a new, valuable therapy for complicated
15 skin and skin structure infections, with a robust and
16 promising benefit risk profile. Thank you for your
17 attention.

18 DR. RELLER: We shall now hear the
19 presentation of the FDA from Dr. Nasim Moledina.

20 DR. MOLEDINA: Good afternoon. And I don't
21 know how exciting my presentation will be after the
22 sponsor's presentation, but I'll try my best and point