

1 I'm putting on my teacher hat now. There's  
2 some benefit there for multiple forms of  
3 communications. I mean, you have  
4 advertising, sales promotion, web-based  
5 things, but the key is to have some synergy  
6 across there, a single message. That's very,  
7 very important. And, obviously, more  
8 information is not always better here. And I  
9 hear you loud and clearly, maybe there's too  
10 much there, and the shopping bag analogy was  
11 quite good.

12 My second area, I just wanted to  
13 talk a little bit about study design, so I'm  
14 going to put on a researcher hat now. And I  
15 have a few questions. This was screaming out  
16 for maybe some sort of quasi experimental  
17 study, where you might have CMI, PPI, the Med  
18 Guides. I know tomorrow we're going to hear  
19 a little more about the OTC Drug Facts  
20 Tabular presentation, with controls, too.

21 So, for example, you might have  
22 people coming in with sophisticated, or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unsophisticated knowledge from their  
2 physicians, so maybe none of this stuff is  
3 actually working. Maybe they already know  
4 that, or maybe are biased from what they're  
5 hearing from their physicians.

6 Are you aware of any sort of  
7 studies in this area that might have pitted  
8 some of these against one another? And let  
9 me talk a little more about that. It's more  
10 than just kind of perceptions; do I  
11 understand? Well, of course, I understand,  
12 but maybe accuracy, comprehension questions,  
13 survey issues, as well. Has there been any  
14 attempt at all to take a look at that? Kind  
15 of a loaded question.

16 DR. OSTROVE: We know that there  
17 have been some studies that have looked at  
18 perceptions; for instance, specific  
19 Medication Guides. I am not aware of any  
20 studies that have pitted any of these against  
21 each other, or even in kind of the quasi  
22 experimental sense that you're raising. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not aware of any. Jodi, are you?

2 MS. DUCKHORN: No, but I also  
3 wanted to add that it's hard to sort of pit  
4 them, as you're saying, against each other,  
5 only because, as I was mentioning, if you  
6 have a Med Guide, then you don't have a PPI.

7 You could have a Med Guide and CMI. In that  
8 sense, you could pit them, if you will,  
9 against each other, or if a Patient Package  
10 Insert was, at some point, changed into a  
11 Medication Guide, which that happens all the  
12 time, you could sort of pit them then. But a  
13 lot of times, that information changes. I  
14 understand exactly what you're saying, and my  
15 answer is no. I would love to know if you  
16 know of anything now?

17 DR. ANDREWS: More of an academic  
18 than regulatory, it's interesting mix between  
19 those areas. There's also some other issues,  
20 too, on the order of some of this material.  
21 It seems to bounce around a little bit, and I  
22 noticed that if you take a look at the OTC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Drug Facts, they group all the negative  
2 information together under warnings, but it  
3 seems to bounce around a little bit on some  
4 of the others, so there might be some  
5 ordering effects. And I know we're going to  
6 get into this later, but there's a lot of  
7 juicy ideas here for, I guess, academic, or  
8 other research. Anyway, thank you.

9 DR. OSTROVE: And we would  
10 encourage that.

11 DR. DAVIS: So I have on my  
12 researcher's hat, also. And I want to  
13 discuss evaluation of understanding and  
14 usefulness, also. So, I have a comment, and  
15 I have a couple of questions. Like, who  
16 evaluates that, and how do they evaluate it?

17 My research indicates that asking  
18 people if they've read something, if they've  
19 understood it, or asking doctors or other  
20 providers if they've counseled patients,  
21 doesn't answer your question. And, for  
22 instance, even besides lying to you straight

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up, I mean, how much did you read? Did you  
2 read the essential thing? Did you under -  
3 what keypoints -- what's the need to know,  
4 and what's the need to do? Does the provider  
5 counsel all patients with all the essential  
6 need to know and do, so how much? But  
7 the other comment I have, in other FDA - I  
8 guess I'm on the Drug Safety and Risk  
9 Management Group - what I have found is,  
10 sometimes manufacturers say they do this, but  
11 then it sounds kind of shaky once you start  
12 probing them. They often contract this out  
13 to other groups, and they say well, we did  
14 pilot studies, but when you start pressing  
15 how did you confirm understanding, it kind of  
16 falls apart.

17 Then, the final thing is, if you  
18 all have been evaluating through national  
19 surveys since '92, what have you found? '82,  
20 I mean.

21 DR. OSTROVE: That's interesting.  
22 Here's the issue. Actually, the last survey

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we did, which Jodi can speak to more  
2 specifically, was in 2004, but what we don't  
3 have is information about understanding.  
4 What we're asking is people who have filled a  
5 prescription within a specific period of  
6 time, we're asking them whether they were  
7 orally counseled, or gotten written  
8 information. We'll ask them, for instance,  
9 well, did the - were you told how much to  
10 take, how often to take it? Were you told  
11 about refills? Were you told about  
12 precautions? Were you told about side  
13 effects? And in terms of those given written  
14 information, we'll ask about what type of  
15 information they got, whether it was a  
16 brochure, an instruction sheet, stickers on  
17 medicine information. In 2004, I happen to  
18 have the data here, in 2004, 91 percent of  
19 them reported having gotten instruction  
20 sheets, but we don't have any detailed  
21 information about whether they understood  
22 those. And even if we did, of course, it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be kind of general, so we have the  
2 general numbers, but we don't have the  
3 specifics about what they're getting, and  
4 their understanding of the information that  
5 they're getting. At least, we don't have the  
6 specifics about written information. We have  
7 a little bit more in terms of specificity  
8 about what they remember they were told  
9 orally, but that's what we've got.

10 MS. DUCKHORN: One of the things  
11 worth noting, at least, in that particular  
12 collection, that series, is that there is an  
13 -- it was amazing how there was almost no  
14 change over the course of the 20 years, or  
15 whatever, 15 years, or 20 years that the  
16 actual study was done every time, so we are  
17 never asking about actual understanding, but  
18 it was simply receipt of this type of  
19 information.

20 I wanted to address some of your  
21 questions.

22 DR. DAVIS: Right. Just, often a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lot of stuff is just a check-off, did you do  
2 it?

3 MS. DUCKHORN: Right.

4 DR. DAVIS: Rather than was it  
5 useful, was it understandable?

6 MS. DUCKHORN: Which is why we're  
7 saying -- well, you saw, we're providing  
8 feedback saying that that's not acceptable to  
9 us for the assessment piece. The assessments  
10 are done 18 months, three years, and seven  
11 years. Those are the minimum assessments.

12 We request in the sort of  
13 contracts with the sponsors, or with the  
14 manufacturers, that they provide to us  
15 methodology 60 days prior to going out to the  
16 field and doing the research. We actually  
17 don't really have to approve that  
18 methodology. They can go out and do that,  
19 whatever it is that they're going to do. But  
20 if they don't sort of - I don't want to say  
21 clear it through us - but if they don't run  
22 it passed us, and then they submit something,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and we go this is junk. You guys have all  
2 these biases in here that you didn't account  
3 for at all, we can send them right back out  
4 to the field. And we can provide  
5 recommendations at that time, so it is in  
6 their best interest to run the methodology  
7 passed us prior to going out there.  
8 Otherwise, they've wasted their time and  
9 money.

10 And you're right, a lot of them  
11 are contracted out. They're still,  
12 ultimately -- the sponsor or the manufacturer  
13 is still, ultimately, responsible for that,  
14 because it's in their contract with the  
15 Agency, their REMS, their Risk Evaluation  
16 Mitigation Strategy, which is sort of that  
17 contract, that they are going to be  
18 responsible for that. They do the  
19 evaluation, they do the assessment, they send  
20 the results to us.

21 Social scientists, myself, and at  
22 least one other person at this time on my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 team, and we're looking to hire more, will be  
2 reviewing these assessments. We won't have  
3 our hands, at least at this time our plan  
4 isn't to have our hands on the data, but  
5 actually to look at what they're submitting  
6 based on, hopefully, methodology that we've  
7 reviewed, and I don't want to say approved,  
8 but we've reviewed and agreed with, or at  
9 least negotiated in some way, shape, or form.

10 Does that help?

11 And then the other thing about it,  
12 again, getting back to the question. So we  
13 review the methodology. We also review the  
14 questions, the actual instrument in the case  
15 of it's a survey. And so, that gets us back  
16 to these questions. It would be nice to have  
17 some kind of consistency across the products  
18 so we can actually, ultimately, look at the  
19 usefulness of a Medication Guide, or whatever  
20 it is, as a tool to mitigate risk, in  
21 addition to within the product having some  
22 consistency.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GOLDSTEIN: And just to  
2 follow-up on some of the questions already,  
3 the idea of usefulness as a construct is  
4 interesting, but it's limited. And we're  
5 already heard. What we want to achieve is  
6 beyond usefulness, to action, and confidence,  
7 and the ability to make adjustments, patients  
8 being able to make those adjustments based on  
9 their experience. So to what degree has the  
10 FDA, and others directing the FDA, considered  
11 going deeper, and looking at patient safety?

12 We're at the National  
13 Transportation Safety Board today, so if we  
14 look at this from a safety perspective, how  
15 can we insure the safety of the use of  
16 medication, and do we want to know not only  
17 understanding, even beyond that, the degree  
18 to which people know what to do, and actually  
19 ask them what to do after they're received  
20 the various interventions that they're  
21 getting.

22 MS. HENDERSON: I would say that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's where we're trying to head, and that's  
2 why we're here. That is -- I think, Dr.  
3 Goldstein, you have it just right. That's  
4 where we want to be. We want to assure that  
5 patients are using their drugs safely, and  
6 appropriately. So however you define that,  
7 yes, we want to start looking at outcomes,  
8 and not just checking off boxes, so we're  
9 most interested in your thoughts about that.

10 DR. GOLDSTEIN: So, to follow-up  
11 about that, so to what degree can we look  
12 beyond just the single intervention? I know  
13 we want to look at the usefulness, and the  
14 effectiveness of the CMI, and the other kinds  
15 of tools that are delivered at the pharmacy,  
16 or in the Patient Insert, but to what degree  
17 can we get to the point where we're talking  
18 about a safety system, that includes the  
19 context in which it occurs, the information  
20 delivery, as well as other things, as well as  
21 who's involved in that, and how it leads to  
22 the multiple levels of influence that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might want to engage?

2           And it's kind of interesting, if I  
3 could just -- can I go off on a little  
4 tangent, because it's amazing that we hear  
5 the National Transportation Safety Board, and  
6 having just been on an airplane, and knowing  
7 what the airline industry, and the regulation  
8 of that has done to safety of travelers, when  
9 we go on a plane, like I did yesterday, there  
10 are different levels of information that's  
11 provided to people on the plane about what  
12 they should do. And there's the announcement  
13 that's made from the travel attendants,  
14 that's one level. Then there's the card that  
15 you have that's in your seat. But then if  
16 you're in an exit row, the flight attendant  
17 comes down and they ask you, first of all,  
18 are you prepared to participate? And do you  
19 understand - now, it would be better if they  
20 actually asked me what I am prepared to do,  
21 and do I know what to do? But then they  
22 don't just rely on that, if something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happens, then they come and they assist those  
2 people in the exit row. And there's also a  
3 big sign right on the window or the door  
4 about what to do. So I think we have to  
5 think about all those different levels.

6           The materials, yes, are really  
7 important, the system is really important,  
8 who's involved in that system, targeting  
9 those who need extra attention, because  
10 they're the ones who we want to count on when  
11 we get a medication and there's a problem,  
12 what to do about it.

13           MS. HENDERSON: Well, I will just  
14 -- I don't know what more I can do but to  
15 agree with you. You and I would have a great  
16 time if we could be a plane for a few hours.

17           The FDA is part of this system. I guess, in  
18 answer to your question, what is fair game  
19 for you? You guys can get together and  
20 discuss the quality of care provided by the  
21 healthcare system around the safety of  
22 patients, as long as you would like to. It's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an important issue, probably for everybody  
2 around this table who's ever touched  
3 healthcare.

4 We are only one part of the  
5 healthcare system, and we are most interested  
6 in your advice today about things that the  
7 FDA can do in our role in the healthcare  
8 system. As that affects the other pieces,  
9 feel free to discuss that part of it, of  
10 course, but we are most interested, and one  
11 of the reasons we have picked written  
12 information is that it's something that we  
13 know that we have control over in one way or  
14 another. And there are other parts of the  
15 healthcare system, what the doctor tells the  
16 patient, what the pharmacist tells the  
17 patient, how the nurse delivers the medicine,  
18 or whatever, that we really have little -- we  
19 have some influence, and we play in part of  
20 the system, obviously, but we think we have a  
21 very important role in providing information  
22 to the other pieces of the healthcare system,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 all the way to the consumer that can be  
2 useful. And we're interested in making that  
3 as useful as we can.

4 CHAIRMAN FISCHHOFF: Thank you.  
5 I'd like to sort of bump up Betsy and Sokoya  
6 to have them speak first, and then Sid, and  
7 then myself, and then we'll have a break at  
8 10:15.

9 DR. SLEATH: I just wanted to re-  
10 echo what Dr. Davis said about how you assess  
11 whether people understand things. You can't  
12 ask them whether they understand it, because  
13 they're going to say yes. So sitting here, I  
14 was thinking that one possibility is, can you  
15 come up with key messages for the drugs that  
16 the patient should be able to get from these  
17 leaflets, and then just assess that? Did  
18 they get it or not? There's research that  
19 when they look at providers and patients  
20 talking, where researchers look at whether  
21 the provider assesses whether the patient got  
22 new concepts to very specific detail.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   And then the other person I wanted  
2 to bring up is Dan Morrow, who actually has  
3 done work in Transportation, and work on  
4 designing leaflets for patients, where they  
5 do a lot of great, often experimental trials  
6 where he's really looked at - he's a  
7 psychologist, how people process information,  
8 and the best way to present it to them with  
9 headings, so I'd encourage you to look at  
10 that.

11                   And I would be tough on the  
12 sponsors, because I saw your slide about some  
13 of the proposals that you got, and they just  
14 don't look rigorous at all, so I would  
15 encourage to be tough, and maybe require  
16 randomized trials of, if they get a guide,  
17 and if they don't get a guide, and the  
18 difference in getting these messages, because  
19 I just think it's crucial.

20                   And then, I wondered if the  
21 sponsors assess by literacy level, and do  
22 they also assess by different languages?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's just kind of some questions that I  
2 thought of. Are they not only assessing  
3 comprehension in English, but also Spanish,  
4 et cetera?

5 MS. DUCKHORN: Okay. So, I guess  
6 I first want to address, or clarify, the list  
7 of questions that we are considering are just  
8 sort of the first set of questions. The  
9 second part of that would clearly be risk-  
10 specific, and do somehow comprehension  
11 testing, or recall testing. Do you even  
12 understand what you would do? Which of the  
13 following would do if this happened to you?  
14 And try to make it at least a little bit not  
15 so obvious what the answers are, to come up  
16 with some way to assess the actual key  
17 messages, the key risks. It's not just  
18 those, do you understand, did you get it?  
19 Because, you're right, we understand that.  
20 We know that just saying yes is very simple,  
21 and that means nothing. But having those  
22 questions first would say that someone said

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they understood it, but then when it was time  
2 to answer the questions, they couldn't  
3 demonstrate that they actually understood it.

4 And, again, I don't know how much of that is  
5 good to know, versus we really need to know  
6 it. Maybe it's just better to simply ask  
7 them about the specific risks, and not did  
8 you understand it? But I think from our  
9 perspective, it might be nice to know, did  
10 they say they understood it? They checked  
11 yes, I understood it, and then later when  
12 they were actually asked to demonstrate  
13 somehow that knowledge, they couldn't. So  
14 that's one thing.

15 And I guess second is, I  
16 appreciate you're telling us to be tough on  
17 the sponsors in their assessments, because we  
18 don't feel like what we're getting right now  
19 is really, I don't want to say what we're  
20 looking for, because we don't have really  
21 specific things that we're looking for, but  
22 we don't feel like they're getting at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right sort of actual patient comprehension,  
2 or evaluating the actual effectiveness of  
3 that tool, as a risk minimization tool.

4           And what I would ask of you all  
5 is, how -- you're talking about sort of  
6 randomized trials, and that sort of thing.  
7 How are sponsors supposed to get a hold of  
8 these patients without violating HIPPA, and  
9 without going to specific doctors. I know  
10 that's one of the questions they have, and  
11 it's one of the questions we have, too. We're  
12 not sure how to tell manufacturers to get  
13 these patients, and do it in a random and  
14 fair way so that they're assessing high-  
15 income/low-income, high-literacy/low-  
16 literacy, minority populations, getting at  
17 everybody without cherry-picking, without  
18 going to specific - if you go to a suburban  
19 pharmacy versus a rural, I mean, without  
20 feeling like they're really cherry-picking.  
21 So I sort of put that back to you.

22           MS. FINCH: Yes. Thank you again

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for your presentations.

2 I have the same exact question as  
3 Betsy, but I'll take it a little further.  
4 The results of the evaluation on the 2000  
5 goal, I wanted to ask the question, does that  
6 include bilingual languages, as it relates to  
7 the -- I see, no.

8 MS. DUCKHORN: No.

9 MS. FINCH: So it's basically  
10 English. And where are we with bilingual, or  
11 other cultures that are pretty much rising to  
12 larger percentages across the country in  
13 terms of being fluent with language?

14 MS. DUCKHORN: There is no  
15 requirement that actual labeling be written  
16 in any other language besides English. And  
17 some sponsors, some of the manufacturers have  
18 said that they're going to translate the  
19 patient information. We don't have anybody  
20 internally reviewing that, so they say that  
21 they'll do a verbatim translation, and I've  
22 seen things that have been translated into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 English that you can clearly -- verbatim,  
2 that you can clearly see, while it's  
3 verbatim, it doesn't take the message, and  
4 actually turn it the right way. So even if a  
5 sponsor has somebody certify that it's done  
6 correctly, it's still not, necessarily, the  
7 same message that we're presenting in  
8 English. There is no requirement. I guess  
9 the short answer is there is no requirement  
10 for any other languages, and we certainly  
11 didn't assess any other languages in terms of  
12 the Consumer Medication Information. That  
13 was only English. And, actually, I think  
14 pharmacies can produce CMI in other  
15 languages, because there is no rule about  
16 that, either. CMI is sort of, it has to be  
17 useful information. I think that was one of  
18 the exclusion criteria in our evaluation, is  
19 that if it wasn't in English, then we didn't  
20 evaluate it. That pharmacy was actually  
21 kicked out of our sample.

22 DR. WOLFE: I just want to briefly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expand on the answer that was given to Dr.  
2 Khanna's excellent question, was why was this  
3 limited to estrogens, and OCs?

4           Around the time that this  
5 regulation in 1970, and finalized in 1980 had  
6 been finalized, I wrote to Dr. Alexander  
7 Grant, who was the Associate Commissioner of  
8 Consumer Affairs at the FDA, and said just  
9 how extensive was this? And, indeed, as you  
10 suggested, benzodiazepines were included in  
11 what was supposed to happen. And his letter  
12 to me said these -- it was ten drugs, or  
13 classes of drugs, it wasn't just ten  
14 individual drugs, and they represented over  
15 300 individual drug products comprising 16  
16 percent of all new prescriptions. So it was  
17 a fairly extensive program, and it was, I  
18 think, cancelled for fairly arbitrary  
19 reasons, the private sector clearly hasn't  
20 come through.

21           The second comment I would make is  
22 on this methodology of how we do these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assessments. I do not have any ownership or  
2 stock in the Rand Corporation. I think it's  
3 not-for-profit, as a matter of fact, but the  
4 methodology there clearly included whether  
5 readers learned significant amounts of  
6 important information, so it wasn't just did  
7 you read it? Did you understand it? They  
8 actually checked learning. So, again, I  
9 would just urge you to go look back at the  
10 methodology of that study, which was an FDA-  
11 funded study.

12 In addition, they obviously, I  
13 don't know whether in advance or whatever,  
14 they stratified the results by reading level,  
15 so they actually had information about that,  
16 as well. So I am always not just as I am  
17 aging thinking about why do we have to  
18 reinvent the wheel. I think that FDA  
19 probably has, in addition to this, some other  
20 previous studies on assessment that can be  
21 built on in terms of what you demand of these  
22 people who are putting in these requests to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get part of the Stimulus package.

2 DR. PETERS: I wanted to follow-up  
3 quickly on something that Dr. Goldstein said  
4 earlier. I liked your idea of thinking about  
5 this in a safety context, but I also wanted  
6 to add, we've talked about this a little bit  
7 before, but there's a benefit context here,  
8 too, that we have to make sure that we're  
9 sensitive to. And, also, testing  
10 comprehension of what those benefits can be.

11 There's lots of data looking at -  
12 not lots of data - there's some data looking  
13 at benefits and adherence, for example. So  
14 you can see the influence of health literacy  
15 and the ability to stay within a safe and  
16 beneficial range for Warfarin, some very  
17 interesting data there. There may be other  
18 issues involved with things like statins that  
19 have kind of invisible benefits. But I just  
20 encourage us to keep in mind the idea not  
21 just of safety, but also the benefits of all  
22 these drugs.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. MAYER: Just as sort of an  
2 overarching statement, we regulate drug  
3 products and devices very carefully. FDA has  
4 looked long and hard, and has very high  
5 standards, generally, on what is required,  
6 particularly of drug manufacturers. And, to  
7 me, since from a patient perspective informed  
8 consent is really the foundation of medical  
9 care, that is, that patients have the  
10 information they need to make treatment  
11 choices, and their sources for that  
12 information are limited in society, often to  
13 direct-to-consumer marketing, and a few  
14 other sources. This opportunity, to me,  
15 represents a very important way that FDA can  
16 interact directly with patients to provide  
17 accurate information.

18 And I hadn't known the whole  
19 history of this really until beginning to  
20 read materials, and hearing the presentations  
21 today. And it's just very clear to me how  
22 crucial this effort must be to have a single

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 - I think it should be single - source of  
2 really comprehensive, clear, understandable,  
3 unbiased risk and benefit information. And  
4 it seems to me as if this is sort of a  
5 pressure point now with the failure of the  
6 initiatives on the part of the industry,  
7 where FDA actually might find some ways,  
8 perhaps with our help, of sort of seizing the  
9 regulatory authority to really once and for  
10 all make this happen, and not simply leave  
11 this process up to manufacturers and outside  
12 developers.

13 MS. LAWSON: That's sort of, I  
14 guess, a lead-in to my question. Now that  
15 you have the results, and they're not that  
16 favorable, do you have a time line for  
17 revisiting the regulations to determine what  
18 you can do, and when you proceed to do that?

19 MS. HENDERSON: I would say that  
20 we don't have a time line for finishing, but  
21 we have surely begun in earnest. I mean, as  
22 soon as we knew the results of this survey,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we began internal discussions right away that  
2 led us to you. I mean, you are -- we  
3 starting meeting immediately with various  
4 stakeholders to try to understand what these  
5 results meant, where the failures have  
6 occurred, and so I would say that we feel  
7 with you a real sense of urgency. I don't --  
8 depending on where we go will depend on how  
9 long it takes to change whatever it is we're  
10 going to change. It's just almost impossible  
11 for me to give you a time line, but I would  
12 say that we feel a real sense of urgency  
13 within the Agency to, I think, seize the day,  
14 and figure out what is the right thing to do  
15 for the patients we serve. So you are a very  
16 important step in that process.

17 CHAIRMAN FISCHHOFF: Let me thank  
18 the presenters for, obviously, having gotten  
19 us going, focused in a good way.

20 We'll take a break now until  
21 10:30, and then we'll start with the  
22 presentations on the evaluation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Whereupon, the proceedings went  
2 off the record at 10:20 a.m., and went back  
3 on the record at 10:39 a.m.)

4 DR. FISCHHOFF: Thank you very  
5 much.

6 We'll begin the next session with  
7 the report from Carol Kimberlin on the  
8 evaluation of the consumer medication  
9 information.

10 Thank you.

11 EXPERT AND CONSUMER EVALUATION OF CONSUMER  
12 MEDICATION INFORMATION - 2008 FINAL REPORT

13 DR. KIMBERLIN: Well, thank you  
14 to the committee. And we especially want to  
15 thank Jodi Duckhorn from the FDA for  
16 providing much support, and being very dogged  
17 in making sure that we got the deliverables  
18 in on time, so we appreciate that.

19 I'm going to start us off, and  
20 then I'll turn it over to my colleague, Almut  
21 Winterstein.

22 The research questions that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 addressed really mirrored the 2001 study.  
2 What percentage of shoppers filling  
3 prescriptions were given any written CMI  
4 beyond the label directions? What percentage  
5 of the CMI adhered to quality criteria as  
6 determined by a national panel of pharmacy  
7 experts? What percentage adhered to criteria  
8 that consumers were asked to use to evaluate  
9 the quality?

10 And how did these experts in  
11 consumer evaluations of the quality differ in  
12 the 2001 and 2008 studies?

13 For the 2008 study we had two  
14 medications that patients were newly  
15 prescribed, and went into pharmacies to have  
16 filled. The National Association of Boards  
17 of Pharmacists purchased electronic lists of  
18 all retail pharmacies in the continental  
19 United States.

20 A sample of 420 pharmacists were  
21 selected using random selection procedures,  
22 and the sub-contractor, Second to None, hired

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 professional shoppers to pose as patients and  
2 present these two prescriptions.

3 We trained and provided training  
4 materials to Second to None so that the  
5 shoppers would use standards protocols for  
6 playing the patient role and answering  
7 questions in the pharmacies.

8 The physicians were recruited by  
9 the FDA in local areas to write the  
10 prescriptions. Then all written material  
11 dispensed in the pharmacies were sent to the  
12 University of Florida for evaluation. And  
13 then we conducted both the expert and the  
14 consumer evaluations.

15 Now we had two expert panels that  
16 we relied on to assist us and advise us in  
17 this research. We had four clinical experts  
18 from the University of Florida and Shands  
19 Hospital and the community of Gainesville,  
20 which formed the development expert panel.  
21 And that panel reviewed standards and  
22 criteria that were used in the 2001

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evaluation, as well as the 2006 FDA guidance  
2 document, which came after that initial  
3 evaluation.

4 The panel examined FDA approved  
5 labeling and other professional monographs in  
6 the standard drug compendia.

7 The panel developed explicit  
8 criteria, then, within each of those  
9 standards to operationally define CMI for the  
10 two drugs that were being used in this study.

11 I'm not going to go over this,  
12 since Jody already covered the standards, but  
13 just to point out that the first five really  
14 have to do with the content; the sixth is  
15 more of a disclaimer or general information  
16 encouragement to ask questions.

17 The scientifically accurate,  
18 unbiased and up to date. And then eight is  
19 really the formatting to assess how legible,  
20 readable, comprehensible the information was.

21 After the development expert panel  
22 operationalized for the two study drugs, then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we had a national panel of eight pharmacy  
2 experts who reviewed and made comments on the  
3 expert evaluation form. Forty CMI were rated  
4 independently so that we could see  
5 reliability and make some alterations where  
6 there was any inconsistency in applying those  
7 criteria. And we continued that check as we  
8 collected data.

9 The scoring procedures varied  
10 slightly from the 2001. Raters were asked to  
11 indicate whether each item in information  
12 identified by the specific subcriteria was  
13 present or not present.

14 In 2001 they had present,  
15 partially - the criteria was met, partially  
16 met, or not met. So we did not use that  
17 partially met level.

18 For criterion seven, the  
19 scientific accuracy only involved the  
20 information that was actually in the CMI, so  
21 it was only errors of commission. We didn't  
22 do a separate evaluation of whether there was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information missing, because that would have  
2 been covered in criteria one through six.

3 For criterion eight the format,  
4 the expert panel assessed four of the  
5 readability criteria, and the staff assessed  
6 explicit measures such as font size, amount  
7 of white space around text, line length, use  
8 of bullets and reading level.

9 Adherence of CMI to criteria were  
10 also reported as a percent of total possible  
11 points obtained for the overall aggregate  
12 score of all of the subcriteria.

13 For each individual general  
14 criterion that is identified, that Jody  
15 identified as the standards for CMI, and then  
16 for each individual subcriterion that  
17 operationalized each of those criterion  
18 specific to the study drugs.

19 Means and standard deviations for  
20 aggregate, and the general criteria, the  
21 eight general criteria, were also reported.

22 In order to compare to 2001

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 findings, we categorized the percent of  
2 possible points of information that were  
3 covered in the content area, and also where  
4 the criteria was met in the other standards.

5 Level zero, no written information  
6 was given.

7 One, zero to 19 percent of the  
8 possible subcriteria were met.

9 Twenty to 39 was level two, 40 to  
10 59 level four; 60 to 79, and level five, 80  
11 to 100. So this was completely congruent  
12 with the 2001 study.

13 We also did a consumer evaluation  
14 study and the evaluation form was identical  
15 to the one that Svarstad and Mount used in  
16 the 2001 study. It was a five-point semantic  
17 differential scale where low scores were  
18 equivalent to low quality.

19 So nine items asked how the  
20 consumer would feel about the leaflet if he  
21 or she were taking the medication for the  
22 first time. The remaining three were overall

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opinions about readability, comprehensibility  
2 and usefulness.

3 And these items were summated and  
4 reported as average percent. And standard  
5 deviation as well as that five-level  
6 frequency distribution that matched the 2001  
7 study.

8 In the 2008 study for the consumer  
9 evaluation we had 14 site coordinators in 13  
10 states that each recruited 12 to 20  
11 consumers. We had all materials approved  
12 both by the University of Florida IRB as well  
13 as the IRBs in each of these institutions or  
14 agencies that we were - where the site  
15 coordinators were located.

16 They used snowball recruitment,  
17 which was the same as 2001, from clinics,  
18 churches, apartments, parents organizations -  
19 a variety of groups.

20 Consumers did have to read CMI in  
21 English, have no training as health  
22 professional, not have diabetes or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypertension or taken any medications in the  
2 same class. We wanted them to be unfamiliar  
3 with the medications from their own - from  
4 the point of view of their own health  
5 history.

6                   Now the results: 365 pharmacies  
7 dispense the medication for the study drug.  
8 What we found when we went to the targeted  
9 pharmacies, some of them were closed. Some  
10 of them did not want to dispense the  
11 medication or ask for identification from the  
12 shopper since this was a new patient in that  
13 pharmacy.                   So we did have 365. Six  
14 percent provided no written information  
15 beyond the label directions. So that means  
16 that 94 percent did provide some sort of  
17 written information. And that falls within  
18 the 95 percent confidence interval for the  
19 target of 95 percent, or 95 percent falls  
20 within the confidence interval.

21                   The CMI ranged from 33 words to  
22 nearly 2,500 words, so there was great

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variability in the amount of information that  
2 was provided.

3           The guidance and the standards say  
4 that all of these CMI should have the  
5 publisher and the date of publication  
6 included in the information.

7           Forty three percent of the CMI we  
8 looked at had no published or identified, and  
9 of the remainder, 56 percent were First  
10 Databank, 42 percent Wolters Kluwer; so there  
11 are really two publishers of this  
12 information.           Some of the results of  
13 the overall quality of the CMI, somewhere  
14 between 70 and 75 percent had the level IV or  
15 higher in terms of quality of CMI. And the  
16 mean, though, was 60 percent - about 60  
17 percent of all of the items of information  
18 that we were looking at to indicate the  
19 quality were met, the criteria were met.

20           And this is per criterion. And I  
21 think the notable items are the directions  
22 and what was included in the directions was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not just directions for use, but directions  
2 on how to monitor the effectiveness and  
3 safety. So it's really that monitoring that  
4 there were items that were included that were  
5 less likely to be met than actual directions  
6 for how to take.

7 The format was also one of the  
8 lower items. And we will go into some of the  
9 specific criteria, subcriteria, for those.

10 The accuracy of the information  
11 that was provided was very high. So that if  
12 the information was provided, it was judged  
13 to be scientifically accurate.

14 Now the percent that dispensed  
15 CMI, that met that 60 percent threshold which  
16 was defined in the 2001 study, you can see  
17 the low categories are the directions and the  
18 format. And in comparison to the 2001 study  
19 the directions for use were less likely to be  
20 met in the 2008 study, and I think because of  
21 the increased emphasis on knowing how to  
22 monitor therapy, not just what the patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could do themselves, but what they understood  
2 the physician would do or should do to  
3 monitor effectiveness and safety.

4 The format, it appears to be  
5 reduced. But in the 2001 study there were  
6 four medications used, and there was one that  
7 was an extreme outlier. It was sublingual  
8 nitroglycerin, and that had 47 percent, that  
9 one medication, that met that 60 percent  
10 threshold. If you looked at the other three,  
11 they were really closer to the 8 percent that  
12 we found.

13 So the highs and lows then in  
14 category three, which was problematic, was -  
15 we wanted the patients to have the  
16 information about what action they should  
17 take, not just that they had the information  
18 but what should they do with it.

19 So asking about lab tests for  
20 lisinopril, anticipating how frequently -  
21 knowing what to anticipate in terms of how  
22 frequently those tests should be run; asking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about blood pressure readings, or self  
2 monitoring their blood pressure; overdose  
3 symptoms; not just - one of the items was  
4 what do you do if you have an overdose, and  
5 the typical advice is call the poison control  
6 center or get to an emergency room.

7 But some CMI had the National  
8 Poison Control Center number there. So that  
9 was an additional very useful piece of  
10 information is the patient needed to call.

11 Now administration with or without  
12 food, that was seen in both of these. But  
13 what wasn't seen was the monitoring.

14 In terms of the formatting, the  
15 problematic areas in terms of the FDA  
16 guidance document, black box warnings were  
17 not in bold or in box. Often they were in  
18 bold face type - I mean all caps type which  
19 is harder to read. There was not the bolded  
20 text for emphasis which was recommended.

21 Bullets in terms of outlining the  
22 information so that it is easier to read and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using bullets for important points, that was  
2 not - very rarely seen.

3 Only 10 percent were written at or  
4 below the eighth grade reading level using  
5 the Flesch-Kincaid reading level test.

6 And in terms of the space between  
7 lines, the recommendation is that it be at  
8 least equal to 2.2 millimeters, so we had  
9 calipers, and we were measuring this, and 15  
10 percent - only 15 percent - met that.

11 So the text is very dense. It is  
12 very - there is very little white space  
13 between lines, or around text.

14 Now the items that were generally  
15 met, and again, these are pretty much the  
16 same as were generally met in the 2001 study,  
17 they used both upper and lowercase lettering  
18 by and large. They didn't use italics or  
19 ornate type phrase, space, good ink/paper  
20 contrast, limited use of medical/technical  
21 terms that weren't explained.

22 Other low scores for the different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drugs. Angioedema can be fatal for  
2 lisinopril. The action when you experience a  
3 serious side effect to stop taking the  
4 medication was not explicitly provided.

5 A physical description of the  
6 drug, other precautions in the case of  
7 lisinopril were given only 41 percent of the  
8 time; dates of publications were typically  
9 not provided, brand names were typically -  
10 now these are generic medications, but so the  
11 advice that brand names be included was not  
12 typically provided.

13 The contraindication of a contrast  
14 agent with metformin was often not provided.

15 And usual dosing was not.

16 We looked at the question of  
17 pharmacy ownership. By and large the chain  
18 pharmacists had longer leaflets, and so they  
19 did present more - there was definitely a  
20 relationship between the number of words and  
21 the number of the criteria that were met.  
22 And that is one of the issues. You just get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 credit for putting in more facts, and not  
2 demerits for overloading with information,  
3 which I think is one of the problems with  
4 this.

5 In terms of the content, then, the  
6 chains were more likely. But the formatting,  
7 the independents were more likely to meet  
8 those formatting criteria.

9 And again, the chains had longer  
10 leaflets, so there was just more content  
11 there, or more word count.

12 The consumer rated quality of the  
13 CMI, the means were 65, 66 to 70 percent of  
14 the possible points that could be awarded  
15 were awarded. And the - about 75 percent  
16 scored at - 75 percent scored at or above the  
17 60 percent threshold.

18 Now what was concerning was that  
19 the items that were most problematic,  
20 according to the consumers, were print size,  
21 line spacing and ease of reading, which are  
22 exactly the ones that were identified in 2001

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as being most problematic.

2 So if you compare it with the 2001  
3 study, the real differences are that there -  
4 there were more at the level four, which was  
5 the 60 to 80 percent that were met. So that  
6 you had a higher percent that met that  
7 threshold criteria, but the items that were  
8 lowest in the consumer's mind were the same  
9 as the experts, and that had to do with the  
10 formatting, readability, comprehensibility.

11 I'm going to turn it over now to  
12 Almut Winterstein, who will give you some  
13 examples of what we saw with specific areas  
14 of concern.

15 DR. WINTERSTEIN: Good morning.  
16 I get to do the fun stuff. I get to show you  
17 examples, and share some anecdotes with you.

18 But before I do this, I'd like to  
19 start with an analogy. Many of you are  
20 interested in patient safety, and I know that  
21 many of you or probably all of you will  
22 remember the patient safety report, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Institute of Medicine report that came out,  
2 and a lot of discussion thereafter. And  
3 there were a lot of analogies that were drawn  
4 to traffic, aircraft, and various other  
5 safety environments. And I'd like to draw an  
6 analogy to risk communication or leaflets.  
7 All of you have bought a laptop, a washing  
8 machine, any other tool you may use. And you  
9 may, before you see some of the examples I am  
10 going to show you, I would like you to recall  
11 the last manual you have had in your hand  
12 when you bought a new laptop or so. And what  
13 you may notice is that the format for a  
14 laptop manual is actually better than what  
15 you will see here, even though laptops  
16 typically don't kill people, and they don't  
17 improve health and make you happy maybe or  
18 unhappy, but they don't really do anything  
19 with the most precious good that mankind has,  
20 which is health. They also typically come in  
21 multiple languages. Now we will argue that  
22 of course they come in multiple languages

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 because they are sold in multiple countries,  
2 but of course the same is true with drugs.  
3 They are actually available in Europe as  
4 well, which covers many languages, and in  
5 that sense it wouldn't be so difficult to  
6 translate English drug information in other  
7 languages.

8           So keeping this in mind, just  
9 thinking about what we typically have  
10 available for our computers or washing  
11 machines; also there is typically an area  
12 that talks about troubleshooting. If your  
13 computer doesn't work and a certain light  
14 blinks, what are you going to do? And it  
15 actually goes through the most common  
16 troubles, aka side effects you may have. And  
17 it gives you very specific, explicit ideas  
18 about what your action step might be. And  
19 again, I'd like to ask you to look - pay  
20 attention when I show you some of these  
21 leaflets to see whether you find the same  
22 type of troubleshooting advice provided in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 leaflets.

2           So this is outside of the results  
3 we provided for the direct research questions  
4 related to this contract we had with the NABP  
5 and FDA. This is really more exploratory  
6 analysis just to find out what else was  
7 there, what kind of interesting information  
8 could we derive from those leaflets we had.

9           So the first question we asked  
10 was, are some publishers better than others?

11       And there were two main ones. You have seen  
12 this, First Databank and Wolters Kluwer. And  
13 when we looked at publisher versus content,  
14 either content quality or format quality, you  
15 remember that those first six criteria  
16 explicitly asked if specific content pieces  
17 are included in the leaflets, and then we  
18 have this format criterion that talks about  
19 how the information should be formatted and  
20 displayed.

21           There was no significant  
22 difference between those two publishers. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was also significant variability of  
2 leaflets within one publisher, which was  
3 quite amazing. Because what we had expected  
4 was that pharmacies buy this information from  
5 publishers, and pretty much go ahead and  
6 print whatever they get.

7 Now what we found was, here is,  
8 for example, a leaflet that was done by First  
9 Databank. First Databank is identified as  
10 the publisher. This leaflet has I think  
11 2,500 words, 2,400 words, one of the longer  
12 ones. These are front and back page, so this  
13 is not two leaflets; that is one leaflet. So  
14 lots of information on here, and that met a  
15 lot of quality criteria on here. I think  
16 that had a quality score of about 80 percent.

17 Here is First Databank again. And  
18 obviously this looks very different,  
19 obviously way less words. In fact these were  
20 760 words. It had 30 percent of content  
21 criteria met, so very very different.

22 And this was actually quite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interesting one, this area that we show here.

2 There is a note in this leaflet that says  
3 about side effects, see also a warning  
4 section - there is no warning section on this  
5 leaflet. So it refers to something that is  
6 actually not present, which suggests that  
7 something has been taken out, and we don't  
8 know by who and how it happened and why  
9 people did that, but it simply is not there.

10 Here is Wolters Kluwer, pretty  
11 much the same thing. A leaflet that met 81  
12 percent - I have a little cheat sheet here -  
13 81 percent of all content criteria; had 2,050  
14 words. And here we have Wolters again with  
15 136 words and 11 percent of criteria met.  
16 Interesting that it's meeting anything,  
17 because there is not a whole lot on there.

18 So one question we really like to  
19 ask is, how do publishers select this  
20 information, and what happens to this  
21 information when it gets into pharmacies, and  
22 what are they doing with this?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   And that is really not totally  
2 clear to me. Also looking at the larger  
3 leaflets, I went to the package inserts for  
4 metformin, and this is only the section on  
5 precautions. Just - this is even a little  
6 bit larger font size, but you see how much  
7 information is on there. None of the  
8 leaflets had all of this information.

9                   So my question is, what kind of  
10 criteria are really being used to select the  
11 information that goes into this patient  
12 information, into the CMI, and what not? And  
13 who does that? Is this a pharmacist, a  
14 physician, a sociologist, a psychologist?  
15 Who within First Databank actually makes the  
16 decision what goes in and what goes out.

17                   Now with the volume what you noted  
18 is that the more information is there, the  
19 more content you hit. It's pretty much a  
20 shotgun approach obviously.

21                   So but the question of course is  
22 what is the right amount. So if I have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 5,000 word leaflet, I pretty much have the  
2 PI, then I have pretty much everything in  
3 there that I need, and the patient has all  
4 the information that is necessary. But is  
5 this really what we need? And to go back to  
6 the analogy about the laptop, we all know  
7 those really thick manuals we get, then we  
8 look at the short instructions. There must  
9 be one little card somewhere that tells us  
10 how to do the quick start here.

11 So is this useful, and is this  
12 really what we want? Or is there really a  
13 threshold for information overload?

14 So would it make more sense to  
15 have actually less, and should we have  
16 punished leaflets for giving too much? And  
17 obviously we did not do this. You know how  
18 the content criteria was set up.

19 Now one issue beyond that is that  
20 what we noticed is that there as lots of  
21 redundancy. So here is one leaflet that has  
22 not a whole lot of information. Here is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 leaflet that has almost no information. Here  
2 is a leaflet that has quite a bit of  
3 information. And if you look at the word,  
4 efficiency, we decided to call it, these are  
5 the leaflets that met at least 80 percent of  
6 the content criteria; not format, just  
7 content criteria. And within those leaflets  
8 there was a range of more than 1,000 words  
9 difference. So there were some leaflets that  
10 managed to provide 80 percent or more of the  
11 content with 1,100 words, for less than  
12 approved 1,400 words for metformin. And  
13 there were some that needed 2,100 or 2,400  
14 for the same. So there is a difference of  
15 more than 1,000 words, and that's what I  
16 think goes back to this efficiency issue.

17 This is not quantified, but a lot  
18 of this has to do with disclaimers. We saw  
19 leaflets that had disclaimers in every single  
20 section of text they were providing, for the  
21 side effects, for the precautions, for the  
22 contraindications. It would say in every

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 single place, this is not all of the  
2 information. There may be more, and you may  
3 want to talk to your physician or your  
4 pharmacist, and basically this information is  
5 not very reliable anyways.

6 And this would be repeated every  
7 single time you would go to a new paragraph.

8 It may not really be the best way  
9 to provide information.

10 This is a graph between - just to  
11 illustrate this a little bit more - between  
12 content quality and word count. And what you  
13 see is that the quality leveled off at some  
14 point. The XX is the word count here, the  
15 content quality is on the vertical on the Y-  
16 axis. And you see at some point the more  
17 words you provide you hit a level of no  
18 return - there's nothing more you can really  
19 provide.

20 So that definitely I think could  
21 be chopped off. Whether this is all, or  
22 whether there even could be information

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       spared because patients don't need them  
2       anyway, and they won't really benefit from  
3       it, I think is a question that is still out  
4       to be answered.

5                       Now about the format, how to  
6       organize and present the information, just a  
7       couple of more examples. Here is one leaflet  
8       that actually had a drug description. You  
9       will see a little graph of how the tablet  
10      looks like, and there is at least some  
11      sections here, so that is actually one of the  
12      nicer ones I think.

13                      This is a leaflet that actually  
14      has both drugs on one page, one is metformin,  
15      and the other one is lisinopril. And you see  
16      that the text is just crammed and, I mean, I  
17      would have a hard time reading this, and  
18      wouldn't enjoy it.

19                      Here is another one where at least  
20      we have blocks of text, but you see that  
21      these blocks of text, at least we have  
22      headings, but you see that these blocks of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 text are really long and not easy to read.

2 Here this is even worse obviously.

3           And then just to finish this part  
4 of showing you examples, what is the issue  
5 about distracters? I believe Debbie or Nancy  
6 this morning - I think Nancy this morning  
7 talked about there may be other information  
8 provided that may not - shouldn't really be  
9 on there. This is the back side of one - no,  
10 actually not - well, it's a leaflet that  
11 could be folded. So we have nutrient news  
12 here. We have all kinds of things. That is  
13 the back side of one of the leaflets.

14           Skin hair and nail tips, that's  
15 always good to know. And then there was one  
16 which we didn't include here but I will  
17 mention it anyways, that actually had a Bible  
18 verse right on top of the publisher, for  
19 whatever that might be good in a CMI.

20           So there is a lot of information  
21 that may distract, and that may not be such a  
22 good idea to include.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I think there are a couple of  
2 clear ways to improve leaflets, but that is  
3 not the whole story, and we have a lot of  
4 questions that are still open.

5           Just to illustrate this, this is  
6 our idea about how this - how this  
7 information is currently generated. We have  
8 this universe of drug information which of  
9 course is not complete. There is all this  
10 new stuff coming out, as we all know.

11           I'm a pharmaco-epidemiologist.  
12 I'm generating this new information. Then we  
13 have a data warehouse that selects this  
14 information somehow. Then we have a pharmacy  
15 that prints it, and then we have a patient  
16 that consumes it essentially.

17           Now the first part here is, it  
18 actually doesn't look like the universe. It  
19 looks like more like this source of more and  
20 more information where we actually really  
21 don't know whether it is relevant or not.

22           We know that more side effects are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 included in the labeling than are actually  
2 necessary. Many side effects are clinically  
3 irrelevant. Many drug-drug interactions are  
4 theoretically but clinically not manifest.

5 And we know in many instances  
6 really not whether it's important to know  
7 this or not, not for clinicians, and not for  
8 patients.

9 Many of you may be aware of the  
10 research that is currently ongoing related to  
11 clinical alerts, clinical decision support  
12 systems, which is the same idea. You have  
13 drug information that is now provided to  
14 providers. And providers complain about the  
15 clinical irrelevance of the alerts that are  
16 provided. This needs a lot of cleanup; and  
17 the same of course concerns patients.

18 So right now the PI that is  
19 generated has not only to do with clinical  
20 relevance but also with legal issues,  
21 obviously. And the evidence base for the  
22 labeling information is obviously - is often

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not present.

2           Then the - however this of course  
3 is the core source for the information that  
4 is selected by the data warehouse for the  
5 CMI. So I like to ask then, what are the  
6 selection criteria for content? This is to  
7 me a complete black box, and I haven't seen  
8 anyone defining those selection criteria, and  
9 we will come back to this on the next slide.

10       Is this done based on patient relevance?  
11 And what does patient relevance really mean?

12       Again I will come back to this later.

13           What about updates? How fast is  
14 this information really changed? Those of us  
15 who are commissions know that drug compendia,  
16 like Micromedex or CP Online, and so forth,  
17 usually have very frequent updates. Now this  
18 is happening on the provider level, but what  
19 is happening with CMI? Do we know whether  
20 this is updated quickly as well? The  
21 publisher date was often not on the leaflets.

22       So we weren't really use how timely this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information is updated.

2 And then with respect to the  
3 format, do those data warehouses actually  
4 format this information according to the  
5 FDA/CMI recommendations, or - so is it  
6 essentially the pharmacist that screws this  
7 up at the back end, and is the original  
8 formatting actually good? Or not? And  
9 wouldn't it be nice if somebody made a really  
10 good step on formatting it correctly to start  
11 out with and then we are done with this part.

12 Lots of questions that we were not  
13 able to answer.

14 Now for the pharmacy portion, who  
15 is making those modifications and why are  
16 they being made, and does it really make a  
17 lot of sense? You saw that content was  
18 missing. You saw that the format varied  
19 tremendously. And it appears that the format  
20 varies within publishers, but also within  
21 pharmacies of the same chain.

22 With respect to updates, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mentioned this already.

2                   And then the other really  
3 interesting part here, what about  
4 individualized information? This discussion  
5 came up when we talked to our clinical expert  
6 panel that was trying to define what  
7 information should really be on the leaflet.

8                   Now both drugs, metformin and  
9 lisinopril, have off-label uses that are  
10 evidence-based. Metformin is used for  
11 polycystic ovarian syndrome, frequently has  
12 good evidence that backs this up. Lisinopril  
13 or all ACE inhibitors have solid evidence for  
14 diabetic nephropathy.

15                   Now however, both indications are  
16 not approved, and FDA guidance suggests that  
17 unapproved indications shouldn't be  
18 mentioned.

19                   We had long discussions about  
20 this. Now you have a patient who is filling  
21 a prescription for metformin, and this  
22 prescription says this drug is meant for your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 diabetes. And that's the end of it, and she  
2 has polycystic ovarian syndrome. I don't  
3 know how this relates to compliance, but at  
4 least I would ask a couple of questions if I  
5 hadn't been told by my physician this drug is  
6 typically used for something different.

7           However it creates a lot of  
8 uncertainty. And would it make sense if the  
9 indication were known to include those off-  
10 label uses? And how of course would we  
11 decide what is an evidence based off-label  
12 use or non-evidence base off-label use, since  
13 the FDA review obviously is missing, so we  
14 don't have the rubber stamp on whether this  
15 off-label use makes sense or not.

16           So we collected information on  
17 off-label use. We didn't include it in the  
18 criterion for the evaluation. And it varied  
19 tremendously. Half of the leaflets didn't  
20 talk about off label use at all; another half  
21 mentioned that it could happen, and then a  
22 certain portion mentioned specific uses,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which, personally, I find might be helpful  
2 for those patients who use it for those  
3 indications. But it's an open question.

4           The more individualized this  
5 information could be, I think the more  
6 helpful to the patient. I think that is all  
7 very clear, but this obviously requires a  
8 completely different vehicle than what we  
9 have right now. If we have one leaflet, that  
10 will not fit all patients. If we had  
11 something that was more integrated, where  
12 let's say we would have electronic medical  
13 records, and a leaflet could really be  
14 generated or tailored to the medical  
15 information that is available for a patient  
16 that might be a completely different  
17 approach, to me a very appealing, but  
18 obviously it's a little bit along the road.

19           What about those disclaimers? How  
20 many disclaimers do have to be on a leaflet?

21           And does it - do those disclaimers have to  
22 be in the body of the text? Can they go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1        somewhere else? Do they really have to be in  
2        this text, crammed everywhere?

3                        It's an easy question, but one  
4        that unfortunately was, I think was very  
5        disturbing for many of these leaflets.

6                        Another question that came already  
7        up, was how does this information change when  
8        it is really handed over by a pharmacist who  
9        points out that this is actually the leaflet  
10       to look at. Forget about the coupons and  
11       everything here; this is the piece of  
12       information you need, that you really may  
13       want to look at, because this is the piece of  
14       paper that tells you about your drug. Or  
15       pharmacists potentially really taking a  
16       highlighter and highlighting the areas that  
17       are important for patients. I mean this  
18       information could actually be individualized  
19       if a pharmacist took the time to do so. And  
20       since I'm a pharmacist, Nancy, I would like  
21       to strengthen what you said earlier. OBRA  
22       isn't really implemented very well. Our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 profession doesn't do a good job. And it  
2 would be really interesting to see to what  
3 degree, if that were better integrated, to  
4 what degree a normal generic patient leaflet  
5 could become more effective, and could result  
6 in more comprehension if there was more  
7 verbal counseling that travels with it, and  
8 it was a package.

9           So then lastly the patients, how  
10 do patients use leaflets? Is this PRN? So  
11 if I suddenly have a side effect and I go and  
12 look it up. So is this really a reference  
13 for me? Or do I read the whole thing before  
14 I use it? And then a more philosophical  
15 question which I think is important; what do  
16 we want this leaflet really to accomplish?  
17 Do we really want this leaflet to establish  
18 solid self-medication management skills in a  
19 patient? And if so, none of these leaflets  
20 is able to accomplish this right now. I want  
21 to make this very clear. This is not what we  
22 evaluated. Many of the actions that were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommended for side effects or  
2 contraindications were very generic, that did  
3 not go beyond, call your pharmacist or your  
4 physician.

5           This is not an action step that I  
6 consider appropriate when a patient has early  
7 symptoms of lactic acidosis. So in that  
8 sense, really clear action steps for  
9 monitoring of safety and effectiveness are  
10 not spelled out. They are not spelled out in  
11 the PI, and they are not spelled out -  
12 consequently they are not spelled out in the  
13 CMI. So that may be a completely different  
14 piece of information that needs to be added  
15 on that is currently not there.

16           And then lastly, determinants of  
17 comprehension. Is every patient the same?  
18 Would leaflets need to be tailored towards  
19 different levels of health literacy?  
20 Different types of patients, patients who are  
21 more computer literate, versus patients who  
22 don't want to have computers? Could it be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 combined? Is there really one size fits all?

2 Or do we need different approaches?

3 Which brings me to my last slide:  
4 future research questions. And I mentioned I  
5 wanted to come back to how is this  
6 information being selected.

7 What information in the label is  
8 clinically significant? This has nothing to  
9 do with CMI. We do a lot of studies in our  
10 teaching hospital related to drug-drug  
11 interaction alerts, and such. And just to  
12 share one anecdote or one example with you.  
13 Amiodarone and haloperidol has an absolute  
14 contraindication because of the potential for  
15 QT prolongation. It is frequently used in  
16 CICUs, cardiac intensive care units. We  
17 actually did a study in more than 300  
18 patients. We saw a median QT prolongation of  
19 zero milliseconds, and an average of nine  
20 milliseconds. Baseline QT was about 500.  
21 This is not a big deal. It is a theoretical  
22 contraindication which doesn't seem to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinically very manifest. There are many  
2 like that. But in many instances the  
3 evidence is not there, which is a big problem  
4 not only for consumer information but also  
5 for clinical decision support system and  
6 provider alerts, or provider information.

7 So what criteria for CMI  
8 specifically should be used? The clinical  
9 significance, the severity? Should we tell  
10 only patients about those things that can  
11 clearly produce harm? The prevalence? So  
12 everything that is very common because that  
13 could happen most often.

14 What is important for self  
15 management, should we focus on this? So omit  
16 the pieces that a patient wouldn't be able to  
17 handle himself, or herself anyways. What is  
18 relevant to an individual patient? So should  
19 we actually have some type of decision tree  
20 depending on what the patient's medical  
21 history is, and how important is legal  
22 protection in there, and all these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       disclaimers that I mentioned before.

2                       Are there better media than a  
3       leaflet? This question was asked already  
4       this morning before. Is there a threshold  
5       for volume? Is there one? Should we  
6       actually research what volume of information  
7       a patient can actually digest on a leaflet,  
8       and use this threshold to select the  
9       information that goes on there?

10                      How does verbal counseling during  
11       dispensing change all of this?

12                      And then the last question, which  
13       was already mentioned this morning as well:  
14       How does any of the above affect  
15       comprehension and patient's ability to make  
16       informed decisions? What I mean with this  
17       is, it was actually funny, we were talking  
18       about how to communicate information to  
19       patients. And we were trying to communicate  
20       research findings. And the way we  
21       communicated these research findings, this  
22       morning was, 75 percent of the leaflets met

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the minimum threshold for usefulness. And  
2 probably everybody in the room asked this  
3 question: so what is the minimum threshold  
4 for usefulness?

5 The threshold was 60 percent which  
6 is arbitrary. Our previous - our colleagues  
7 who did this study previous in 2001, may have  
8 flipped a coin. I have absolutely no clue.  
9 Sixty percent sounds better than 50; it's  
10 more than half, though 60 could be produced  
11 by content only, or by format only; we don't  
12 know what was in there. We did not rank the  
13 information by any way or fashion. It is  
14 simply 60 percent of whatever was there.

15 And then is this enough? Is this  
16 60 percent enough to result in solid  
17 comprehension? We have no idea. And we  
18 don't know whether 70 percent of them meeting  
19 the 60 percent is good or bad; we don't know.

20 So again an analogy, if we were  
21 reporting the efficacy of a new anti-  
22 hypertensive, then we would say that 50

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent responded with a clinically  
2 significant change in blood pressure, we  
3 would know about that clinically significant  
4 change in blood pressure is.

5           So I think somehow we need to have  
6 some way to define comprehension in a similar  
7 fashion, and we have tried to do some work  
8 parallel to this FDA study on this, which we  
9 still need to analyze. But one thing that  
10 was already clear from this is comprehension  
11 was not really affected by formatting very  
12 much, and there are a lot of problems with  
13 comprehension for sure. Comprehension did  
14 not affect consumer ratings of these  
15 leaflets. Consumers could rate leaflets very  
16 highly yet did not understand the information  
17 that was on there. So these seem to be two  
18 completely different constructs that need to  
19 be considered.

20           So a lot to address. And I'm sure  
21 I asked more questions than I answered. But  
22 I guess that is a part of science too.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## 1 QUESTIONS AND ANSWERS

2 DR. FISCHHOFF: Thank you both for  
3 your - for the presentation and for the work  
4 that it was based on.

5 Since I didn't get in last time.  
6 Maybe I'll start.

7 So much of our work, our own  
8 research, has dealt with this question of how  
9 do you set priorities. And the way that we  
10 have come to think about it is that the answer  
11 comes from either risk analysis or decision  
12 analysis. Is that the priority? I need to be  
13 told first the information that is most  
14 material to the decisions that I am going to  
15 make. So that will be some combination of  
16 probability and consequences, and my ability  
17 to act on that.

18 And that's a tractable but a  
19 nontrivial question to answer, I think, in  
20 different situations. And you can think of in  
21 these situations and many others there are two  
22 interdependent decisions. One is, do I want

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to try this product or not? And then second,  
2 how is it going? Do I want to stick with it,  
3 or do I need to try something else? Or get  
4 help quick?

5 They are interdependent. You could  
6 say, I'm going to try it. And then there is a  
7 whole other set of issues. Or then sometimes  
8 you say, I don't think I could use this. I'm  
9 not going to - I shouldn't buy it. So in your  
10 laptop analogy, if I have to read the manual I  
11 don't want this product.

12 And other places, or if I can't use  
13 this safely, then if I can't tell when things  
14 are going wrong.

15 I was thinking while you were  
16 talking about one example - this was Donna  
17 Riley's, one of my engineering student's  
18 dissertation. There was a place about 10  
19 years ago, the Chlorine Council, the Consumer  
20 Product Safety Commission and EPA were  
21 interested in the possibility of voluntarily  
22 regulating products that had methylene

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chloride in it, which had been declared a  
2 probable carcinogen by the IARC at that time.

3 So the question was, could you  
4 bring risk levels within tolerances for home  
5 users on the basis of labels? And so what  
6 Donna did is she built a model of the dermal  
7 and respiratory uptake of methylene chloride  
8 for people using paint stripper was a case in  
9 point, really nasty if you have ever tried it.

10 And so she discovered that there  
11 were really two things that you needed to know  
12 about using paint stripper. One was that you  
13 absolutely had to open the window; and second,  
14 that you had to have a fan blowing out. And  
15 if you did that you could bring down the  
16 respiratory uptake enough that you could  
17 perhaps be within tolerances in terms of  
18 carcinogenicity, and with a high probability  
19 be within tolerances for carbon monoxide  
20 uptake, because it causes heart attacks from  
21 its volatilization.

22 So we did this very complicated,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of intricate analysis, to discover there  
2 is actually just a simple message. You look  
3 at the labels, they came from the same school,  
4 same design school who produced the labels  
5 that you had there. If you picked through  
6 them you found that some of the labels of  
7 products that are on the market had the  
8 information that you needed if you could just  
9 find it. And you would find it if you read  
10 the warnings, but not if you read the  
11 instructions. You would find it if you read  
12 the stuff in bold, but not if you read the -  
13 you could either find it on the front, but  
14 maybe not on the back. So it was hit or miss  
15 on whether you would find it. And some of the  
16 labels just didn't have that information there  
17 at all.

18 So our conclusion was that in  
19 principle people could do things that would  
20 enable them to use this - to use a paint  
21 stripper in a way that brought the risk very  
22 low, but that a voluntary regulatory - a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 voluntary system which was in place there,  
2 without any sort of behavioral or decision  
3 science input was not capable of delivering  
4 that information.

5 So I sense that there is an analogy  
6 here that we need a disciplined way of  
7 deciding what the priorities are, and then  
8 some sort of system that ensures that that  
9 expertise is brought to the task.

10 DR. KHANNA: Thank you. Thank you  
11 very much to Carole and Almut for their  
12 presentation.

13 I was interested in Almut's comment  
14 about many side effects being clinically  
15 irrelevant, with the implication possibly  
16 being that maybe they didn't need to be  
17 included in these guides, which would make  
18 them less dense and more readable.

19 That particularly struck me because  
20 I had just read the email to Dr. Zwanziger  
21 from a woman, a consumer named Jenna Markle  
22 who stated specifically that the FDA should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 require all adverse events to be reported on  
2 the consumer information whether or not they  
3 are - regardless of percentage or causality.  
4 For example they cited Singular's prescribing  
5 information only lists adverse events equal to  
6 or greater than 1 percent.

7           So having that background I wanted  
8 to ask our friends from CDER or Dr. Ostrove  
9 whether the FDA does have a threshold where we  
10 require adverse events or side effects to be  
11 reported? Does it have to be clinically  
12 significant? Does it have to be greater than  
13 1 percent?

14           MS. HENDERSON:       Reported in the  
15 labeling, in the professional labeling? You  
16 know I don't know the answer.

17           DR. KHANNA:       Actually either  
18 professional or consumer. Because this  
19 consumer is talking about the consumer  
20 information.

21           MS. HENDERSON:       I don't know the  
22 answer to that question. Do you know, Jody?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. DUCKHORN: For consumer  
2 labeling we actually tend to go with what the  
3 professional labeling says. I don't know - we  
4 look for some kind of statistical difference  
5 between placebo and drug. And there is  
6 clearly a threshold, but we don't decide what  
7 it is.

8 With the new professional labeling  
9 format, we tend to go toward what is listed in  
10 the highlight section of the label. But even  
11 having said that, sometimes there are still  
12 others that the reviewing divisions feel  
13 should still be included in patient labeling.

14 So as long as it is in the  
15 professional labeling, and not as a post-  
16 marketing, not seen post-marketing. As long  
17 as it is in warnings or precautions or adverse  
18 events, then we will list it.

19 DR. WINTERSTEIN: Side effects can  
20 be added without proof of causality. They are  
21 frequently added without proof of causality,  
22 and they are added by the manufacturer, not by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the FDA.

2 DR. KHANNA: It sounds kind of  
3 unclear. Okay, Dr. Ostrove is nodding, enough  
4 said.

5 DR. OSTROVE: Well, the thing is,  
6 different drugs have different profiles. But  
7 beyond that, depending on what the drug is  
8 being used for. So you have to look at the  
9 benefits and the risks, and I think the  
10 determinations are kind of made on a case-by-  
11 case basis.

12 I actually, I don't think any of us  
13 here know whether there are specific objective  
14 criteria that are being used. So in some ways  
15 we are not the right people to ask. And we  
16 would need someone from - from the review  
17 divisions or the Office of New Drugs, to give  
18 you a solid answer.

19 All I can do is give you an  
20 impression. As Almut mentioned, at least in  
21 the past manufacturers have been able to add  
22 side effects, but we also review those at some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point. So at some time that can change. So  
2 side effects might be added, and then they  
3 might be taken away if FDA determines that  
4 they shouldn't be in there.

5 So there are lots of different  
6 things going on here. It is a very complex  
7 situation.

8 DR. WOLFE: I think this will  
9 hopefully clarify it a little bit, but I don't  
10 begin to have the full answer to this.

11 In the labeling, let's start with  
12 the threshold labeling, part of the label  
13 concerning adverse reaction is in fact, as I  
14 suggested before, is from randomized control  
15 trials. And those are the tables where less  
16 than 1 percent, whatever, because there you  
17 have got a denominator.

18 On the other hand, most of the  
19 drugs that have been taken off the market in  
20 this country were not because of randomized  
21 controlled trials. Vioxx is a sort of unusual  
22 example. It's because of average reaction of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 course.

2 And so in addition to the table  
3 that is usually there with the incidents that  
4 occurred in the randomized controlled trial,  
5 there is a list, often a fairly long list, of  
6 other adverse events that had been put in  
7 there.

8 I would guess - I don't know that,  
9 because I have never been nor am I ever likely  
10 to be inside a drug company. But I would  
11 guess that the lawyers for the drug company  
12 are very prominent in the decision making as  
13 to whether you put something in. Because a  
14 standard, I am told, in product liability  
15 litigation amongst others is the failure of  
16 the duty to warn.

17 So if they do not put in something  
18 that let's say shows up 5 or 10 or 20 times,  
19 which may be in the rare category, don't list  
20 it outside of the RCT information, the company  
21 may get into trouble.

22 But I think the answer that Nancy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 gave and Debbie - I mean I don't think that it  
2 is standardized at all, except those are the  
3 two categories. I think those two categories  
4 are always in there. But the decision as to  
5 what you put in, which is probably the origin  
6 of this letter that we were all handled, in  
7 terms of the ones that are from spontaneous  
8 average reaction reports, is pretty arbitrary.

9 DR. WINTERSTEIN: I'd like to add  
10 one small comment to that. Because my  
11 professional training, even when it is in the  
12 clinical trial, the clinical trial is not  
13 powered to look at safety. A phase three  
14 study that leads to efficacy will look at  
15 specific safety concerns that may have evolved  
16 in the phase two or phase one, or even the  
17 preclinical phase. But the power rating in  
18 the trial is focused on the primary efficacy  
19 measure.

20 So even if you had that table of  
21 these were side effects that occurred in more  
22 than 1 percent of the patients, you don't know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether they were caused by the drug or not.

2           So most of the side effect  
3 information we have seemed to happen more  
4 often than in the other group, but we are not  
5 totally sure. That is what you get. Every  
6 labeling change thereafter is predominantly  
7 generated by pharmaco-vigilance, so  
8 essentially spontaneous reports either to the  
9 FDA or the manufacturer, and the manufacturer  
10 as the bulk of those. And they are added as  
11 suggested by Dr. Wolfe typically because of  
12 liability issues.

13           So the idea is, the public has a  
14 right to know, which is obviously true. But  
15 at the same time you have no idea whether  
16 there really is a causal association or not.

17           Many examples, one of the most  
18 prevalent right now, where the question is  
19 still out, is cardiac arrest for stimulants in  
20 ADHD. We don't know whether there is a risk  
21 or not, but it is already included in the  
22 labeling, even though there is still a study

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going on trying to answer exactly that  
2 question.

3 DR. FISCHHOFF: Okay, thank you.

4 John, Mike and then Craig.

5 DR. PALING: I have many thoughts  
6 about this whole topic. But I will restrict  
7 myself to three brief ideas immediately  
8 following these last two presentations.

9 I felt there was an implication  
10 that those CMIs that we saw displayed were not  
11 adequately communicating with the public. It  
12 is my opinion to the contrary that they  
13 vividly shamefully communicated to the public  
14 the true message: we don't care.

15 I think that we are all surrounded  
16 not just by commercial messages, but by people  
17 who consciously know how to appeal to you as a  
18 reader, and to get their message across.

19 Now in saying what I have said, and  
20 I feel that very strongly, the real message is  
21 this is being done because we legally have to,  
22 and in fact, I think if the truth were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 admitted, most people would feel, well, they  
2 are never going to read that. Yes, it's all  
3 there.

4 So that is one thing. I think, and  
5 I'll come to my third point which relates to  
6 that.

7 Second point is this: I've sat in  
8 many meetings, as we all have, where how far  
9 should we go communicating the risks is one of  
10 the many topics discussed. These documents  
11 are well intentioned attempts to cover all the  
12 salient points.

13 But I would like to perhaps  
14 disagree with what I think one of my  
15 colleagues said earlier, that I think a total  
16 paradigm for all risk communication in health  
17 care should be to do two levels of  
18 information: one needs to know, and the  
19 second, you should know, and you should ask  
20 for it, and should be encouraged to ask for it  
21 if in fact you feel a need to do so.

22 I think, and this is my empirical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opinion, that we do a disservice if we are  
2 trying to judge the great massive overriding  
3 document, whereas in fact I would encourage  
4 for all of these risk communication media that  
5 we should think of a two-level approach: need  
6 to know, brilliantly presented with good  
7 formatting, should know, and for those that  
8 want to cover their back ends and need to  
9 legally, also well formatted and clear, but  
10 made immediately available to anyone who  
11 asked.

12 Now my third very controversial  
13 point, which will not surprise my FDA  
14 associates, to whom I have made many  
15 outrageous suggestions off the record before,  
16 hoping to be able to make a difference to this  
17 process. I think the problem with this is  
18 that these unsatisfactory documents do not get  
19 public exposure for the contorted obfuscated  
20 communications documents they are.

21 Wouldn't it be wonderful, is my  
22 suggestion, though this committee could not do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 this, if some patient advocacy website put up  
2 some of these, not to ridicule them, but to  
3 make a positive reinforcement with an award,  
4 voted for by whoever wants to go on the  
5 website, to comment on whether this could be  
6 made more clear and why. Not to try in anyway  
7 to diminish the complexity of risks, benefits  
8 and consequences, and all the gray areas of  
9 levels of knowledge and all of these other  
10 things, but in fact to put the harsh light of  
11 public opinion on the inadequacy of these CMIs  
12 as I see them.

13 DR. FISCHHOFF: Mike and Craig.

14 DR. GOLDSTEIN: This may sound  
15 similar in some respects. I want to thank the  
16 presenters again for raising as many  
17 questions, perhaps a lot more questions than  
18 answers.

19 I do think it's a matter of  
20 figuring out what the right questions are. So  
21 it's really really important that we get that  
22 right. In the broadest way, the question is,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what are we trying to accomplish? That's a  
2 quality question that I have learned from my  
3 colleagues who do this for a living.

4 And the how do we know that what we  
5 are hoping to accomplish was accomplished with  
6 whatever we are testing?

7 And it does get to narrowing our  
8 focus on what is really really the priorities,  
9 what is really really the most important  
10 things for people to know.

11 Because if we are really looking at  
12 the quality of the communication, we want to  
13 make sure those key high level important  
14 messages are getting through, and that is how  
15 we should be rating the quality of these  
16 devices or tools or resources.

17 So I would just encourage us to be  
18 really really thoughtful about those  
19 questions, looking at the outcomes we are  
20 hoping for, and I would say they are safety,  
21 knowing the key things that we want patients  
22 to be able to know and do with these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medications, and how they are going to respond  
2 once they are using the medications in an  
3 effective way.

4 And there is a nice list that our  
5 second presenter, Dr. Winterstein, provided,  
6 of some ways that we can narrow our focus,  
7 sharpen our focus, make sure we are asking the  
8 right questions and getting the right answers.

9 And we will talk more about those I  
10 think later.

11 DR. ANDREWS: I also want to thank  
12 Carol and Almut for a fantastic presentation  
13 and insight into this area.

14 It was funny, I was talking to some  
15 pharmacists and physicians leading up to this,  
16 and I asked a physician about the PPI, and he  
17 said, oh the legal document. Which was an  
18 interesting comment.

19 And I started to think about this,  
20 and I saw your future research questions here,  
21 what criteria for CMI content selection should  
22 be used? And I spotted legal protection.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And I'm in total agreement with  
2 what John is saying here, because obviously  
3 there seems to be two different purposes, two  
4 different levels of information. The irony of  
5 this is - I notice this is a different federal  
6 agency that dealt with consumers and the  
7 processing of advertising - is that small  
8 print disclosures or disclaimers did not get  
9 you off the hook in many cases at the Federal  
10 Trade Commission, the Kraft case, Stouffer  
11 case, and others, which is interesting, it's  
12 more consumer focused on that.

13           But the other thing I noticed, and  
14 I wanted to ask Carole and Almut if they had  
15 noticed that the CMI has moved toward more of  
16 a legal document, like the PPI, less consumer  
17 friendly. And then I'm thinking of analogies  
18 of direct-to-consumer advertising, the OTC,  
19 drug facts, compared to like a brief summary,  
20 the PPI.

21           So I just wanted to know their  
22 thoughts on the CMI and how that might have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 moved over time.

2 DR. KIMBERLIN: I was on the  
3 expert panel for the 2001 evaluation as well.

4 Certainly there are more items of  
5 information, just more facts presented now, in  
6 most of the leaflets. But it is highly  
7 variable. And I think the disclaimers, and  
8 the extensive list of side effects, and some  
9 repetitive precautions and contraindications,  
10 sounds like it's written for legal purposes.

11 I mean it reads like that, so I  
12 would say that that has been more of a focus,  
13 certainly now in the CMI, that we evaluate in  
14 2008.

15 And those - but those examples, I  
16 thought what was interesting is how much  
17 variability that has to be attributed at the  
18 pharmacy level. Like the first Databank, the  
19 extensive 2,500 words, and then the 600 word,  
20 those were exactly the same publisher, exactly  
21 the same data publication. The information  
22 expired at the same time. Those should have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been identical, and they are not. And the one  
2 where the Bible verse was embedded in the CMI  
3 had to be at the pharmacy level.

4 DR. FISCHHOFF: Let's have one  
5 good thing that the staff has done is to get  
6 us first in line at the food court by  
7 scheduling. So let's have - we have three  
8 quick comments, and then we will take our  
9 break. We will meet back here promptly at  
10 1:00 because our public comment period will be  
11 there.

12 So Tim, Ellen and then Sue.

13 DR. LESAR: Thank you. I  
14 appreciate the presentation. As someone whose  
15 desk is 10 steps away from a counter where we  
16 are handing these CMIs over regularly to our  
17 patients, and listening to conversations, I  
18 wanted to reiterate the point related to what  
19 are we here for, what is the actual outcome.

20 And the criticality of the outcome  
21 is extremely important, and coming from the  
22 drug safety side, we sit on where we sit and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evaluate drugs and their safety versus  
2 benefit. Many of the drugs are at the margin  
3 of - the risk-to-benefit ratio is pretty  
4 marginal at best, and only achieved in  
5 controlled situations. Certainly when we take  
6 these drugs out into the public domain, they  
7 are not used as well, they are not used as  
8 specifically, and they are used in patients at  
9 a greater risk.

10 So we know we see poorer outcomes  
11 in terms of efficacy and higher rates of  
12 adverse events. And so the critical point is,  
13 if we know that these drugs have marginal  
14 benefit to risk, it is certainly important how  
15 patients use the medications, and that they  
16 have the appropriate behaviors that optimize  
17 outcomes and reduce risk, is very critical.  
18 And that is what we are talking about, how  
19 does that occur.

20 Really it occurs through this  
21 communication that we are talking about. And  
22 while I appreciate the ability for experts to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review documents, for certain criteria, I also  
2 go back to some of the things we learned in  
3 drug safety, which is surrogate endpoints are  
4 often questionable, and lead us astray.

5           So sometimes I believe that  
6 evaluation of a document for its meeting  
7 certain criteria is really a surrogate  
8 outcome. What we really can do - this is just  
9 throwing out some suggestions - is thinking  
10 about the med guides, which often are  
11 targeted, looking for specific targeted  
12 behaviors to occur where that patient or  
13 warning, whether or not those couldn't be  
14 specifically evaluated whether that behavior  
15 that you want that patient to have, as we were  
16 saying, whether they know when to get a lab  
17 test, or what to ask the physician for, it  
18 seems to me that that could actually be tested  
19 to see if the documents actually make that  
20 patient behave. That is, did you take the  
21 medication before food or at bedtime and at  
22 the right time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So you are actually asking the  
2 endpoint behaviors in trying to determine  
3 whether that had the impact.

4           So I would stress that I think much  
5 of the effort I think should go toward trying  
6 to measure outcomes rather than criteria that  
7 are varied in surrogate point, like formatting  
8 and things like that, I think those things  
9 lead us to that point and help us, but I think  
10 that outcomes are extremely important.

11           DR. FISCHHOFF:       Ellen and then  
12 Sid. And I understand that you will be here  
13 through the meeting, so if we have additional  
14 questions that we can ask you later on.

15           Okay, thank you.

16           DR. PETERS:        I enjoyed your  
17 comments. Your comments, as well as some of  
18 the other ones, are pointing towards a  
19 discussion we have had before about risk  
20 communication really needing to be more of a  
21 strategic process. And so you were just  
22 talking about some drugs having really clearly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a marginal risk-benefit kind of ratio, and so  
2 that the kinds of information perhaps that  
3 needs to be prioritized in terms of consumer  
4 perception, and tested in terms of consumer  
5 perception, has to do with careful directions  
6 for use, and for monitoring of side effects.

7 Other drugs might be a little  
8 different. But if we think about risk  
9 communication as a strategic process, part of  
10 what I think we need to do is, we need to  
11 identify who is going to identify this most  
12 important information?

13 And it seems to me that this  
14 discussion needs to be not just part of this  
15 committee, but it needs to move back perhaps  
16 into the drug review panels, with people who  
17 are evaluating the drugs themselves, at the  
18 same time evaluating what is it that is the  
19 key information here that therefore should  
20 inform how we actually communicate this to  
21 patients, whether it's in a CMI or a PPI or a  
22 med guide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FISCHHOFF: Thank you.

2 Sid.

3 DR. WOLFE: Just a couple of quick  
4 comments. One, the voluntary versus the more  
5 preferable I think regulatory nature of this  
6 is why at the level of the pharmacy as was  
7 very almost comically pointed out in this nice  
8 presentation that we just heard, the  
9 pharmacist decides I only want to give this  
10 part out or that part. And that is perfectly  
11 consistent with the voluntary approach. I  
12 think this is just a good argument against the  
13 voluntary approach.

14 The other thing, in terms of what  
15 you need to know, the priority kind of thing,  
16 the FDA went through a very good process, and  
17 many more than we participated in commenting  
18 on the new design of the professional  
19 labeling. You now have - it's coming in over  
20 a longer period of time than I would like -  
21 but when we new drug comes on the market, up  
22 front are the most important things that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 physician needs to know.

2 Now there may be some difference,  
3 and there probably are differences between  
4 what the physician needs to know and what the  
5 patient needs to know, but there is a lot of  
6 overlap, and I think this can be an important  
7 guidance towards thinking about the design of  
8 medication guides, the evaluation of them, and  
9 so forth.

10 DR. FISCHHOFF: Okay, thank you.

11 So I think Lee will give us some  
12 last minute instructions, then we will meet  
13 back here at 1:00 o'clock.

14 DR. ZWANZIGER: Thank you very  
15 much.

16 I just wanted to remind committee  
17 members that when we adjourn temporarily,  
18 please don't continue discussion off the  
19 record, and I'd like to ask anybody who is  
20 planning to speak in the open public hearing  
21 today to come and see me just for a moment.

22 Thanks.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Whereupon, the above-entitled matter went off  
2 the record at 11:52 a.m. and  
3 resumed at 1:04 p.m.)

4 OPEN PUBLIC HEARING

5 DR. FISCHHOFF: Okay, let me  
6 welcome everyone back for the open public  
7 hearing portion of our meeting.

8 There is some - I guess it's my  
9 turn to do the official announcements. So  
10 both the Food and Drug Administration, FDA,  
11 and the public, believe in a transparent  
12 process for information gathering and decision  
13 making.

14 To ensure such transparency at the  
15 open public hearing session of the advisory  
16 committee meeting, FDA believes that it is  
17 important to understand the context of an  
18 individual's presentation.

19 For this reason FDA encourages you,  
20 the open public hearing speaker, at the  
21 beginning of your written or oral statement,  
22 to advise the committee of any financial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relationship that you may have with any  
2 company or group that may be affected by the  
3 topic of this meeting.

4 For example the financial  
5 information may include a company or a group's  
6 payment of your travel, lodging or other  
7 expenses in connection with your attendance at  
8 the meeting.

9 Likewise, FDA encourages you at the  
10 beginning of your statement to advise the  
11 committee if you do not have any such  
12 financial relationship.

13 If you choose not to address this  
14 issue of financial relationships at the  
15 beginning of your statement it will not  
16 preclude you from speaking.

17 I should note that in addition to  
18 the statements that you will be hearing  
19 presented orally the committee has also  
20 received written statements which are in the  
21 folders of the committee members.

22 So we have the following people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701