

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

Science Board to the FDA Committee Roster

February 24, 2009

8:05 a.m.

Hilton Washington DC North/Gaithersburg

Gaithersburg, Maryland 20879

1           Dr. McNeil: Today we're going to dive  
2 into a number of very important issues we talked  
3 about addressing at our last meeting.

4           Before we do that, though, I'd like to  
5 introduce several new members of the Science Board.  
6 As you know, earlier -- at the end of last -- I  
7 guess it was in the middle of last year -- Dr. von  
8 Eschenbach indicated that he was increasing both the  
9 size of the Science Board, and the frequency of our  
10 meetings, and we are benefiting enormously from  
11 several individuals who have joined the Science  
12 Board.

13           So, what I'd like to do is tell you each a  
14 little bit about them, and I'll go through their  
15 names in alphabetical order. And then for those of  
16 you whom -- who are old, I'm going to ask you -- I'm  
17 just -- old -- that's not quite the right word. For  
18 those of you who are more seasoned in terms of your  
19 membership on this Board, I'd like you to say a word  
20 about who you are.

21           But let me just start with the new  
22 members. So, alphabetical order: Dr. James Broach,

1 he's currently Associate Chair of the Department of  
2 Molecular Biology at Princeton. Background includes  
3 service on the Executive Committee of the Cancer  
4 Biology Training Consortium, and the New Jersey  
5 Commission on Cancer Research, and he's published  
6 extensively in his field. So, Dr. Broach.

7 Dr. John Floros, is not here. Is a  
8 Professor and head of the Department of Food  
9 Sciences at Penn State, immediate past president of  
10 Institute of Food Technologists, and a member of the  
11 Board of Directors of the Council of Food Science  
12 Administrators. And we'll be looking forward to his  
13 input into a number of areas related to some of the  
14 food issues that we've started to deal with -- or,  
15 that we started to deal with last year.

16 Dr. Sangtae Kim, there he is, is Executive  
17 Director of the Morgridge Institute for Research at  
18 the -- in Madison, Wisconsin, and distinguished  
19 Professor of Mechanical and Chemical Engineering at  
20 Purdue. Expertise includes the role of cyber  
21 infrastructure at the intersection of the  
22 biological, computational, and mathematical

1 sciences, and the link between informatics and data-  
2 intensive processes in FDA-regulated industries.

3 Dr. Kushner, Frederick Kushner, is a  
4 consultant, and he currently serves as the Medical  
5 Director in the Heart Clinic of Louisiana in  
6 Marrero, and has academic appointments as Clinical  
7 Professor of Medicine, and Associate Clinical  
8 Professor of Community Medicine at Tulane. He will  
9 bring a number of insights to us from his role as a  
10 practicing physician. As you know, we frequently  
11 bump into areas where we really want to know more  
12 about what is happening in medicine, and the  
13 intersection between that and the practice of --  
14 practices of the FDA will be important.

15 Dr. Russell Pagano is a Lineberger  
16 Professor of Cancer Research, and Director Emeritus  
17 at the University of North Carolina's Lineberger  
18 Comprehensive Care Center. And he's had extensive  
19 experience in infectious diseases, microbiology,  
20 oncology and virology, as well as genetics and  
21 microbiology. Not much missing.

22 So, Alan Russell is a Director of the

1 McGowan Institute for Regenerative Medicine at the  
2 University of Pittsburgh. And he's an expert in the  
3 field of regenerative medicine, and that was an area  
4 -- you may recall -- was one of the issues that was  
5 highlighted by the review of the FDA Science a  
6 couple of years ago.

7           And Dr. Stephen Spielberg is the Marion  
8 Merrell Dow Chair in Pediatric in Pharmacogenomics  
9 and Director of the Center for Personalized Medicine  
10 and Therapeutic Innovation at Children's Mercy  
11 Hospital in Kansas City. And he will bring an  
12 expertise in both pediatrics and toxicology -- both  
13 are areas that we have been, and will continue to  
14 deal with, in this Science Board.

15           Now, I wonder having introduced the new  
16 members, if we could just say a couple of words  
17 about ourselves among the more established members,  
18 so I no longer say "older" members.

19           So, Cathy, why don't you start?

20           Dr. Woteki: My name is Cathy Woteki, I'm  
21 currently the director, globally, for Scientific and  
22 Regulatory Affairs for Mars, Inc. My background is

1 in human nutrition and food safety, and  
2 epidemiology, and in the past I served as the  
3 Undersecretary for Food Safety.

4 Dr. Parkinson: I'm David Parkinson, my  
5 background is medical oncology, and I've spent many  
6 years in therapeutics development in cancer  
7 medicine.

8 Currently I'm CEO of a small start-up bio-  
9 tech in the San Francisco area, focused on  
10 personalized medicine.

11 Dr. McNeil: Dr. Russell, do you want to  
12 say anything to expand? Okay.

13 Dr. Pagano, we introduced you, okay.

14 Jack, you want to -- ? Okay.

15 Dr. Linehan: I'm Jack Linehan, and I'm a  
16 Professor of Bioengineering and Medicine at  
17 Northwestern University, and Director of the Center  
18 for Translational Innovation there, and I'm also a  
19 Professor of Bioengineering at Stanford in the area  
20 of bio-design. And my area of interest, relative to  
21 the Board, is biomedical engineering and medical  
22 devices.

1                   Dr. King: Yes, good morning. I'm Lonnie  
2 King, I'm currently Director of the National Center  
3 for Zoonotic, Vectorborne and Enteric Diseases at  
4 CDC in Atlanta. In the past, I've been a Dean of  
5 the College of Veterinary Medicine at State, and  
6 Administrator of Animal Plant Health Inspection  
7 Service of USDA. My interests are in epidemiology,  
8 preventative medicine at CDC, working in the areas  
9 of food safety, emerging infectious diseases and  
10 cellanosis.

11                   Dr. Hewlett: My name is Erik Hewlett, I'm  
12 a Professor of Medicine and Pharmacology at the  
13 University of Virginia. I'm the Chairman of the  
14 Board of the University of Virginia Patent  
15 Foundation. I have a research program in bacterial  
16 toxin pertussis, anthrax, and most recently C.  
17 difficile and, that's all.

18                   Dr. FitzGerald: I'm Garrett FitzGerald,  
19 my name is thus, and I'm a Professor of Medicine,  
20 Pharmacology at the University of Pennsylvania,  
21 where I direct the Institute for Translational  
22 Medicine and Therapeutics, and Chair of the

1 Department of Pharmacology.

2 Dr. Applebaum: Thank you. Rhona  
3 Applebaum with The Coca-Cola Company. I'm the Chief  
4 Scientific and Regulatory Officer, my background is  
5 nutrition, food micro, and food safety.

6 Dr. McNeil: Well, thank you all, very  
7 much. I think you can see, since our last meeting,  
8 we've expanded the expertise on this Board  
9 significantly, and at the same time, as you'll learn  
10 from Dr. Torti, and from the discussions of several  
11 of the presenters today, we're expanding  
12 considerably, the areas that we're diving into. And  
13 we can expect, as we said yesterday, much, much more  
14 involvement from this Board in the work of the  
15 Agency.

16 So, I think with that, I'd like to turn it  
17 over to Dr. Torti.

18 Oh, I'm sorry, okay. Doug, do you want to  
19 introduce yourselves? I'm sorry, we'll go down this  
20 side of the room, as well, I'm sorry about that.

21 Dr. Throckmorton: Good morning, I'm Doug  
22 Throckmorton, I'm the Deputy Center Director in the

1 Center for Drug Evaluation and Research.

2 Dr. Sackner-Bernstein: Hello, I'm

3 Jonathan Sackner-Bernstein, Associate Director,

4 Center for Devices and Radiologic Health.

5 Dr. Sundlof: Good morning, I'm Steve

6 Sundlof, Director of the Center for Food Safety and

7 Applied Nutrition.

8 Dr. White: Good morning, I'm David White,

9 I'm the Director of the Office of Research at the

10 Center for Veterinary Medicine.

11 Dr. Slikker: Good morning, I'm Bill

12 Slikker, Director of the National Center for

13 Toxicological Research, FDA.

14 Mr. Chappell: Good morning, I'm Mike

15 Chappell, Acting Associate Commissioner for

16 Regulatory Affairs.

17 Dr. Acheson: Good morning, David Acheson,

18 Associate Commissioner for Foods.

19 Dr. Lutter: Good morning. Randy Lutter,

20 Deputy Commissioner for Policy.

21 Dr. Alderson: Norris Alderson, Associate

22 Commissioner for Science.

1           Dr. Pena: Carlos Pena, Executive  
2 Secretary of the Science Board.

3           Good morning, some members of the Science  
4 Board, members of the public, and FDA staff.  
5 Welcome to this meeting. The following announcement  
6 addresses the issue of conflict of interest with  
7 respect to this meeting, as being part of the public  
8 record.

9           The Science Board will hear about and  
10 discuss updates from the Agency on the continued  
11 assessment of the updating of FDA regulated  
12 products. The Science Board will hear about the  
13 plans for the following: The review of FDA Center  
14 Science Programs, the review of each Center's  
15 projects within science and priority areas, and the  
16 handling of biospecimens used for genomic and  
17 proteomic analyses.

18           The Science Board will also hear updates  
19 from two working groups on economic and motivated  
20 adulteration of FDA-regulated products, and rapid  
21 detection of salmonella in foods.

22           Based on the submitted agenda for the

1 meeting, and all of the financial interests recorded  
2 by the committee participants, it has been  
3 determined that all participants have been cleared  
4 for each topic on the agenda, and do not have  
5 financial interests that present a potential for  
6 conflict of interest for each meeting topic at this  
7 meeting.

8           In general, the committee participants are  
9 aware of the need to exclude themselves from  
10 involvement in discussion of topics if their  
11 interests would be affected, and their exclusion  
12 would be noted for the record. With respect to all  
13 other participants, we asked in the interest of  
14 fairness, that they address any current or previous  
15 financial involvement with any firm relevant to a  
16 topic on the agenda, or whose product they may wish  
17 to comment upon.

18           We have one open public comment period  
19 scheduled for approximately 1:00 p.m. I would just  
20 remind all to turn on your microphones when you  
21 speak, so that the transcriber can pick up all that  
22 you state, and turn them off when you're not

1 speaking.

2 I would also request all meeting  
3 attendees, including the public, to turn their cell  
4 phones and Blackberries to silent mode.

5 Thank you.

6 Dr. McNeil: Thank you very much, Carlos,  
7 I apologize for forgetting that important  
8 announcement.

9 Okay, Dr. Torti, you're on.

10 ACTING COMMISSIONER'S REPORT, DR. FRANK M.  
11 TORTI, M.D., M.P.H., FOOD AND DRUG ADMINISTRATION  
12 ACTING COMMISSIONER

13 Dr. Torti: Good morning. Thank you all  
14 for attending this meeting of the Food and Drug  
15 Administration Science Board.

16 I hope all of you here today will welcome  
17 our members of the Science Board and especially  
18 those new members who don't yet know what they're  
19 getting themselves into, so we welcome them.

20 As you know, the Science Board is our  
21 advisory group on emerging scientific issues. They  
22 keep us abreast of the latest scientific

1 developments in the academic community and in  
2 industry.

3           This meeting, I think, represents a  
4 completely unheralded, but nonetheless important,  
5 juncture in our Agency. Today we've initiated a new  
6 schedule under which the new Science Board will meet  
7 four times per year -- twice as often as it has in  
8 the past.

9           Today is also the first meeting of the  
10 Science Board since the revision of our charter to  
11 enlarge the membership. Dr. McNeil introduced our  
12 new members, and I want to welcome these new members  
13 to our FDA family.

14           I want to tell you that not all of the  
15 slots in the Science Board membership have been  
16 filled, and we have left a number of slots open for  
17 the new Commissioner to appoint, as well.

18           I want to thank the nominations from  
19 industry, from the public, and especially from the  
20 deans of more than 100 medical schools, to whom I  
21 wrote for help in identifying Board members, and who  
22 have provided me with many more qualified names than

1 we can appoint. I want to assure them, and others,  
2 that these names will be reviewed for vacancies on  
3 our many expert panels. We intend to put everybody  
4 to work.

5 So, let me get back to the reason why this  
6 is an important juncture for the FDA. It's not  
7 because of the number of meetings that has  
8 increased, nor the size of the Board. But rather, I  
9 think it's our deliberate strategy to engage the  
10 external scientific community in helping us approach  
11 some of the most vexing problems we face at the FDA;  
12 strategy that I've crafted, along with Dr. McNeil.  
13 And we began this process -- as many of you know --  
14 in October, and it's fully operational today, at  
15 today's meeting.

16 Today I'm here not in one, but in two  
17 capacities, reporting as FDA Chief Scientist, and  
18 also as FDA Acting Commissioner, so I have the  
19 opportunity to give two talks. Mercifully, I've  
20 chosen the single, rather brief introduction here,  
21 this morning.

22 So, I want to begin the morning by making

1 good on a pledge that I made last May at a Science  
2 Board meeting, by presenting to members of the Board  
3 my report, which is entitled, "Status of FDA  
4 Regulatory Science, Progress, Plans, and  
5 Challenges." It provides a roadmap for FDA science,  
6 and responds to many of the concerns raised by the  
7 GAO, the Science Board report of 2007, the reports  
8 of the National Academy of Sciences, a number of  
9 them, and others. It's my hope that this report  
10 will become an annual event for future Chief  
11 Scientists.

12 This morning, I want to begin by taking a  
13 minute to review the challenges and opportunities we  
14 face. The FDA has lost some credibility in recent  
15 years. This should come as no surprise to anyone in  
16 this room.

17 So, how do we regain this credibility? To  
18 the public, we owe a clear, timely, accurate  
19 information on the risks and benefits of the  
20 products we regulate. For many issues of risk,  
21 science does not have all of the answers, and the  
22 FDA must be honest and forthcoming about our

1       uncertainty. Sometimes hard for a regulatory agency  
2       to do.

3               When the science is complex, and can not  
4       be encapsulated in a sound bite, we must recognize  
5       that oversimplification becomes a kind of  
6       demagoguery in its own right.

7               To members of Congress, and others who  
8       oversee the Agency, we need to rebuild our  
9       partnership through engaging in collaborative  
10      efforts to reshape the FDA.

11              To the academic community, and others, we  
12      propose a much closer, and broader engagement in  
13      tackling scientific issues that underlie FDA  
14      regulation. Some of these collaborative plans will  
15      be evident this morning, and the rest of the day.

16              To the companies we regulate -- and I know  
17      some of them are in this audience -- we acknowledge  
18      their creativity, and imagination in developing new  
19      products. We need new products, and we need safe  
20      products. The issues of detection of adverse events  
21      -- particularly rare events -- is a scientific  
22      challenge. It involves informatics, personalized

1 health records, mechanisms for coding and tracking  
2 devices and drugs, interrogation of complex data  
3 sets with a high signal to noise ratio. This is  
4 regulatory science, and we must tackle these issues.

5 For my own family of FDA colleagues, we  
6 must create an environment where politics has no  
7 standing in regulatory decisions. Where scientific  
8 discourse and reasoned disagreements are accepted  
9 and respected, and we need to reward excellence more  
10 and better.

11 Even in this transition, when we're  
12 awaiting a new Secretary of Health, and a new FDA  
13 Commissioner, there is a great deal that we should  
14 do, and must do, to drive these processes forward.  
15 And we have begun, and you'll hear more throughout  
16 the course of the day, about some of the initiatives  
17 we have engaged.

18 Can I have the first slide, please? Okay.

19 So, I want to just highlight, now, a few  
20 of the areas where I think we've made some progress.  
21 And it's not that in any of these areas there's not  
22 a whole lot more that we need to do. But I want to

1 start with this idea of defining some overarching  
2 scientific priorities for the Agency, how we've  
3 engaged that, and where we need to go.

4 So, it began with meetings, and a number  
5 of meetings that I had over the course of the --  
6 this last fall -- with the Center directors. We sat  
7 around a table and began to develop and identify the  
8 top priorities for science -- for regulatory  
9 science, and their Centers.

10 We then said to ourselves, "Well, it's one  
11 thing to identify those overarching priorities, but  
12 now, how are we going to tackle those issues that  
13 are raised by those priorities?" So, for each of  
14 the priorities that we identified, we then said,  
15 "Let's develop some projects that will tackle those.  
16 What is the hypothesis? What are the methods? What  
17 are the deliverables? What is the timetable? And,  
18 yes, how much is it going to cost?" And so we've  
19 done that.

20 And then we asked that this be, not a  
21 process that occur only within the confines of the  
22 FDA, but we're going to bring these projects to the

1 Science Board to review, so that there can be an  
2 interaction between the FDA and the Science Board,  
3 regarding these projects. And as some of you know,  
4 David Parkinson has been appointed to head a  
5 subcommittee, and he'll report on this later today,  
6 to engage the Center Directors on this issue.

7           What are those priorities? It's  
8 remarkable that, as we asked each Center for three,  
9 we would have had well over 20 priorities, but  
10 because there's so much overlap among the Centers,  
11 there are only 7 priorities, and they're shown here.  
12 The rapid sensitive high through-put detection of  
13 contaminants, so rapid detection being one.  
14 Biomarkers for safety and efficacy, adverse event  
15 detection, and analysis, clinical trial design and  
16 analysis, personalized medicine and nutrition,  
17 microbial ecology and contamination, mitigation  
18 strategies, and manufacturing science.

19           So, the other thing that was clear to us  
20 is that these kind of projects can not be tackled,  
21 sort of, on a year-to-year, or a one-year basis.  
22 That a good scientific project of the dimension that

1 we're asking for is going to have to spread over a  
2 number of years. And so, we're trying to plan for a  
3 multi-year attack at these issues.

4 One of the things we haven't done, yet,  
5 and I just wanted to acknowledge it, is tackle these  
6 problems from the outside. So, as many of you  
7 recall when I first came here, we proposed Centers  
8 of Excellence with academia, where the FDA could  
9 reach out to academia to approach these problems.

10 So, we're not there yet, we'd like to get  
11 there, we've got to put resources on the table in  
12 order to do this, and I hope in the coming weeks and  
13 months, we're going to be able to look at that, and  
14 perhaps begin that project, as well. We'd like to  
15 take two approaches to this issue, if we can.

16 So, let me turn now to career development  
17 and training, and essential -- an essential -- piece  
18 of our strategy at the FDA.

19 And I want to take just a few minutes to  
20 go over the fellowship program, which I think is the  
21 -- what we call the Commissioner's Fellowship  
22 Program, which is an extraordinary opportunity for

1 the FDA, and for others to engage with the FDA.

2           And one of the handout on the table, and I  
3 hope you have a chance to look at it, are the -- the  
4 first-year fellows, actually, projects -- the titles  
5 of their projects -- if you ever wanted to  
6 understand the relationship between what we're doing  
7 and regulatory science, you need only take a look at  
8 the titles of some of those projects. Better still,  
9 would be to meet the fellows themselves, and perhaps  
10 at some point we can arrange for you to do that.

11           So, the vision for the program was to  
12 bring the best and brightest folks to the FDA and  
13 new science, and new scientists. We wanted them to  
14 challenge convention, and we would develop a plan  
15 where they would be exposed to all of the FDA  
16 centers, not just one center, regardless of where  
17 their preceptor was located. And, clearly this was  
18 a program that would serve not only the fellow, but  
19 the preceptor.

20           So, the class of 2008, the first class of  
21 fellows, we recruited 50 fellow, we're in the  
22 process of recruiting another 50 for 2009. I point

1 out to you that this number is actually -- although  
2 it seems large, is relatively small. And we wish it  
3 could grow, in future years.

4           If we have a steady state of 100 fellows,  
5 and we divide those among the Centers, that only  
6 means that we'll have 14 or 15 fellows per Center.  
7 And if we hope, say, that half of them will stay at  
8 the Agency, that's a relatively small number within  
9 the context of the FDA. And yet, these are  
10 extraordinary people who will change the face of the  
11 FDA over time.

12           So, let me give you just a quick -- and  
13 I'm not going to go over this in detail, but you  
14 have to have the context of what we're trying to do  
15 here.

16           So, in the -- this is just the didactic  
17 coursework. In the first semester we have courses  
18 in FDA law and public policy, negotiation and  
19 influencing, ethics, decision making, international  
20 issues, conflict management, presentation skills,  
21 decision making, et cetera, so they get a broad  
22 sense of the issues broadly related to FDA law,

1 policy, leadership.

2           Then in the second semester, they drill  
3 down into some of the sciences which are absolutely  
4 essential to the FDA -- statistical methods and  
5 applications, a course taught by the University of  
6 Maryland School of Public Health. A course in  
7 population science and epidemiology, another course  
8 taught by Maryland. Clinical trial design, and  
9 evaluation, a course taught by Johns Hopkins School  
10 of Public Health. And in the summer they have a  
11 course in drug development, sort of an MBA view of  
12 drug development taught by the Duke Business School.  
13 So, sort of a very intensive background in the -- in  
14 these areas.

15           In the third semester, the second year,  
16 now they are exposed in detail, and each of the  
17 Centers takes on the responsibility of teaching them  
18 the science -- regulatory science -- of FDA  
19 regulation, for each of the Centers. Regardless of  
20 where the fellow is, they are exposed to all of this  
21 information.

22           And then in the fourth semester, they

1 tackle such issues as process control engineering  
2 and chemistry, risk assessment, risk management, et  
3 cetera.

4 That's a part of their program. The  
5 largest part of their program is to develop a  
6 hypothesis-driven project with their preceptor. A  
7 detailed research proposal, oral presentation, final  
8 report, which is going to engage 60 to 70 percent of  
9 their time.

10 And I go over this in some detail, because  
11 I know this is important to the Science Board, and  
12 we've talked about how this would be framed, so now  
13 you have an opportunity to see how it actually is  
14 framed. It's going quite well.

15 But career development is more than just  
16 the fellow. So, we're trying to do a number of  
17 other things. Career development also involves the  
18 preceptors in the fellowship program, as I've  
19 mentioned. We've begun to develop a cross-Center  
20 sabbatical, so FDA scientists can actually have an  
21 opportunity -- someone in the food agency, for  
22 example, can have a sabbatical in drugs, and vice

1       versa.  Relatively low-cost, but high-yield, in  
2       terms of interactions.  We want to increase the  
3       number of sabbaticals in academia, and we're working  
4       on projects to do that.

5                 We've established a distinguished lecture  
6       series, now, that are FDA-wide, six times a year.  
7       And the only thing I've asked is of this lecture  
8       series is that they -- it reflect back on the over-  
9       arching priorities that the Center Directors have  
10      identified.  So, it sort of targets back to those  
11      issues.

12                So, Shawn Kennedy, from the University of  
13      Minnesota, is one of the world's experts on  
14      economically motivated adulteration.  You'll hear  
15      about that today from Randy Lutter, so we're  
16      bringing in outside people to approach these issues,  
17      as well.

18                We have also engaged a four times per  
19      year, FDA-wide symposium, called the Science First  
20      Symposium.  And again, the symposia will reflect  
21      back on the overarching priorities of the Center  
22      Directors -- the first one is in April of 2009, and

1 will involve nanotechnology, and it will actually be  
2 an international symposium, there.

3 We want to try and increase funds for  
4 workshop attendance of FDA scientists. We have  
5 these FDA Chief Science Challenge Grants that we've  
6 just initiated.

7 And I just have to say a word about this,  
8 because -- just so you don't think it's all rosy,  
9 for example. I was able to sort of dig up \$500,000  
10 for these projects -- that's about 100 -- and then  
11 we're going to fund about four of them, about  
12 \$100,000 to \$125,000 per project. And we thought  
13 we'd have a handful of applications. We had,  
14 actually, 88 applications for what is, essentially,  
15 four -- what will be -- four awards. We'll be  
16 funding that at a 5 percent level.

17 So, there's a part of me that says out of  
18 those 88 applications, 80 of them are meritorious  
19 and worthy of funding. So, we still have some jobs  
20 to do there, in bringing this kind of, really,  
21 innovative regulatory science to our own scientists.

22 And we've worked with the oncology group,

1 in particular, to develop a very detailed  
2 professional development plan, that I hope can be  
3 used by others, as well.

4 So, this gives you a little sense of the  
5 career development approaches we've taken.

6 I want to talk about, next, about the  
7 genomics initiative, something that was dear to the  
8 heart of the FDA Science Board Report in 2007. So,  
9 based on the Science Board recommendations -- but  
10 also based on an internal retreat of the FDA on  
11 genomics -- we decided to appoint, in the Office of  
12 the Chief Scientist, a Genomics Coordinator for the  
13 FDA, Dr. Elizabeth Mansfield, who was to speak to  
14 you today, but I've just been told, actually injured  
15 her back last night and will not be here.

16 But Liz is great, and she is going to take  
17 on some very important tasks that you have  
18 recommended, to integrate that various "omics" --  
19 not only genomics, but proteomics, and metabalomics  
20 -- across the FDA, and to be sure it's well-  
21 integrated in regulatory decisions; to coordinate  
22 genomic research and data analysis, to develop for

1 us some enhanced informatics, analytic capability,  
2 so that we can actually share this across Centers.

3           It exists in many of the Centers. We're  
4 not going to duplicate what exists in the Centers,  
5 Liz's job is to make sure it's coordinated so  
6 everybody at the FDA can accept the capabilities.  
7 And, in doing so, create a very small, but  
8 functional core facility, to coordinate these  
9 efforts. So, I think that's a move in the right  
10 direction, and we're really pleased to have  
11 appointed Dr. Mansfield.

12           The fourth area of progress that -- in  
13 regulatory science that I want to speak to, is just  
14 the importance of actually communicating that  
15 science.

16           So, in November of 2008, we held our  
17 first-ever Science Writers Symposium --  
18 extraordinarily well-attended, we actually had to  
19 limit the attendance of science writers -- where  
20 they were able to hear, in detail, about some of the  
21 extraordinarily innovative science that is done at  
22 the FDA and how it relates to public health, and the

1 FDA mission.

2 I think we plan now to do this, at least  
3 as an annual event, and to bring writers here so  
4 that they can begin to understand better, and in  
5 more depth, the quality and the importance of  
6 regulatory science to the FDA. I'm not sure that  
7 that message is always well communicated, so I think  
8 this is a good way to do it.

9 We are also, now, quite a way along to  
10 developing this FDA Journal of Regulatory Science.  
11 It's not so different than the kind of journals that  
12 the NCI has, or the CDC has, that will allow our  
13 scientists to report on issues of regulatory science  
14 in a peer-reviewed journal, but allow others, as  
15 well, outside the Agency to publish these more  
16 specific regulatory issues. So, we're excited about  
17 that, and we hope to have it up and running, and to  
18 announce a editor-in-chief by July.

19 Next is an area of informatics. And here  
20 is an area that we want to engage the Science Board  
21 even more. The FDA is -- and again, highlighted in  
22 the Science Board Report of 2007 -- was the need to

1 modernize our informatics structure. And this is a  
2 major effort that is ongoing at the FDA right now.  
3 We've had Dr. Sangtae Kim, who is on the Science  
4 Board, come and review this fall, the progress of  
5 our informatics initiative, to make sure it's on-  
6 target from an FDA scientific standpoint. And I'm  
7 going to ask today, Dr. McNeil, to consider  
8 appointing a subcommittee of the Science Board on  
9 informatics to advise us, as we engage this  
10 endeavor.

11 I think this is the kind of thing where  
12 you can't do too much thinking, inside the Agency,  
13 and outside the Agency -- you've got to get it  
14 right. There's a long history of big informatics  
15 initiatives in other places where it was it was not  
16 right the first time, and that is very costly. So,  
17 we want to get everyone's input into what we're  
18 doing, make that as transparent as possible, and be  
19 sure that this informatics initiative -- which is  
20 absolutely essential to the FDA -- is done  
21 correctly.

22 So, I think in some ways, one of the major

1 areas of progress which sort of gets missed  
2 sometimes is our partnership with the Science Board.  
3 And really, that relates to the agenda of the  
4 Science Board today. Because if you take a look at  
5 it today, and the pieces of it, it really does  
6 represent a new way of engaging the external  
7 community, our scientific community with the FDA.

8           So, you're going to hear this morning,  
9 about an update of an adventure we began this fall,  
10 to begin to bring modern science to the issue of  
11 rapid detection, in general, and salmonella,  
12 specifically. And this is an important area, and  
13 one where science can contribute substantially, to  
14 these issues.

15           You're going to hear about our approach to  
16 a proactive look at economically motivated  
17 adulteration. When one looks at melamine, when one  
18 looks at heparin, and other contaminants, there's an  
19 economic basis to those adulterants. If one can  
20 anticipate those, then one can do a much better job  
21 at screening and anticipating future economic  
22 adulterants, instead of just waiting until one

1 appears. So, this is an important strategy, Dr.  
2 Lutter is going to address where we are and where  
3 we're going.

4 We are also going to hear from Dr.  
5 Parkinson about the peer review of the FDA projects,  
6 which I've mentioned; Dr. Alderson on the broader  
7 and different kind of review, now not on the  
8 overarching priorities of FDA science, but looking  
9 at each Center from the totality of its science, and  
10 how that meshes with its regulatory mission.

11 You were to hear today, and I may just  
12 take a few minutes in Dr. Mansfield's place, to talk  
13 about one very specific and important issue that  
14 relates to genomics and the FDA, and that is how we  
15 manage biospecimens. You might not think that those  
16 two are related, but they're absolutely essentially  
17 related, and I'll bring that to you today, and we're  
18 going to give you an update on where we stand on BPA  
19 and where we're going in that area, as well.

20 So, the partnership with the Science  
21 Board, the BPA plan. And I want to go over this in  
22 just a little detail, so I'm going to go back to my

1 notes. So, here is where we are with BPA.

2           We've opened the -- as you know -- our  
3 assessment to external scrutiny and advice. We've  
4 developed a draft assessment of BPA exposure from  
5 food contaminant contact substances. We opened the  
6 draft assessment to the public for comment. We  
7 engaged an expert subcommittee of the Science Board  
8 for their review, which we have received at the last  
9 Science Board meeting.

10           I hope it's clear that we are not  
11 required, nor have we traditionally opened such  
12 assessments to public scrutiny. It was our choice  
13 to reach out to the broader community for their  
14 input. This appears to be, sometimes, forgotten,  
15 and I think is worthy of mention.

16           Further, as we reported at the Science  
17 Board meeting in October, any decision should be  
18 informed by an evaluation of the risk of all forms  
19 of exposure. To that end, we have begun to develop  
20 and implement our approach to the assessment of BPA  
21 levels in devices and other medical products, and  
22 you'll hear about that today, as well.

1           It's important to note that we have made  
2 no final decision about the safety of BPA. Our  
3 recommendations are in draft form. We could take  
4 regulatory action tomorrow, based on new scientific  
5 evidence, or based on the reevaluation of current  
6 evidence, such as that requested by the Science  
7 Board.

8           However, we continue to take a number of  
9 approaches to the problem. First, we asked  
10 ourselves what we could do in the meantime, while we  
11 were reviewing the situation. We have convened with  
12 Health Canada a meeting with industry to see to what  
13 extent voluntary efforts could be made to reduce BPA  
14 exposure to infants.

15           Second, we are working to address the  
16 Science Board's comments and suggestions, and today  
17 you will hear and learn of our substantial progress  
18 in that area.

19           Third, we have asked ourselves, "If the  
20 final assessment is that there is currently  
21 insufficient or inadequate evidence to make a  
22 definitive conclusion about BPA at this time, then

1       what evidence would it take for us to make such a  
2       definitive determination?

3               I personally believe that -- to the extent  
4       possible -- we should design these experiments  
5       ourselves. To this end, we have partnered with the  
6       National Toxicology Program of the NIEHS to develop  
7       experimental protocols, each designed so that it  
8       could substantially influence FDA regulatory  
9       decisions. If we can tackle these four protocols,  
10      we will have assured the public that we will not be  
11      in the same position a number of years hence.

12              You will hear about these protocols  
13      briefly today, and it is our intent to provide them  
14      in much more detail to the Science Board for review  
15      in the coming weeks and months.

16              Fourth, and finally, we have also asked  
17      what human, epidemiological evidence might inform  
18      our decisions? And I am very gratified with the  
19      partnership with Dr. Ray Kingston at the NIH, the  
20      help of Dr. Nieder-Ugar, the team at the NHLBI, and  
21      many others at the NIH, the CDC, and the FDA, who  
22      are working on this issue, as well. We are not yet

1 ready to describe this approach in detail, but we  
2 should be able to do so soon, and we will provide  
3 this to the Science Board, as well.

4           So, let me end this presentation by  
5 turning, again, to the issue of public trust. I  
6 spoke at the celebration of the 100th anniversary of  
7 the American Association of Cancer Research this  
8 fall in Roswell Park, where the Association was  
9 founded, and said that the future of the FDA will be  
10 written in its science and its scientists. It is  
11 through the application of science -- and only  
12 science -- that through our regulatory decisions  
13 that we can regain the full public trust.

14           So, thank you for your attention this  
15 morning, I think we have a great agenda.

16           Dr. McNeil: Thank you very much, Dr.  
17 Torti.

18           Are there questions? It's a long --  
19 there's a lot of events going -- a lot of things  
20 going on, here, so I'm sure that there may be some -  
21 - yes, Rhona? Rhona, and then Erik?

22           Dr. Applebaum: Dr. Torti, an excellent

1 presentation. But, I'm still troubled by the fact  
2 that there's not as much emphasis being given on the  
3 importance of communication. And I understand you  
4 have to fix your house, first, absolutely. But in  
5 the period of time that it takes to make sure --  
6 and, again, sound public policy is based on sound  
7 science, so I support that 100 percent.

8 But, in the interim, in the absence of  
9 proactive communication with the public, it's very  
10 worrisome to me to see the declining -- the data, in  
11 terms of consumer confidence in FDA going down.  
12 That is -- that is -- it's bad for a regulated  
13 industry, like mine, it's bad for the public, me, to  
14 have that type of confidence continually being  
15 eroded.

16 And I don't have an answer, I'm just  
17 sharing a concern. But I think it would be in the  
18 best interest -- if I could be so bold -- to really  
19 focus in on, and do what you can -- I know with  
20 limited resources, especially in this challenging  
21 economic environment, it's not easy -- but the  
22 importance of behavior. The importance of how to

1       communicate to audiences. I think it's wonderful in  
2       terms of the outreach to the media, but having these  
3       types of workshops once a year, in the face of  
4       crises that are happening almost on a daily basis, I  
5       think there's a key role, an opportunity for FDA, in  
6       terms of proactivity in that area.

7                 And, again, no answers here, I'm just  
8       sharing with you a very, very sad, and a very  
9       worrisome concern that I have as it relates to FDA's  
10      credibility continuing to be eroded.

11                Dr. Torti: Thanks, Rhona.

12                So, I'll give you two reflections, not  
13      really answers to your question.

14                First of all, I agree with what you say.  
15      I mean, we need to communicate more frequently, and  
16      better. I think there is two sides to  
17      communication. First of all, we have not presented  
18      a communication plan to you, I mean, this is not  
19      part, primarily, of the Science Board issue,  
20      although communicating science certainly would be  
21      one of them. So, when I was talking about  
22      communication, I was talking about a very small

1 slice of our efforts, here.

2 I think it's fair to say, though, that  
3 communication has two pieces. People have to speak,  
4 but then people also have to listen. And, you know,  
5 to the extent that the FDA does have a continuing  
6 and large outreach, I think it's also important that  
7 there be some receptivity to the messages that we're  
8 trying to bring to the community. Perhaps we don't  
9 do them as well as we should, but we have a, you  
10 know, a real commitment to try and communicate fully  
11 and completely to the public, and we will continue  
12 to attempt to do that.

13 Now, we're open to suggestions and ideas  
14 about how to do that better, and you say you don't  
15 have any, but I want to engage you, and others in  
16 the room, to tell us how we can do that better.

17 The other reflection, in any way, is the  
18 idea here is not to drive first, in my mind, toward  
19 public relations, but to actually get things the way  
20 we are -- that we want them, working just the way  
21 that they should be, and then to some extent --  
22 because this is the way you would respect, and I

1 would respect -- have them speak for themselves.

2 Now, there have been resource issues that  
3 have limited our ability to do that, but now things  
4 are improving. And we are going, therefore, to make  
5 major investments in various aspects of the FDA --  
6 not only in FDA science, but in many other aspects.  
7 And we want to certainly communicate those, but we  
8 want to build that strength of the FDA, so that it  
9 is completely apparent to anyone who chooses to  
10 look.

11 So, those are just two reflections on your  
12 comment.

13 Dr. Hewlett: I would like to understand  
14 better the fellowship program, I think that's a  
15 great idea. The topics that are listed here for the  
16 projects -- are these the projects that are being  
17 done? Are these proposals for projects? Some of  
18 them are -- sounds very ambitious for a two-year  
19 program.

20 Dr. Torti: Well, each -- so, the way we  
21 do it is, these are the proposals -- the titles of  
22 the project -- that are reviewed, then, by -- first

1 by the preceptor, so this is a document that is  
2 being, now, vetted with the preceptor, then by a  
3 committee of senior FDA scientists to make sure that  
4 it's doable, that it is reasonable, achievable, et  
5 cetera. So, we have, you know, we have much more  
6 details about these, we have abstracts, we have  
7 little books that will provide much more detail to  
8 you.

9 But this was just to give you a flavor of  
10 how diversified, how interesting, how much they're  
11 focused on regulatory issues. This is just one  
12 piece of that story.

13 Dr. Linehan: Just as a short follow-up to  
14 that comment, about the excellent fellows program,  
15 which I really am excited about -- I understand that  
16 one of the reasons for the program is to train young  
17 men and women in regulatory science, the purpose of  
18 which is to recruit some of the best of them to the  
19 FDA.

20 Dr. Torti: Yes.

21 Dr. Linehan: On the other hand, it's an  
22 opportunity to -- to the public relations aspect --

1 to get the word out about the excellent science  
2 going on at the FDA. I'm hoping that these young  
3 men and women will get the opportunity to present  
4 the findings of their science to the relevant  
5 professional societies, so that it becomes part of  
6 the community.

7 Dr. Torti: Yeah. So, Jack, we actually  
8 have a specifically, sort of, earmarked funds for  
9 them to travel and do that, and it's an expectation  
10 that they do that. So, that's a very important part  
11 of their training.

12 You know, I just want to mention one other  
13 thing about the program. You know, although  
14 primarily these are young folks, they're all folks  
15 who have, you know, who are professionals. They've  
16 finished their M.D., usually a fellowship, as well.  
17 They've completed a Ph.D., so they are substantial  
18 folks in their own right.

19 Many of them are young, but some of them  
20 are mid-career people, who've decided they want to  
21 change and move their career into a more regulatory  
22 focus.

1           If you think about the enormously limited  
2 number of opportunities to actually do that, this  
3 program represents a -- almost unique -- opportunity  
4 for someone to say, "You know, I've had a career  
5 doing X, Y, Z, you know, now I'd like to really  
6 explore, you know, a different career." And these  
7 more senior people actually bring an enormous amount  
8 to the table, as well. Something a little different  
9 than someone that's just starting out their career.  
10 And there are many fewer options to do that than  
11 there are to get a fellowship right out of your  
12 training program. So, this fellowship program has a  
13 lot of dimensions that people don't recognize.

14           Dr. McNeil: Could I just follow-up  
15 quickly on that? Are most of these people coming  
16 from academic centers, or are some coming from  
17 industry, as well?

18           Dr. Torti: Well, you know, all of them --  
19 I would say the majority of them come from academic  
20 centers. If you come from industry, of course, you  
21 have to resign that industry appointment, and you  
22 are actually hired by the FDA, so you have to go

1 through all of the vetting, divestitures,  
2 confidentiality issues that any FDA employee would.  
3 But they come from a variety of past experiences.

4 Dr. Pagano: This is my first look at this  
5 program, and what I see in it is something quite  
6 different from the other part of what you're trying  
7 to do. You're trying to come in from above, and  
8 bring in advice at the top level. This is  
9 potentially a very powerful networking, science base  
10 that's created at the bottom, and then at the bottom  
11 up, because it's going to have big influence.  
12 Because it's based on science, and energy and young  
13 people, or people who want to change their career.

14 And they also -- and I particularly think  
15 is very important -- is the networking that you --  
16 that is built into this, across these very divergent  
17 areas of science -- it's very unusual for people to  
18 come together in that way. And I think there's  
19 going to be completely unanticipated benefits from  
20 this, and insights that nobody at the top who have  
21 thought it. Wait and see.

22 Dr. Torti: Yeah, thank you.

1 Lonnie?

2 Dr. King: Dr. Torti, thanks very much,  
3 great comments.

4 One of the things I was wondering -- I  
5 really believe in the fellowship program and what's  
6 happening and the excitement around that, but what's  
7 happening to the rest of the employees? In terms of  
8 their own personal development -- how are they  
9 keeping up with science? Is that funded within FDA?  
10 It seems like, you know, really great organizations  
11 have a percentage, just used for training and human  
12 resource development --

13 Dr. Torti: Yeah.

14 Dr. King: -- keeping up with science no  
15 matter what the budget is. And, so what's going to  
16 happen with the rest of the folks? And, is that  
17 kind of budget going to be sustained?

18 Dr. Torti: Well, that's a great question,  
19 Lonnie, it's equally important. And, if not more  
20 so. And we have taken the steps that we could  
21 afford at the current time to do that, and I've  
22 outlined some of those, such as the sabbatical

1 program, building career development pathways.

2 One of the things that we're all committed  
3 to, but we need to build more, is that, you know,  
4 there needs to be two routes to promotion in any  
5 agency, such as the FDA. There's an administrative  
6 route, which is the traditional route. But many  
7 people who come to the FDA, that's not where they  
8 want to grow. They want to grow in their own  
9 specialty, in their own skills as a reviewer, in  
10 their own, sort of, academic areas of excellence.

11 So that, they need a pathway toward growth  
12 that is very much that they can see, they can  
13 understand, that is tied to remuneration and dollars  
14 for a non-administrative pathway, as well.

15 Now, some of this exists. Nothing that  
16 you've heard today is an invention, right? But we  
17 need to do better at that, and we need to build that  
18 more. And we need to get more people out into the  
19 academic community for sabbaticals, for meetings,  
20 then we have been able to do in the past.

21 Now, we're starting to make improvements  
22 of that, but we have been limited, as you know.

1 Steve?

2 Dr. Spielberg: Frank, just one comment to  
3 that -- as past President for the American Society  
4 for Clinical Pharmacology and Therapeutics, one of  
5 the strengths of that society has always been  
6 participation by FDA colleagues. And it's a great  
7 environment to bring together academic, industry and  
8 FDA, tackling real issues. And many of our past  
9 award recipients from this society -- most recently,  
10 Larry Lesko, indeed, came from the Agency.

11 And I know that we've been having more and  
12 more difficulty having people being able to attend  
13 the meeting, because of financial limitations.

14 This year we're doing it in Washington,  
15 specifically for that, to get more FDA  
16 participation. But then we get calls from our  
17 colleagues in Asia, they want to have a meeting in  
18 California, and it's harder for you folks.

19 Is there any prospect of having additional  
20 travels funds, so that people who do want to attend  
21 scientific meetings have the way to do it?

22 Dr. Torti: Yeah, I think, you know, this

1 is a -- a turning point. We have a President who  
2 has identified science as a major initiative --  
3 that's very exciting, and very exciting to the folks  
4 at FDA. And, you know, with that, I am sure, will  
5 come some additional resources to do that. I'm very  
6 positive about that. You know the problems we've  
7 had in the past, but I think we -- we're going to  
8 fix them.

9 Dr. Russell: Yeah, I really enjoyed your  
10 presentation, it's also my first meeting. It sounds  
11 like what you've recognized is that you need to  
12 enhance the culture of creativity amongst many  
13 interdisciplinary people. And it sounds like you've  
14 realized that that's not a natural behavior for  
15 scientists; that although they should collaborate  
16 and communicate across disciplines, you have to  
17 stimulate it in some way.

18 How do you make sure this goes FDA-wide?  
19 I mean, I heard some really interesting, nice  
20 nuggets that, perhaps, could be catalysts, but how  
21 do you make sure that the whole of FDA, that all of  
22 the various silos truly begin to communicate with

1 each other?

2 Dr. Torti: Well, we're taking a number of  
3 steps to do that, which I haven't presented this  
4 morning, but it is very important.

5 For example, I'm now -- one of the things  
6 I've learned, actually, is I've brought together  
7 small groups of FDA scientists, across disciplines.  
8 So, for example, we brought together, last week,  
9 groups of people who are interested in cardio renal  
10 issues, from across the -- all of the -- centers,  
11 including foods, which has -- actually ha a  
12 substantial component of people interested in that  
13 because of the nutritional aspects of that.

14 And one of the things that they continue  
15 to teach me is just what you say. Is that sometimes  
16 there are -- there is a lack of interaction that, if  
17 it could be facilitated, could really help them in  
18 their regulatory decisions, and in their growth, and  
19 in this sort of -- this fermentive kind of  
20 interposition of different kinds of science.

21 So, we want to -- and, so, we want to  
22 begin to bring people together across Centers, in

1 some of these different kinds of strata.

2 Now, they all may not be disease based. I  
3 mean, you might have some that are cardio renal,  
4 that's already done, of course, in oncology, you  
5 might do it in neuro, where there are a lot of  
6 cross-cutting issues.

7 But then we might want to do it in other  
8 ways, as well. We may want to do it across  
9 epidemiologists, or statisticians from different  
10 agencies who could bring things together.

11 So, we're going to formalize all of that  
12 into working groups that actually have a real role  
13 in the FDA, and we're just beginning that. But  
14 that's a great thought.

15 Dr. Linehan: Just one more short comment.  
16 In terms of an organization like the FDA that's  
17 spread around the world, interconnectivity is really  
18 important in getting to know people in the silos --  
19 demonstrating the side roads is important, and it  
20 can be done.

21 General Electric, as an example, has  
22 software that's sort of like Facebook on steroids

1 for the company. It's called, I think, Source  
2 General, it connects all 350,000 employees very  
3 well, in interest groups that are somewhat personal,  
4 but generally technical and scientific.

5 And I dive down deeply into that because I  
6 was interested in seeing if that could be  
7 transferred to universities, and it is, imported to  
8 that.

9 But something like that would be  
10 critically essential when you have scientists around  
11 the world who have problems but don't know their  
12 colleagues.

13 So, I would suggest, again, that the FDA  
14 look into that.

15 Dr. Torti: Jack, let me just -- so,  
16 almost for your entertainment, I had exactly the  
17 same thought. So, jut about a week and a half ago,  
18 I actually called Jimmy Wales, who was the founder  
19 of Wikipedia, one of the founders of Wikipedia, just  
20 to talk about the issues of how to interact.

21 And as I always do, every time I come up  
22 with a good idea, I learn that the FDA folks already

1 had these good ideas, and I jut didn't know about  
2 them, so there was a fair amount going on at the FDA  
3 in this regard, as well.

4 But we need to do more of that, that kind  
5 of connectivity and the, sort of, the electronic and  
6 internet-based capabilities are something that, you  
7 know, as we build, of course, our informatics  
8 programs, are going to be essential. Communication,  
9 in that way, is essential.

10 Dr. Linehan: Just as a real quick follow-  
11 up on that, the fellow that runs things at GD is a  
12 former student of mine, this is available for  
13 purchase now, commercially. I think, out of India,  
14 Sal said, it's ready to go, it'll be done tomorrow.

15 Dr. Torti: Well, you get us the  
16 appropriate discount.

17 [Laughter.]

18 Dr. Kushner: Thank you, Frank, that was a  
19 wonderful presentation.

20 I'd like to just amplify that being new on  
21 the Board and listening to this, I think that the  
22 ability to integrate vertically from the bottom up,

1 and the top down is very important, but especially  
2 horizontally across, not only the FDA itself, and  
3 through the science interaction between your fellows  
4 and the scientists and the greater scientific  
5 community, but especially echoing Rhona Applebaum's  
6 comment -- it's very important to get the process  
7 and the message about what happens here out to the  
8 public, and interact with the public.

9           And I think your idea about the writer's  
10 conference is an excellent idea, and I think in  
11 general, there's a lack of clear communication of  
12 what happens in science to the general public. And  
13 I think this is especially important when it comes  
14 to regulatory issues, for instance, regarding the  
15 FDA, because I think many people in the lay public  
16 don't understand the science, and don't understand  
17 how it applies to these issues and to their own  
18 personal lives, and I think there's a lot of work to  
19 be done in that vein.

20           Dr. Torti: Thanks.

21           Dr. King: Just one other thing on  
22 partnerships. I think what you have in terms of

1 identifying regulatory science is kind of the new  
2 entrée, it has a lot of power. And I don't see that  
3 happening in the academic world yet. It looks like  
4 this is actually a leadership program for government  
5 agencies. And I think that matching, if you will,  
6 with academic worlds in kind of defining regulatory  
7 science and developing programs, could be another  
8 pathway to create these strategic partnerships.

9 Dr. Torti: Thanks, Lonnie.

10 Dr. McNeil: I suspect there are more  
11 questions, Frank, but I think we're going to leave  
12 them to the coffee break, and thank you for your --  
13 I think that was actually a spellbinding talk, there  
14 was a tremendous amount of enthusiasm, at least as I  
15 sensed it from the questions. So we congratulate  
16 you on all of your progress, and the staff's, of  
17 course, in less than the year.

18 So, let's go on to David Acheson, who's  
19 going to talk about contaminants in food, and give  
20 us an update. We've talked about this several  
21 times, but this will be an important update.

22 Thank you, David.

1                   RAPID DETECTION OF CONTAMINANTS IN FOOD:  
2           UPDATE BY DAVID ACHESON, M.D., ASSISTANT  
3           COMMISSIONER FOR FOOD PROTECTION, OFFICE OF THE  
4           COMMISSIONER

5                   Dr. Acheson:    Okay, well good morning,  
6           it's a pleasure to be here.  What I'm going to do is  
7           to give you a very brief update on what we've been  
8           doing on the rapid detection tool side of the house.

9                   If you recall, in October we presented  
10          this idea to you all as a way to move forward, and I  
11          recognize that there are many people at the table  
12          today who weren't with us in October as part of that  
13          initiation discussion.

14                  So, I want to kick off, essentially, to  
15          try to put this in perspective, and to address the  
16          question of why do we need rapid detection tools?  
17          And it certainly goes way beyond FDA requirements,  
18          but essentially, what we're trying to do here is  
19          simply to move faster.  So, rapid detection sort of  
20          falls into a number of areas, but from our  
21          perspective at FDA, it's during those formative  
22          stages of an outbreak where rapid detection can be

1 really critical. I think we're repeatedly seeing  
2 examples of outbreaks of food borne illness, where  
3 you are starting to get one or two people sick, and  
4 gradually we're pulling the story together, and it's  
5 several months -- or certainly many weeks -- before  
6 ultimately a food vehicle is identified, and then  
7 we're actually able to move forward, and shut this  
8 thing down.

9           When I gave the introductory talk in  
10 October, obviously we were just coming out of  
11 salmonella, St. Paul outbreak, which was all about  
12 detecting salmonella on tomatoes and peppers.

13           Now, of course, we're moving into a whole  
14 different salmonella arena, and we're all stuck up  
15 with peanut butter, but the concept is the same. In  
16 both of those situations, we were dealing with  
17 several weeks, or months, of not being sure, "What's  
18 the vehicle, here?" And until we know whether the  
19 vehicle is an FDA-regulated product, a USDA-  
20 regulated product, or a person-to-person issue, or  
21 what it is, it's very difficult to be able to focus  
22 in, and narrow it, and thereby protect public

1 health, which is the goal. So, obviously during the  
2 formative stages of an outbreak, getting in there  
3 quickly, and fast, is key.

4 Similarly, once you have moved beyond  
5 that, and you know which food it is, what went  
6 wrong? Where did it go wrong? So, testing food,  
7 testing environmental samples is another key element  
8 of the need for speed, and it will all lead to  
9 identification of the problems faster.

10 The other thing that rapid detection can  
11 do, is it can actually eliminate negatives more  
12 quickly. Now, this is important in a variety of  
13 contexts -- particularly when you're dealing with,  
14 for example, a lot of food that may be held at a  
15 port, because you're unsure about whether it's  
16 contaminated. And under the current systems, it can  
17 take several days before a lot is tested, shown to  
18 be negative, and move on. So, there's clearly both  
19 public health and other impacts in terms of being  
20 able to identify negatives.

21 The public health gains, obviously, better  
22 protection, you're shutting down outbreaks more

1 quickly, and greater availability of products that  
2 are not implicated which -- as we've seen over and  
3 over and over again -- in these prolonged outbreak  
4 situations, where there is difficulty in narrowing  
5 it and knowing exactly where the problem lies, the  
6 public's reaction is, essentially, to move away from  
7 that food product completely, until all of the dust  
8 settles.

9           So, what are some of the challenges that  
10 we've got around rapid detection tools? Obviously,  
11 I've talked a little bit about outbreak situations,  
12 but you need tools that will operate in various  
13 types of matrices, and under various situations.

14           Obviously rapid detection of the human  
15 level. When you're talking about an outbreak,  
16 initial phases of an outbreak there's -- as you're  
17 dealing with human clinical samples -- how can you  
18 get those answers more quickly?

19           Then as you move forward, and you've  
20 identified the implicated food, you want to be able  
21 to test that food rapidly. And then as you continue  
22 forward, and you're saying "Okay, so we know it was,

1 for example, jalapeno peppers, in, with salmonella,  
2 St. Paul." What went wrong in the environment? So,  
3 you need the ability for rapid environmental  
4 detection, and that may be soil, that may be soil,  
5 it may be water, it may be other matrices that  
6 you've got to work on. And finally, with animals,  
7 again, you're looking at the ability to be able to  
8 test rapidly in animals.

9 So, rapid detection is not just about  
10 food, it covers the whole gamut in terms of dealing  
11 with public health impacts of food-related  
12 outbreaks.

13 Not only do you need it in outbreaks, but  
14 you need rapid detection during routine situations -  
15 - routine inspections. I've already mentioned the  
16 example of a food at a port -- we need to know  
17 quickly whether that food is contaminated with  
18 certain pathogens that we have concern about.

19 Similarly, when we're doing routine  
20 inspections. There is a shift at FDA to do more  
21 environmental testing during routine inspections,  
22 and clearly, getting fast answers to environmental

1 testing samples is going to be very important in  
2 terms of protecting public health.

3           Detection challenge is speed. You have  
4 got to nuance between speed and accuracy. Clearly  
5 there are tools out there that can be very quick,  
6 but have limited sensitivity, and limited  
7 specificity. That doesn't necessarily help you.  
8 So, it's the titration between sensitivity,  
9 specificity and speed which is going to get you to  
10 the point where you have a high degree of confidence  
11 that the test result that you got is meaningful, and  
12 you can take action on it.

13           It's got to be easy to use. Now, not all  
14 rapid detection has to be easy to use, but if it's  
15 going to be used in the field, it's obviously got to  
16 be easy to use using -- albeit sophisticated  
17 equipment -- but easy-to-handle, mobile-type,  
18 sophisticated equipment. So, there has to be that  
19 component in terms of thinking forward on this.

20           And finally, from FDA's perspective,  
21 whatever we come up with has to meet the regulatory  
22 requirements. This is a key element that you can do

1 all of this testing, but if at the end of that, you  
2 don't have enough confidence in the result to be  
3 able to take a regulatory action, it doesn't  
4 necessarily help you.

5 Now, that's different in other situations.  
6 In clinical situations, obviously there isn't a  
7 regulatory requirement, but purely from FDA's side,  
8 we have to keep in mind that where this project  
9 goes, a tool that does not meet regulatory  
10 requirements isn't going to help the agency.

11 So, that's really by means of background,  
12 and that was really a recap of the summary and the  
13 introduction that we gave in October, when w  
14 launched this progress

15 So, what process are we using to move  
16 forward on this? Well, we have identified a series  
17 of participants: FDA, CDC, USDA, DHS and DARPA. As  
18 colleagues in the Federal government who have either  
19 an interest in rapid detection, or have some  
20 expertise, knowledge of rapid detection technology.  
21 And you can see, it's pretty varied between the  
22 regulatory part of it, and the -- on the DARPA side,

1 more sort of innovative science.

2 An introductory meeting was held on  
3 January 30th, 2009 to basically frame this. That  
4 introductory meeting had high-level representation,  
5 it was chaired by Dr. Torti, from FDA. All of those  
6 various Federal agencies came together to hear,  
7 okay, what's the challenge? What are we trying to  
8 solve, here? And what's the approach that we're  
9 going to take?

10 This slide summarizes that approach, and  
11 essentially what it is, is a series of steps that is  
12 linked together by iteration, as you go down a step,  
13 if something doesn't look right, you can readjust.

14 So, the first step is the interagency  
15 technique evaluation. So, with these different  
16 Federal agencies with their different experiences,  
17 their different methodologies, what techniques have  
18 we got? What's sitting on the shelf? What could be  
19 moved forward to meet some of these requirements?  
20 What techniques are there out there, and how can we  
21 evaluate them? And, in a moment I'll get to the  
22 more specifics of how we're going to do this. So,

1 interagency technique evaluation is step number one.

2 That is, then, going to be taken -- in a  
3 more public forum -- to academia and industry. What  
4 do they have that they can put on the table, what  
5 can they bring forward that will further,  
6 essentially, develop some of these techniques into  
7 reality?

8 And then the third step, once you have  
9 reached an idea or ideas of a detection tool, is to  
10 test it. Now, testing it sounds easy, but, in fact,  
11 what it's got to be -- it's got to go through a  
12 series of validation steps within the Agency, and  
13 that is complicated, because remember -- for FDA's  
14 perspective, it has got to meet those regulatory  
15 requirements. So, it's got to be thoroughly  
16 validated, and it's got to be tested. And  
17 ultimately the goal would be to implement it in the  
18 field.

19 And the -- obviously the white arrow going  
20 around the side is to illustrate that there is  
21 constant iteration going on in this process, so if  
22 something starts to fall apart, there is an

1 opportunity to readjust, reevaluate the technique,  
2 continue the interaction of dialogue with the public  
3 sector through academia and industry and then test a  
4 second version.

5 Finally, what are our next steps, here?

6 As I said, there is high-level agreement on this  
7 approach across these Federal agencies, that has  
8 moved forward, we're moving forward on this plan,  
9 there was agreement on the general areas of what the  
10 needs were in terms of the scope that I've presented  
11 to you.

12 There is going to be a meeting of subject-  
13 matter experts that's slated for March, so just next  
14 month. This is a meeting that we're adding on to an  
15 already -- a meeting that's already on the books.  
16 This will be subject-matter experts from those  
17 Federal agencies, who will get together to begin  
18 this process of evaluating techniques -- what  
19 techniques are out there, how do we set up the  
20 evaluation process?

21 The second step, which is slated to happen  
22 spring/summer this year, is to get to that second

1 part, to hold a workshop, to solicit that outside  
2 input; from academia, industry, States and locals,  
3 and others who can look at some of these techniques,  
4 bring some of their own ideas to the table, and move  
5 it forward. And then, hopefully that will, then,  
6 lead to some very specific detection tools, that we  
7 begin the field testing, the evaluation process,  
8 actually both -- within the Centers within FDA at  
9 first, and then out into the field, beginning in the  
10 summer of 2009.

11 So, it's a pretty aggressive timeline. We  
12 want to move forward on this, but as I said when we  
13 met in October, there is a real need to do this. We  
14 were certainly slowed down with the salmonella, St.  
15 Paul, on peppers and tomatoes, because we don't have  
16 these rapid tools.

17 I think we've not been so slowed down with  
18 peanut butter, because it was a very different  
19 situation, but there is no doubt that if we'd had  
20 these tools, we could have probably shaved off  
21 hours, if not days, in terms of protecting public  
22 health.

1           So, there is a constant need, an urgency  
2           to make this happen. And under Dr. Torti's  
3           leadership, we're pushing forward with an aggressive  
4           track, here. And I think we recognize it is  
5           aggressive.

6           That, essentially, is a summary of what  
7           we're doing, why we're doing it, and where we're  
8           going. And I'd be happy to take some questions.

9           Dr. McNeil: Questions? Yes?

10          Dr. Broach: I notice that you didn't  
11          include Homeland Security. This is obviously  
12          something that they have been worrying about for --  
13          at least ever since the anthrax outbreak, and I've  
14          worked with several groups that have approached a  
15          number of different techniques for developing hand-  
16          held devices for rapid detection. So, I wonder how  
17          much you can leverage off what has already been  
18          accomplished along those lines?

19          Dr. Acheson: Absolutely. Well, DHS is  
20          part of the group, so Department of Homeland  
21          Security is one of the groups that we're meeting  
22          with. I was -- were you wondering whether they were

1 involved? Because they are.

2 And you're absolutely right -- they've got  
3 a lot of experience in this area, so we're looking  
4 forward to their input.

5 Dr. Woteki: Thank you. Two weeks ago the  
6 National Academy of Science's Government,  
7 University, Industry Research Roundtable had a day-  
8 long session about food safety, and there were  
9 representatives there from the DOE labs, who were --  
10 Oak Ridge National Lab, and Pacific Northwest  
11 National Lab -- that were speaking to the kinds of  
12 technologies that they have developed that they  
13 believe have applications in this early detection  
14 phase, as well. Have you reached out to the DOE lab  
15 system for inclusion on this project, as well?

16 Dr. Acheson: We've worked with DOE labs  
17 in other areas. We haven't specifically reached out  
18 to this one. I think we've made this as a starting  
19 point. And I think to your question -- obviously  
20 reaching out, as you provide us input and others  
21 provide us input, DOE or other groups -- it makes  
22 all kinds of sense for us to do that.

1           It's a matter of, okay, if you make the  
2 group too large, we won't move forward, but we want  
3 to focus in on the areas where there's some good  
4 detection tools that we can build off of. So,  
5 thanks for that suggestion. We will reach out to  
6 DOE.

7           Dr. Parkinson: I think it's a really  
8 important initiative, of course. And as part of the  
9 comments I'll make later about peer review of the  
10 scientific priority -- prioritized projects -- it  
11 was clear to me that at least three of the Centers  
12 have rapid detection initiatives.

13          Dr. Acheson: Yes.

14          Dr. Parkinson: And, just -- I've had the  
15 opportunity to look at the proposals, and it seems  
16 like there might be very good opportunities for  
17 cross-Center interactions. Do you know if those are  
18 occurring yet, and could they be integrated into  
19 some of the activities that you just discussed?

20          Dr. Acheson: Excellent point -- they are  
21 occurring. Part of my role in the Office of the  
22 Commissioner, is to facilitate those inter-Center

1 interactions. And we actually have a separate group  
2 that is focused on exactly that -- that is running  
3 in parallel to this -- internal within FDA to make  
4 sure that there is dialogue going on between SIF  
5 CEN, and NCTR, and Center for Veterinary Medicine,  
6 and also talking to ORA, who ultimately are going to  
7 have to use those tools to move this forward.

8 So, yeah, there is the internal process,  
9 but obviously this is looking at a bigger universe  
10 of potential tools.

11 Dr. Hewlett: David, there's been a lot of  
12 focus, obviously, on your office in the context of  
13 resolving these issues, but the list of participants  
14 that you have here for developing new technologies  
15 are also the groups that are going to be important  
16 in the early detection of the cases.

17 And I'm interested in the nature of the  
18 relation -- the operational relationship,  
19 independent of new technology -- that you're having  
20 to work with. Because it seems to all end up with  
21 you, by virtue of an enforcement part --

22 Dr. Acheson: Yeah.

1           Dr. Hewlett: -- but you must be getting  
2 data from all manner of sources?

3           Dr. Acheson: Absolutely, Erik. You know,  
4 and I think -- I mean, this, obviously, is a narrow  
5 focus on one piece of the puzzle -- is figuring out  
6 where is the salmonella and can you detect it in the  
7 human sample or the environment? I think what  
8 you're alluding to is the bigger picture of how do  
9 you get in there more rapidly?

10           Lonnie King, from CDC, who's part of your  
11 Board -- when he puts his other hat on -- he and I  
12 were working very closely together on trying to  
13 address some of those issues, specifically. And to  
14 give you examples of where we're headed --  
15 developing closer ties, and greater integration with  
16 the States and locals is a key part of that.

17           And just to go off on a slight tangent, to  
18 answer your question, last August we had a 50-State  
19 meeting where we brought representatives together of  
20 over 200 individuals -- States, locals, tribes and  
21 territories -- to discuss how can we better build an  
22 integrated food safety system in the United States?

1 That led to a series of ideas around improved  
2 information sharing, training, risk-based  
3 initiatives and response, and now we've established  
4 four work groups to focus on those areas, and we're  
5 doing it collaboratively with CDC.

6 So, that part's not being forgotten, and  
7 you can't simply build a better detection tool and  
8 not have that other public health infrastructure  
9 piece as part of the mission, as well.

10 Dr. Pagano: I think the plan -- as I'm  
11 first hearing about it -- is impressive, and what  
12 I'm wondering, though, I can get a better feel for  
13 it if you can give me an example, or do you see any  
14 promising techniques, tools, or some specifics?  
15 Even if it isn't completely, fully developed, so I  
16 can see exactly where you see opportunities ahead?

17 Dr. Acheson: The short answer to that is,  
18 is I don't have any specific examples, yet, of  
19 what's going to work. I think, to give you examples  
20 of the areas that we need to focus on is, number  
21 one, is how do you deal with the matrix? Which is  
22 the type of food that you're dealing with; how do

1 you take -- because there are many fast detection  
2 tools. If you've got DNA in your hand, you can get  
3 an answer real fast.

4 The challenge with yogurt, cheese,  
5 tomatoes, is getting that sample to the point where  
6 you can run it through a piece of equipment, is a  
7 challenge. So, part of it is matrix challenges.

8 Part of it is also the identification of  
9 the organism itself -- is there salmonella in there?  
10 And that alone, from a regulatory perspective, will  
11 say, "Yeah, there's salmonella in this product, it  
12 shouldn't be there, it's adulterated, we can take  
13 regulatory action." That doesn't necessarily mean  
14 it's linking you with the outbreak strain. So,  
15 looking at the technologies for, say, rapid  
16 serotyping is important.

17 And then the next layer is, what are the  
18 genetic tools that we need to be thinking about in  
19 terms of the next generation of PFGE? PFGE is  
20 great, but obviously it's old technology, there's  
21 different ways to go on that, and you have the  
22 challenges there of trying to establish a system of

1 genetic tools that's actually applicable across the  
2 whole of the United States. The great thing about  
3 PFGE is every State's doing it, and CDC have worked  
4 very hard to get folk to that point.

5 So, I think -- I don't have specific  
6 examples, yet. But those are the sorts of areas  
7 that I think we can make progress on.

8 Dr. Pagano: That's fine. Thank you, that  
9 gives me a much better idea.

10 Dr. Applebaum: Yes, thank you.

11 And, David, an excellent presentation.

12 And I'm probably going tangential to what you're  
13 doing; and what you're doing, and what FDA's doing  
14 is absolutely, you know, right on key in terms of  
15 what -- speaking for the food industry, what the  
16 food industry needs.

17 But I'm going to ask maybe, we could  
18 discuss this over coffee break. Going after the  
19 pathogens, absolutely. Absolutely. But, I'm just  
20 wondering what FDA's research program is on, number  
21 one, looking at surrogates, so that industry can  
22 work with those and not bring anything hazardous

1 into a plant, but also, you know, from that same  
2 area, what are you doing in terms of preparing for  
3 the next one? I.e., when that bug jumps the hurdle,  
4 and can now be somewhere else that we're not  
5 currently looking at? Or, perhaps that's too long,  
6 and we could do that over coffee break?

7 Dr. Acheson: Well, you know, Rhona, those  
8 are key questions. There's probably a two-hour  
9 answer to that short question.

10 You know, the quick answer is that we need  
11 to be focused on preventive controls. I mean,  
12 that's where the emphasis needs to go. This  
13 solution that I'm putting out here is largely a  
14 reactive one, which we need when things -- when  
15 we've got us a situation.

16 But rapid detection can also help us with  
17 prevention, and I think your thought about  
18 surrogates is an interesting one. It's not  
19 something that we have focused on a great deal. I  
20 know that our colleagues at USDA have focused on  
21 surrogates, and they're a mixed success, in terms of  
22 whether generic e-coli tracks with e-coli 0157.

1 And, you know, some say it does, some say it  
2 doesn't, but there's nothing like looking for the  
3 pathogen itself.

4 You know, listeria species versus LM, in  
5 terms of environmental contamination in a facility.  
6 Again, interesting questions. And it's not an area  
7 that we have focused on specifically, but it's a  
8 good thought.

9 But, I think, ultimately where you've got  
10 to go is to figure out what are the preventative  
11 controls, that are going to stop these problems in  
12 the first place, and that is another huge research  
13 endeavor that we need to pay attention to.

14 Dr. McNeil: So, I have two people who  
15 want to talk, and maybe that should be it before the  
16 break.

17 So, Lonnie, and then Alan? Short  
18 question?

19 Dr. King: This is short, because Rhona  
20 actually kind of hit my point on this.

21 And, first of all, thanks very much,  
22 David, and Dr. Torti, thank you for your leadership

1 to put this group together, CDC is very excited to  
2 participate.

3 But, not only is there a benefit for  
4 diagnostics in regulatory work, but as Rhona pointed  
5 out, I think one of the real values that's added to  
6 this is in infectious disease ecology, which now we  
7 see as a new niche and an expanding niche in public  
8 health.

9 And the identification of moving upstream  
10 to find out more about the natural history of these  
11 microbes and pathogens will actually change  
12 intervention strategies. So, that's another reason  
13 that we're really anxious to be involved with that,  
14 because we see a broader use of the techniques and  
15 technology.

16 Dr. Acheson: I think it's a good point.  
17 Microbial ecology is something I didn't specifically  
18 mention, but absolutely.

19 Dr. Russell: Thank you.

20 Thank you, Barbara.

21 So, I was undoubtedly not asked to join  
22 this Board because I know anything about food

1 safety, but a back-of-the-envelope calculation would  
2 tell me that we must serve around a trillion meals a  
3 year in the U.S. And undoubtedly it's one of the  
4 safest things that we do as humans, and I'm sure a  
5 large part of that is due to the work of the FDA.  
6 So, problems like the ones that you talked about are  
7 incredibly rare events, and it goes back to the need  
8 for good communication about how effectively you're  
9 already doing the job.

10 But, given that, what's a win? So, in  
11 your designing a scientific program, here, it really  
12 only makes a lot of sense in the context of defining  
13 specifically what you're trying to achieve. You've  
14 already got an incredible event, so how much better  
15 do you want to do, and how much is it worth  
16 spending, doing that?

17 Dr. Acheson: Well, that's an interesting  
18 philosophical question.

19 My personal view is we want to do it  
20 better, and we want to do it faster. I think we --  
21 in the last two or three years, we have seen several  
22 large, multi-State outbreaks in which hundreds of

1 people have got sick. And obviously, in this most  
2 recent one, 9 have died, linked to this. That's  
3 clearly unacceptable.

4 To your point, you know, you are consuming  
5 -- I'll take your word for it -- trillions of  
6 servings. Certainly, I think of it as, you know,  
7 you're feeding 300 million people, 3 times a day,  
8 365 days a year -- it's a huge number.

9 We can do a better job at preventing these  
10 problems occurring in the first place. I have no  
11 doubt about that. So, you're taking something that  
12 is good, and making it better.

13 We can do a better job of when these  
14 outbreaks occur of identifying them more quickly,  
15 and shutting them down faster. We'll never reach  
16 the point of zero. And you're right -- there are  
17 economic diminishing returns in terms of the  
18 investment of public health infrastructure, versus  
19 reducing food borne illness.

20 I don't believe that we are at the point,  
21 yet, where we've said, "We've maxed out. The  
22 investment is matching the return." I think we

1 should, we need to do more.

2           The other point is, that we're not dealing  
3 with a static system. The food supply is getting  
4 more complex, particularly if you think of it in the  
5 context of the global food supply. We're importing  
6 more and more and more foods, and obviously there is  
7 a concern that, what do we know about the way food  
8 is being produced in certain countries that we are  
9 importing more and more foods from?

10           So, establishing those preventative  
11 controls domestically, internationally. Being able  
12 to target inspections based on risk. And to your  
13 point, you don't want to inspect everything, and  
14 test everything -- that's not a good ROI. And then  
15 having a fast, targeted, laser-like response system  
16 when something goes wrong. And that's -- that's the  
17 goal. We're not there, yet.

18           Dr. McNeil: So, thank you very much,  
19 David. We're going to take a 20-minute break, now.  
20 And Carlos reminded me that I erred in my remarks  
21 about saying let's carry on this discussion over  
22 coffee, because over coffee we talk to ourselves, or

1 we talk to each other about the weather, or the  
2 stock market, or housing prices, or whatever you  
3 want. But we don't talk about rapid testing.

4 [Laughter.]

5 Dr. McNeil: So, we can potentially have  
6 room, perhaps, later in the agenda for something  
7 like that, but let's take a break now, and come back  
8 in 20 minutes.

9 Thank you.

10 [Recess.]

11 Dr. McNeil: We're going to start, because  
12 we have an important presentation now by Randy  
13 Lutter, to expand upon the food contaminants, and  
14 economically motivated adulteration. Which, I must  
15 say, is something I'd never heard of until I came to  
16 the FDA Science Board. Goes to show my naïveté, but  
17 go to it.

18 ECONOMICALLY MOTIVATED ADULTERATION OF FDA  
19 REGULATED PRODUCTS: UPDATE BY RANDALL W. LUTTER,  
20 PH.D., DEPUTY COMMISSIONER FOR POLICY, OFFICE OF THE  
21 COMMISSIONER

22 Dr. Lutter: Thank you very much, it's a

1 pleasure to be here.

2 I'd like to give you a progress report, an  
3 update on our efforts to anticipate, and ultimately  
4 prevent, future economically motivated adulteration.

5 And for those of you who participated in  
6 the Science Board meeting last fall, you'll remember  
7 that this is a term that we've used previously, and  
8 the problem that we're addressing is one that is  
9 fairly evident to people who followed popular press  
10 accounts of problems associated with FDA-regulated  
11 products.

12 In the case of the first major episode,  
13 dates back to March 2007, melamine was reported in  
14 animal feed -- it's an industrial chemical that was  
15 added to gluten by manufacturers in China,  
16 apparently to enhance protein content. It was also  
17 added to flour. And the effect of adding melamine  
18 is essentially to defeat an assay used to infer  
19 protein content, which in fact measures nitrogen.

20 Subsequently, in January of 2008, there  
21 was an episode of heparin that CDER was very  
22 actively involved with. Over-sulfated chondroitin

1 sulfate was found in heparin active-ingredient  
2 pharmaceutical -- active pharmaceutical ingredient  
3 from China.

4 The over-sulfated chondroitin sulfate is  
5 known in the scientific literature as an effective  
6 anti-coagulant, suggesting that this had been  
7 deliberately contaminated.

8 Subsequently, in September of last year,  
9 melamine was reported in dairy products. It was  
10 apparently added to milk in order to enhance its  
11 perceived quality and value. This was an instance  
12 where, fortunately, there was no exposure to U.S.  
13 residents. There was, however, very significant  
14 exposure in infant formula to -- through infant  
15 formula to infants in China, and as many as 300,000  
16 infants were reported to have needed medical  
17 attention there.

18 So, the broad concern that we have is  
19 there's a pattern that's evident, here, and all of  
20 these products appear to have been purposefully  
21 adulterated for economic gain. Which poses, if you  
22 will, the challenge for us, how can we anticipate

1 future economically motivated adulteration of FDA-  
2 regulated products?

3 As we mentioned last fall, this is, of  
4 course, not a new problem. It's one that has a  
5 history of at least 100 years in the United States,  
6 and that's a reassuring perspective. It suggests  
7 it's a problem that -- with effort, tenacity and  
8 thought and energy -- we can successfully control.  
9 But it's re-emerged, now, in a different form that  
10 requires our deliberate attention.

11 What is our strategic approach? What  
12 we've done, to date, is created an internal  
13 workgroup to develop an approach to anticipate  
14 future economically motivated adulteration so as to  
15 prevent or control the risks. And the paradigm in  
16 the workgroup is based on the presentation that I  
17 made in the fall, or consistent with it, which is  
18 that the motivation here is essentially an economic  
19 model. That there are private actors who are  
20 deciding what products to adulterate, based on an  
21 assessment that they make that the benefits of such  
22 adulteration, in purely financial terms to

1 themselves, outweigh the cost, to them, of doing so.

2           So, what that means from our perspective,  
3 is that we should be thinking about products where  
4 there's a relatively high value to the market, where  
5 there are cheaper and risky substitutes in the  
6 ingredients or in the components of the products,  
7 and where assays to detect purity -- to verify the  
8 purity of the products, or to detect potential  
9 adulterants -- can be easily defeated. And that's  
10 what I'd like to pursue, here.

11           What we've done, to date, is developed a  
12 set of questions that I'll share with you to ask  
13 Federal, State and international partners in  
14 industry, pertaining to economically motivated  
15 adulteration, and this structured approach, as I  
16 mentioned, assumes that adulteration is driven by  
17 economic motivation.

18           To date, we've met with a variety of  
19 Federal partners, including at USDA, and with  
20 Homeland Security, and we are planning a public  
21 meeting on this topic for this spring at a date and  
22 location to be announced later.

1           The purpose of that would be to pose these  
2 questions to the public to solicit their input on  
3 them. And let me say that there's a -- in  
4 developing this workgroup, and in pursuing next  
5 steps, there's a little bit of a balance, if you  
6 will, that we strive to maintain between a couple of  
7 approaches.

8           One is, how much information we should  
9 solicit publicly, versus through other forums? And  
10 we're pursuing, of course, both options. What we've  
11 announced through this -- what we expect to receive  
12 through this public meeting, is a set of public  
13 information.

14           But, simultaneously, we invite members of  
15 the public to provide other information to us more  
16 confidentially. On our website is a new link, which  
17 goes directly to the Office of Criminal  
18 Investigations. It is [www.fda.gov/oci/contact.html](http://www.fda.gov/oci/contact.html)  
19 and people with information about potential criminal  
20 activities pertaining to FDA-regulated products are  
21 invited to provide that information to our office of  
22 criminal investigations.

1           So, a schematic overview of our strategic  
2 approach suggests that it's iterative, an idea that  
3 I think David Acheson mentioned to you earlier  
4 today, and consultations, because we're doing  
5 something new that we don't think has been done  
6 recently by any regulatory agency in any similar  
7 arena.

8           So, we begin with consultations with  
9 partners, by which we mean, other regulatory  
10 agencies; a public meeting and a solicitation of  
11 comments, a consultation with outside stakeholders,  
12 which would include private industry -- either trade  
13 associations or companies themselves, be they  
14 manufacturers of the products or companies that are  
15 engaged in selling consulting services about  
16 security.

17           The purpose of all of these consultations  
18 and meetings is to identify -- if you will -- four  
19 major questions. What products are high-risk?  
20 Defined in the sense that I alluded to earlier,  
21 likely to be targeted by economically motivated  
22 entities who may be adding adulterants. What

1 substances are at risk of being used as adulterants?  
2 What assays are there that need improvement and  
3 greater sensitivity, and then are there potential  
4 signals of economically motivated adulteration that  
5 we should be worrying about, should be following,  
6 and that might provide us early warning, if you  
7 will, of economically motivated adulteration in the  
8 future?

9 All of these are, if ongoing -- one can  
10 think of -- as ongoing work products that we'll  
11 iteratively refine, and we're currently engaged in  
12 developing these, to date. And based on the state  
13 of that refinement, we'll then share these, again,  
14 with partners, or through public meetings, or  
15 through consultations with outside stakeholders.

16 Ultimately, this will lead to our use of  
17 information to help better inform and manage FDA's  
18 activities on a regulatory front, and we hope also  
19 to have results that we can share with stakeholders  
20 in the regulated community, but we're not at that  
21 stage, yet.

22 So, what I'd like to do now is share with

1 you some of the questions that we propose to ask at  
2 the public meeting, and the purpose is to get your  
3 feedback on these questions. And, so that when we  
4 formally announce them to the public for the -- in  
5 the context of that public meeting, they will  
6 benefit from your input.

7           And there's several sets of these, the  
8 first one deals with questions pertaining to  
9 attributes of the products, or components and  
10 ingredients that may be faked. And largely the  
11 questions are inspired, if you will, by our  
12 experience with the two melamine episodes, and with  
13 the heparin episode, as well. There are related  
14 episodes that are not quite as prominent, dealing  
15 with diethylene glycol.

16           What products -- so, the questions are --  
17 what products or components or ingredients used in  
18 products have attributes that can be faked by using  
19 lower-cost substitutes? What are such attributes,  
20 and what are the lower-cost substitutes?

21           What products not reported in the press  
22 contain melamine or diethylene glycol? What other

1 known or potential adulterants have been used in the  
2 manufacturing of products, or added to products for  
3 economic gain? And the last one, what food products  
4 are paid a premium based on measured protein content  
5 or on measured fat content as inspired by the  
6 experience with melamine, where our understanding is  
7 that the motivation for it was that gluten was paid  
8 a premium in China based, in part, on measured  
9 protein levels. And also milk, similarly, was paid  
10 a premium based on measured protein levels. So,  
11 this speaks to the possibility of developing  
12 signals, if you will, of similar risk of  
13 economically motivated adulteration in other areas.

14 Questions pertaining to changes in the  
15 marketing environment, what dramatic changes have  
16 occurred recently in the marketing environment of  
17 products who are ingredients in the geographic  
18 origin of products? In the price's output or  
19 exports for products or ingredients and in the  
20 supply of ingredients or source materials for  
21 products?

22 Inspiring these questions is information

1 that there was a fairly large shift in the supply of  
2 gluten coming into the United States from other  
3 sources, to China, in the years immediately prior to  
4 the episode of contaminated gluten, and also there  
5 was a shift of Chinese exports of gluten by some  
6 accounts, away from other markets, toward the United  
7 States at the same period of time.

8 Other proposed questions are, what  
9 scientific research -- published or otherwise --  
10 indicates that a specific material could be used as  
11 a substitute for another legitimate material?  
12 Inspiring this is the observation that the over-  
13 sulfated chondroitin sulfate was actually an anti-  
14 coagulant in the scientific literature. And, in  
15 that sense, somebody very clever and very shrewd,  
16 presumably thought of adding this to heparin, as a  
17 way of making money.

18 What analytical equipment or methods --  
19 although used by industry and regulators -- are, in  
20 fact, unable to detect faked products or  
21 ingredients? A fairly broad question. Other  
22 proposed questions are, are you aware of products or

1 ingredients with a supply chain more complicated  
2 than it would appear to be necessary for  
3 distribution, or where the supply chain has recently  
4 become more restricted or complicated?

5           And finally, what recent examples of  
6 economically motivated adulteration should U.S.  
7 regulators study and learn from? We're aware that  
8 not all of these cases may be made public. And  
9 earlier conversations with some stakeholders we were  
10 informed companies themselves -- if they're able to  
11 control these problems at a relatively early stage -  
12 - may not bring it to the attention of the  
13 regulators. It's embarrassing for them, for a  
14 variety of reasons. It poses, also, other security  
15 concerns, and therefore there may be issues here.

16           From our perspective, there's a dilemma.  
17 How do we elicit this information? We're trying to  
18 elicit it as best we can, but we seek your advice on  
19 how to phrase this -- these questions -- and how to  
20 best get information about them here.

21           We have other questions -- what further  
22 information should U.S. regulators request to help

1 predict and address economically motivated  
2 adulteration? Does this broad approach make sense?  
3 This is a question, not only for you, but also from  
4 the broader public in the public meeting that we'll  
5 convene later this spring. How might we best  
6 address this problem more broadly?

7           What other organizations may have  
8 information that would help U.S. regulators predict  
9 and address economically motivated adulteration?  
10 This is a new endeavor for us, we've not previously  
11 done it. We believe the pattern that has been  
12 described earlier with respect to melamine and  
13 heparin is one that, unfortunately, may not have  
14 seen its final day.

15           And in that sense, to the extent that  
16 there's another episode of harmful economically  
17 motivated adulteration that will loom before us this  
18 year, next year, the year after that, we're remiss  
19 if we don't take whatever thoughtful, organized,  
20 comprehensive action we can now take to try and  
21 anticipate it and predict it. But because this is a  
22 new endeavor, we're exploring, if you will, how best

1 to do it, and soliciting your input on that.

2 So, this is where we stand, and I welcome  
3 comments.

4 Dr. Spielberg: I think it's worth  
5 pointing out that in, really, all of these episodes  
6 that children have borne the brunt of toxicity,  
7 which is ironic.

8 The ethylene glycol, diethylene glycol,  
9 situation, for Heaven's sakes, it was 1938 and the  
10 Pure Food and Drug Act that created this Agency, and  
11 it was a result of use of diethylene glycol to  
12 suspend sulfanilamide as a pediatric elixir.

13 And the current diethylene glycol  
14 adulteration of acetaminophen internationally, has  
15 again been a tragedy that has been borne on the back  
16 of children. The melamine situation -- baby formula  
17 -- and even the deaths associated with heparin, the  
18 majority of the deaths were really dialysis patients  
19 and children, again, because of the dosimetry  
20 associated with the contaminants.

21 So, one area to think about is the  
22 fragility of pediatric formulations for foods, as

1 well as pediatric medicines, because pediatric  
2 medicines often have to be given in liquid forms,  
3 which leads to folks looking for cheap substitutes  
4 for glycerin, and using diethylene glycol which,  
5 after all, tastes great, and is a very fine  
6 antifreeze, but is incredibly toxic to children.

7           And again, from a dosimetry point of view,  
8 if you think about the morbidity that you're going  
9 to see from contamination, it's again going to be in  
10 the pediatric population, and often hardest to see,  
11 particularly when we're dealing with the developing  
12 world's children, who have such high mortality to  
13 start off with -- 15 percent of children don't make  
14 it to age 5 in most countries outside of the United  
15 States and sub-Saharan Africa and such, and then  
16 Asia -- so that we're dealing with a major  
17 international issue, and focused on kids. Focus on  
18 liquid formulations -- any time a medicine has to be  
19 suspended. And I know that the USP is involved in  
20 this.

21           And the second point, relative to USP, is  
22 that the assays we're using to detect things are

1 ancient -- the old keldol reaction for nitrogen, as  
2 a way of determining protein content. So, we need  
3 much better specificity on our assays to determine  
4 those things that might be viewed as economically  
5 important -- how much protein is in your product?

6 And similarly, with heparin, I know USP is  
7 revising all of its standards for looking at what  
8 heparin really is, and the super-sulfated  
9 chondroitin issues.

10 So, going forward, I think, those of us in  
11 the pediatric community are very worried about this.  
12 The strange part is that the pediatric marketplace  
13 is always viewed, economically, as a tiny portion.  
14 And it's so hard to drive the development of  
15 pediatric medicines, because they really don't have  
16 high returns.

17 Which raises the question, again, of why  
18 diethylene glycol has been sneaking into the  
19 acetaminophen supply, where pediatric acetaminophen  
20 is really so cheap. I suppose the margin between  
21 glycerin and diethylene glycol is enough for people  
22 to consider doing these things, but I think we

1 really need to think about liquid formulations, and  
2 atypical formulations.

3           We know that in the sub-Saharan African  
4 situation with respect to AIDS, a lot of pediatric  
5 HIV drugs are not. They don't contain the active  
6 product, and they often contain adulterants. So,  
7 this is truly an international issue, and I suppose  
8 one plea is that on behalf of kids internationally,  
9 that the Agency engage heavily with WHO and other  
10 regulatory agencies -- EMEA -- but also the agencies  
11 responsible for care of children internationally,  
12 because they are going to take the major brunt of  
13 what's going on with unethical production of  
14 pharmaceutical products, and obviously now, as well,  
15 baby formula.

16           Dr. Kushner: Thank you, that's a  
17 wonderful presentation.

18           I just have a, sort of, a two-part  
19 question related to one issue. You stated, and I  
20 think it was a really good idea, to have a place  
21 where the public can call in on the website for the  
22 FDA -- it's a very complicated URL, is there a link

1 on the front screen of the FDA.org website that  
2 links to that URL? So that people don't have to try  
3 to search for that?

4 Dr. Lutter: I can verify it, actually, it  
5 went live only yesterday, but I think it's two click  
6 from the home page.

7 Dr. Kushner: But can you see it on the  
8 home page, actually?

9 Dr. Lutter: I have to check, I'm not  
10 sure.

11 Dr. Kushner: I would recommend that you  
12 have it on the home page for people who might have  
13 difficulty finding that.

14 And my second part of the question is,  
15 since a lot of this is international now, our food  
16 base and medicine base is becoming international,  
17 have you given any thought to developing human  
18 intelligence sources, or the ability of human  
19 intelligence sources in other countries to alert us  
20 to these problems? Some may be potentially through  
21 the websites or something else, or through networks  
22 of human intelligence that might -- in the

1 industries involved -- that might be able to alert  
2 you before a problem becomes evident?

3 Dr. Lutter: Yes.

4 Dr. Woteki: My observation and comment  
5 are exactly like Fred's.

6 The first observation is that I know  
7 within the major food companies they're -- each one  
8 is conducting the same kind of analysis. Your  
9 questions are spot-on. They're the kinds of things  
10 that companies are looking to their own supply  
11 chains to try to make them as secure as possible. I  
12 imagine the same thing is going on within the  
13 pharmaceutical industry.

14 But, to Fred's point, this question of  
15 human intelligence is one that is, I think,  
16 encompassed in your last question, here, but one  
17 also that is going to be extremely important for  
18 prevention of these types of situations in the  
19 future. So, how to do that marketing analysis, how  
20 to get coverage within trade shows, we know that  
21 some of these substances actually have been featured  
22 in trade shows in developing markets.

1           So, you know, how do you get that  
2 information and brought in, in such a way that it  
3 can be actionable? Is, I think, a big challenge.

4           Dr. McNeil: Is that a question, or is  
5 that -- ?

6           Dr. Lutter: I can talk a little bit about  
7 that, if I may.

8           One of the concerns here -- I guess I can  
9 go all the way up -- is signals. And we need  
10 signals that are valuable to us, where the signal,  
11 the noise ratio is ultimately actionable, and we  
12 have spent a fair amount of time developing detailed  
13 timelines, retrospectively for the heparin and  
14 melamine cases, and we are exploring what types of  
15 signals might have been helpful in those instances.

16           We're aware, simply from the press  
17 accounts, that there was a large-scale trade of  
18 melamine within China, apparently for the purpose of  
19 being used as a magic protein powder. And I don't  
20 know whether that was actually known in a trade  
21 show, or known in some way, but there are clearly  
22 examples based only on the press accounts that, had

1 we known about that at an early stage, it should  
2 have triggered a whole collection of actions and  
3 further inquiries on our part that weren't -- that  
4 we didn't take at that time, because we didn't know  
5 about it, and we hadn't thought about it.

6           So, that's the -- the broad recognition,  
7 we share with you that there is an opportunity for  
8 us to act proactively, early on, but there's also a  
9 very large challenge of managing the information.  
10 Because one could easily see that, for example, the  
11 -- there's a blue ear disease in pigs that may have  
12 been linked to the heparin, it had the effect of a  
13 substantial reduction in the pig supply in China.

14           At the same time that the heparin  
15 shipments, which are derived from pig intestines,  
16 the API was unaffected, coming to the United States.  
17 Had one known that at the time, presumably that  
18 would have also triggered a signal to us, and  
19 further action.

20           But then the question becomes, how many  
21 other pieces of information are there like this in  
22 the world that we would need to follow, to track, to