

1 children for other endpoints.

2 Thank you.

3 Dr. McNeil: Thank you, Dr. Cheeseman.

4 I think perhaps we should pause to see if
5 there are any questions from -- for this part of the
6 presentation.

7 Garrett, you were on BPA Subcommittee, do
8 you want to -- do you have any? I don't mean to
9 cold call on you, but you're the most knowledgeable
10 one on the board I think.

11 Dr. Fitzgerald: I don't know about that.
12 Thank you very much for that exhaustive description.

13 I just actually had one question.

14 Dr. Cheeseman: Could you speak up?. I'm
15 a little hard of hearing.

16 Dr. Fitzgerald: Sorry. I'm a well known
17 mumblor, so we're a bad combination.

18 So, I was just struck by the fact that
19 with your LCMS methodology, the concentration of BPA
20 in food seemed to vary over three orders of
21 magnitude. And while -- where the range sat was
22 obviously quite reassuring, it's obviously a very

1 large range, and I just wondered if you had any
2 insight into the sources of that variability?

3 Dr. Cheeseman: Yeah, the lowest values
4 are limited protection values and I believe they're
5 all powder infant formula samples. The actual
6 variation is -- is somewhat smaller than for liquid
7 infant formula. I believe it's .5 to 10.5.

8 And I don't -- I'm hopeful that we may get
9 some information from the Code of Practice study
10 that may be able to directly answer that question.
11 Because undoubtedly it has to do with processing and
12 -- either of the can coating or of the formula
13 itself.

14 Dr. Fitzgerald: I suppose the other
15 question that your presentation brings to mind is
16 the -- well, the collaboration with the NIH is very
17 felicitous and the opportunity to study samples
18 collected in their large epidemiological studies
19 would be particularly opportune. The same sorts of
20 questions are relevant to that as are relevant to
21 the JAMA paper that raised the question about the
22 association with diabetes and heart disease, and

1 that is the stability of the methodology relative to
2 the age of the samples. Many times in these large
3 epidemiological studies, samples have been sitting
4 around for a long time. And I just wondered if you
5 were approaching that particular issue strategically
6 and scientifically.

7 Dr. Cheeseman: I think we're discussing
8 it, but I'm going to throw that question open to the
9 other expert FDA and CFSAN scientists in the room
10 who may want to step up to a microphone.

11 Dr. Torti: Since I'm not an expert in
12 either of those, but I do a little bit of the
13 details of the discussion. I mean, there is a very
14 specific -- and Debra who is here can speak more to
15 this -- there is a careful assessment of the
16 stability of BPA in urine that precedes the analysis
17 of these samples and the testing. So, to the extent
18 that it is possible to look over time at the same
19 sample and look at the decay of BPA over time in
20 samples, this is being done and is planned and is
21 part of the overall analysis.

22 Dr. McNeil: Other questions? I notice

1 you have the web address here, is that easy to find
2 on the website or -- it looks kind of complicated.

3 Dr. Cheeseman: Our website's has been
4 redesigned, so it's been my recent experience that
5 not too much is easy to find. I actually haven't
6 tried this.

7 Dr. McNeil: I wondered maybe if we could
8 have -- Carlos, would you be willing to email us,
9 the Science Board members, that complicated web
10 address?

11 Dr. Russell: Garrett's undoubtedly the
12 most qualified, I'm undoubtedly the least qualified
13 person on the board to answer that question.

14 Dr. McNeil: I don't know about that.

15 Dr. Russell: I guess, because this is the
16 first time I've really heard of this issue in depth,
17 I'm trying to understand what everybody agrees
18 rather than what everyone disagrees on. And I want
19 to replay back to you what I think I heard and then
20 ask you a question about Canada.

21 So, what I think I heard is that everyone
22 agrees that BPA is toxic at some level. I think I

1 heard you say that everyone agrees that BPA leaches
2 at some level. Infants can be expected to be more
3 sensitive, but we don't know what level is toxic,
4 really, and we don't know -- in humans -- and we
5 don't know how much actually comes out.

6 So first of all, the first part of my
7 question is, did I hear you right? Is that actually
8 what we all agree on? If it is, what is it
9 scientifically that Health Canada found -- if I
10 understood correctly they've come up with a
11 different approach and maybe you could just address
12 that. If they did, what is it scientifically they
13 found compelling in the context of what we agree on?

14 Dr. Cheeseman: Well, I think Health
15 Canada -- I think it's important to understand that
16 Health Canada acted under a chemical management
17 statute and not under their food safety statute, and
18 they acted in relation to polycarbonate bottles,
19 which curiously enough is not under the jurisdiction
20 of their packaging bureau.

21 That said, I believe, if you read the
22 Health Canada assessment carefully, you will find

1 that there's not a great deal of disagreement, but
2 they're acting out of an abundance of caution in
3 relation to removing polycarbonate bottles from the
4 market. So I think they have -- the difference is
5 they're acting under a different legal standard.

6 Dr. Broach: I just want to get
7 clarification of your answer to Garrett's question
8 about the broad distribution. I was also struck by
9 the fact that you go from almost no detectable
10 levels to 10 micrograms per kilogram of food. And
11 as I understand your answer, is that you can divide
12 those into two cohorts, one is the powdered formula,
13 which has very low levels, and the other is the
14 liquid concentrate, which has much higher levels,
15 and in that level the variation is lower, but even
16 there it's 20-fold differences in levels. And the
17 level that you're getting from the concentrate
18 itself is much higher than whatever you get from the
19 leaching from the polycarbonate bottles. So the
20 concern, if there's any concern, would be in the
21 preexisting levels in the formula. Is that a
22 correct interpretation?

1 Dr. Cheeseman: Well, I don't think it's
2 fair to take those numbers out of context because
3 they need to be combined in the -- in a particular
4 way. And, I don't want to dodge the question too
5 much, but there, you know, based on those numbers,
6 the likely contribution to the exposure would seem
7 to be larger from the infant formula can, for infant
8 liquid formula.

9 Now, that said, we're looking -- I'm
10 projecting up here a range of values. I can't tell
11 you whether that 10.55 value is a substantially
12 lying out from the rest of -- the rest of the
13 distribution. So I think, you know, extrapolating
14 too much from some initial data would be dangerous.

15 Dr. Broach: The second aspect of the
16 presentation, in the distribution curve that you
17 showed of the likely BPA per kilogram of body weight
18 per day that you put all the multiple factors
19 together and came up with a distribution and you
20 gave us the mean and the normal. It seems like the
21 issue is the maximum levels and those should be
22 where you're targeting your -- any future studies,

1 because it's not whether or not -- so if it's safe
2 at the maximum levels, then it's safe for anything
3 below. But if you find something that's safe at
4 some 90 percent level, then there's still a 10
5 percent of the population that's receiving doses
6 above that level. Is that also an appropriate way
7 of thinking about this?

8 Dr. Cheeseman: Well, I don't think,
9 again, I don't think we're -- this is an analysis
10 using the available data on BPA concentrations. It
11 hasn't incorporated the rest of the information on
12 uncertainties, with regard to the other factors.
13 So, I don't think I want to necessarily comment on
14 where we would come out on a 90 percent or a 95
15 percent or a 99 percent level. There are arguments
16 to be made in relation to using any of those levels.

17 Dr. Broach: One final question. In the
18 epidemiological studies, I can understand why you'd
19 like to have data on what the BPA levels were in the
20 infants and then do some sort of regression --

21 Dr. Cheeseman: I can't hear what you're
22 saying.

1 Dr. Broach: I understand in the
2 epidemiological studies you'd love to be able to
3 have the BPA levels in the urine so you could
4 actually do some sort of regression analysis of any
5 effects and then tie those to levels of BPA. But
6 there should be data on just bifurcating the
7 population into those that were breast fed versus --
8 children that breast fed versus those that received
9 formula, and to assess their other factors that
10 would play in. But it should give you some hint
11 about whether or not there was something -- some
12 risk associated beyond some of the things we can
13 assess from formula-fed babies versus breast-fed
14 babies?

15 Dr. Cheeseman: Well, I appreciate that
16 and I think, you know, we're still very early on in
17 planning what we're going to do with the
18 epidemiological data, and so we'll need to take that
19 sort of information into account.

20 Dr. McNeil: Thank you very, very much Dr.
21 Cheeseman.

22 I think what I'd like to do is move on to

1 Dr. Sackner-Bernstein and here his part of this BPA
2 presentation, ask questions of him and then if we
3 need to, go back to some questions for the earlier
4 part of the presentation. Otherwise I'm afraid
5 we're going to lose people.

6 Dr. Sackner-Bernstein: Well, thank you
7 very much for the opportunity to present to this
8 panel and share with you our thoughts on how we are
9 going to proceed as an agency to understand the
10 public health impact of BPA on medical products or
11 from medical products onto the patients who are in
12 need of their use.

13 Before I delve in, I would like to make a
14 couple comments as a presenter on behalf of the
15 agency. It wasn't long ago that I was on advisory
16 panels, having recently joined the agency, and I
17 think it's worth pointing out that the impact that
18 you can provide is much greater than you realize.

19 Often the FDA is not able to discuss all
20 the follow up discussions that are held based on the
21 input, but the input is quite valuable and ends up
22 having much more impact than probably most panel

1 members understand.

2 The second point I'd like to make is that
3 there were several comments in the public session
4 about public confidence in the FDA. And again, as a
5 new member of the agency I only wish you could see
6 into what I've been able to see, in terms of the
7 application of scientific principles and rigor of
8 people within the team that I work, the center, and
9 the Agency at large, on leadership levels and in
10 terms of the staff that does most of the day to day
11 work.

12 So, with that editorial statement behind
13 me, the purpose of today's presentation is three-
14 fold. First, I'd like to introduce the Science
15 Board to the agency's approach for understanding the
16 public health impact of BPA in medical products.

17 Secondly, we plan to make this
18 presentation our commitment to present to the
19 Science Board and circulate to you, as well as
20 provide public disclosure, an investigational plan
21 that outlines the specific steps we are taking in
22 this initial phase of understanding BPA's role in

1 medical product safety. And that will happen within
2 the next couple of weeks.

3 Third, we plan on utilizing today's
4 meeting, as well as your review of that
5 investigational plan, to get feedback for us to
6 learn from additional perspectives with extra
7 expertise and backgrounds that you all represent.
8 Other areas that perhaps we should consider, ways we
9 might approach certain of the questions differently
10 or perhaps -- and of course part of us would like to
11 hear this -- an endorsement that we're taking the
12 right approach.

13 This importance of BPA in human health is
14 well-described, based on the potential for
15 interacting with estrogen-dependant pathways. And
16 this has been discussed in many venues. Certainly
17 this could be of greatest concern in the pediatric
18 setting, as well as the in-utero settings
19 specifically, as has also been discussed previously
20 and alluded to earlier today.

21 The reports that BPA exposure has been
22 statistically associated with adverse clinical

1 effects warrants attention, though it's important to
2 note that these studies do not appear to show a link
3 to the medical products, per se. And its
4 importance, BPA's that is, is underscored by its
5 presence in medical products, particularly devices.

6 The Science Board's Subcommittee heard
7 last fall about the initial approach by CFSAN and
8 you were updated in some recent documents as well as
9 during the presentation today. And it's important
10 to address the fact that the approach taken by CFSAN
11 will be inherently different from that taken when we
12 look at medical products, largely because the type
13 of use of the product being regulated is different,
14 and importantly, that the regulations that govern
15 how we assess these products that are regulated, are
16 regulated. So specifically, as presented last fall,
17 CFSAN focused on the oral exposure route, as foods
18 would seem to dictate. And their regulatory focus
19 was on a safety assessment of the final food
20 product.

21 In terms of the assessment of medical
22 products, it's a bit different. The Center for

1 Devices and Radiologic Health, the Center for
2 Biologics Evaluation and for Drug Evaluation -- well,
3 we're going to focus on parental exposure studies,
4 and I'll explain to you in the coming slides why
5 that is the case. And very importantly, the
6 regulatory charge is that we look at medical
7 products, including BPA as a component of medical
8 products, in terms of the risk-benefit ratio.

9 In order to assess the clinical risk --
10 and as we will outline in greater detail in the
11 investigational plan -- we have two components. One
12 is a safety assessment, which is focused largely on
13 a literature review. The second is data-gathering
14 efforts, and I will discuss this a little bit
15 further.

16 The first component has already been
17 completed wherein we requested information via a
18 Federal Register notice. This docket closed in
19 December and we're continuing to put together the
20 information we received. That will be available to
21 Science Board members as well.

22 We will also work towards quantifying BPA

1 exposure in patients from medical products and we
2 will initiate our evaluation of alternatives to BPA,
3 not because we believe at this point or we have
4 evidence of this point that there should be other
5 products, but rather to understand the alternatives.

6 The investigative plan is based on a
7 three-step approach. I'll describe briefly each
8 step. As you can see here, steps one and two are
9 both required and feed into step three. So, I'll
10 start out with step one, which is our exposure
11 assessment. In order to perform an exposure
12 assessment, we started with making the decision of
13 how to prioritize the options. Several arenas have
14 been the subject of attention from one source or
15 another, and I've listed a few here in no particular
16 order, that have garnished the larger share of
17 attention.

18 We considered whether we should start with
19 evaluation of dental products, certainly an area
20 that has received a large percentage, a large
21 portion of attention, in order to determine at what
22 point dental products should be a focus of our

1 concern. The initial assessment is that exposure is
2 very low, relative to that from food, with
3 transmucosal absorption at the time of implantation
4 of BPA-containing dental products, brief and at low
5 levels. Therefore, we drew the conclusion that
6 dental products would probably be an area that would
7 be featuring a relatively low exposure.

8 Another proposal was to identify exposure
9 from all medical products, but clearly that's not
10 practical with the thousands of medical products
11 that FDA regulates. Thus, the initial focus is on
12 products that are likely to be associated with
13 higher exposures, as well as those used in
14 susceptible populations.

15 We determined that devices that allow
16 parental exposure to BPA should be the highest
17 priority for investigation in this initial stage.
18 Direct blood contact to BPA-containing medical
19 surfaces would seem likely to produce the more
20 extreme exposure. There would be potential for
21 significant leaching with the blood-device interface
22 and there's no first pass hepatic metabolism to

1 conjugate the substance before having systemic
2 contact. Additionally, exposure from medical
3 devices is amenable to study based on standard
4 pharmacologic principles, as well as international
5 standards that I will refer to later.

6 Even those medical devices likely to be
7 associated with higher exposures to BPA have
8 clinical benefits allowing us to balance the
9 benefits against the exposure, where those exposures
10 theoretically could represent the potential for
11 risk.

12 You heard from Dr. Cheeseman about the use
13 of uncertainty factors in the calculation of
14 tolerable intake values and understanding the
15 importance and the relevance of exposure
16 assessments. These are terms that come from the
17 international standards documents that I referred to
18 a moment ago and which I'll review in a little bit
19 more detail in subsequent slides.

20 When I -- right here about reducing
21 uncertainty, I'm not speaking in terms of that
22 toxicologic view, I'm speaking in terms of just

1 having better information with more precise
2 estimates.

3 So, focusing on that practical matter
4 means that what we want to do is understand the
5 potential impact of as a substance such as BPA, and
6 in order to do so it's critical to make measurements
7 to understand the range of exposures that patients
8 may face.

9 It was alluded to by several speakers in
10 the public session and in the FDA panel roster, that
11 most of the work has been done in animals. And it's
12 true that there are studies in people as well, but
13 that's where we are trying focus, on the clinically
14 relevant exposures in the clinically utilized
15 situations.

16 And so we chose to do so in two specific
17 areas in our initial investigation, and recently
18 established collaborations with Children's National
19 Medical Center and NCTR to perform an assessment of
20 exposure for children undergoing cardiopulmonary
21 bypass, and I'll refer in a few slides to what I
22 mean by that.

1 Another collaboration was established
2 recently with the University of Michigan. This gets
3 at another setting where BPA-containing devices are
4 used where there's a high likelihood of exposure to
5 BPA, that is hemodialysis, and this study is
6 actually being done on an established model for
7 hemodialysis.

8 So why did we focus on these two settings
9 in our primary assessment? Cardiopulmonary bypass
10 is likely to permit a high systemic exposure to BPA
11 via continuous and direct blood contact, wherein the
12 entire body's blood volume is circulated through the
13 machine while the heart does not provide any of the
14 pumping capacity for periods of two hours or longer.
15 This kind of open heart surgery, when used for
16 children undergoing corrective surgery for
17 congenital heart disease, is corrective. And the
18 benefit can be easily seen in that instead of dying
19 as disabled children, these patients can reach
20 adulthood without limitations. So in the public
21 health view, there's clearly net benefit.

22 CDRH is nonetheless investigating exposure

1 in children, because it's our belief as a center and
2 an agency that merely the presence of a net benefit
3 isn't enough, what we need to do is figure out if
4 there are ways to make that net benefit as great as
5 possible, maximize the benefits and minimize any
6 potential risks.

7 The second model -- the second situation
8 that we were focusing on is the model of
9 hemodialysis. Hemodialysis or renal replacement
10 therapy is likely to permit a high systemic exposure
11 to BPA by a continuous and direct blood contact for
12 four hours at a time, three times a week,
13 chronically. These are for people with end-stage,
14 non-functioning renal disease. This renal
15 replacement therapy is life-sustaining, and instead
16 of dying from kidney failure, patients survive with
17 the possibility of reaching transplant. Once again,
18 there's clearly net benefit. Our goal in studying
19 this is because it's likely to be a high exposure
20 setting, one where we can still try to make the
21 benefit even greater relative to any potential
22 risks.

1 The second step of our approach will be
2 the toxicology assessment or the toxicity
3 assessment. I previously referred it -- referred to
4 an international standards document, specifically
5 it's ISO-10993-17, which is a document that
6 specifically establishes an international standard
7 for how to evaluate the potential problems and how
8 to interpret them within the construct of potential
9 clinical benefit of a medical product that has
10 leachable materials.

11 As an agency we propose to adhere to this
12 international consensus on how to conduct risk
13 assessments for compounds released from medical
14 devices. The approach is conceptually similar to
15 that used by CFSAN to derive ADI values and CDER to
16 estimate first-time drug dosages in humans. This
17 international standards document recommends
18 accounting both for the risk of substances such as
19 BPA, which is today's subject, and the clinical
20 benefit of using these devices or products that
21 contain that product, which in this case, again, is
22 BPA. So it's advising risk, benefit, in comparison

1 to each other, consistent with the FDA's regulatory
2 mandate.

3 What I'd like to do now is just present to
4 you this list of the way we're going to be assessing
5 toxicity from a literature review. And what we've
6 summarized here is the characteristics of the study
7 -- of the studies that we will include and the
8 studies that we will consider including in our
9 assessment.

10 The critical part here is that we are
11 capturing studies with a broad net in order to make
12 sure that as much information is included and any
13 insights that we can gain are going to be useful.
14 And this assessment is ongoing, there have been a
15 number of people reviewing these studies already.
16 We're talking studies well over 100 that have been
17 reviewed already and I think the list is just likely
18 to keep growing as this becomes a dynamic and living
19 literature review.

20 One factor that may seem confusing is at
21 the lower right, where it's clear from this slide,
22 or at least it should be, that when we write studies

1 to be considered, they're not necessarily going to
2 be in the primary data set. And we list ones where
3 the effects of BPA are only seen at high doses,
4 greater than 10 milligrams per kilogram per day.

5 The CDRH Toxicity Working Group has
6 reviewed over 100 toxicology studies in animals.
7 Many use doses greater than 10 milligrams per
8 kilogram per day and some already have established
9 that the no-adverse-effects level is going to be
10 under 10 milligrams per kilogram per day. So in
11 other words, where we're going to be safe is at a
12 level lower than this value. If we already know
13 that levels above this value or already believe that
14 levels above this value are unlikely to provide
15 additional clarity, in terms of where the no-
16 adverse-effects level is, it doesn't make sense to
17 focus on those and slow down the process, as part of
18 our initial assessment. Nonetheless we are
19 cataloguing these studies and they will be available
20 for review if the information we gather indicates we
21 need to look at them as well.

22 Step three of our process is the risk

1 characterization. I referred to the ISO documents
2 before as an international standard document and
3 these are some of the key points that the ISO
4 document has. It tells us that we should compare
5 exposure or the dose of BPA received by patients to
6 a tolerable intake value. We are going to be
7 measuring exposure in humans in controlled settings
8 for the first time in the collaborations that I
9 described previously, and it will be in children
10 undergoing bypass, as I mentioned.

11 The international standards include
12 transparency with regard to uncertainties and risk
13 assessment and our intention in today's meeting, as
14 well as providing our investigational plan, is to
15 provide the transparency that people are asking for.
16 It's critical for those scientists who are not part
17 of the FDA to explain to the non-scientists that
18 unfortunately science doesn't always work at the
19 pace we want. It works at a pace that the studies
20 can evolve. And therefore, while it might not seem
21 we're being transparent, this -- today's meeting and
22 that disclosure of our investigational plan should

1 be evidence that we are committed to transparency.

2 Third, and very importantly, when we're
3 getting into issues of medical products, the ISO
4 document establishes a concept that the
5 acceptability of any leachable chemical, in this
6 case BPA, that its exposure is to be determined on a
7 case-by-case basis, depending upon the clinical
8 benefit of the device or drug, whichever product is
9 being evaluated, the availability and clinical
10 performance of alternatives to the products that
11 contain BPA, and the clinical status of the
12 individual patient, as to whether or not the risk-
13 benefit ratio is appropriate for that patient.

14 In order for the Agency to be prepared for
15 the evolution of the science, of how materials and
16 medical products interact, we needed to start
17 planting our seeds and establishing our studies to
18 see what alternatives there may be to BPA. Once
19 again, I bring up the fact, and I'd like to
20 emphasize, that the current data do not say there
21 should be something besides BPA, rather because that
22 is a possibility that products will be submitted for

1 review with other compounds, we are focusing on
2 preparing for the advent of such submissions.

3 There is available information that
4 suggests that there are several potential candidate
5 replacements for BPA. Unfortunately, there's very
6 limited information on the risks of these compounds.
7 Thus, these alternatives pose unknown risks and
8 unclear effects on device functions. CDRH is
9 initiating preliminary assessments of several such
10 candidates that could replace BPA, but because they
11 are initial, by the very nature of such studies,
12 these will include brief exposure in the preclinical
13 setting.

14 As we move forward with finalizing our
15 investigational plan and then carrying it out, we
16 remained focused on our public health goal of
17 striving to minimize risks while maximizing
18 benefits. To do so, we continue our research, both
19 internally and externally, and importantly, we look
20 forward to providing you with our investigational
21 plan and receiving your feedback today and in
22 response to that document.

1 Thank you very much.

2 Dr. McNeil: Thank you very much as well,
3 that was a lovely presentation.

4 I guess Garrett, I would ask you again
5 even though this is slightly off the mark.

6 Dr. Fitzgerald: So, I commend you,
7 actually, on the very structured approach that
8 you're taking to the issue. And the only thing that
9 sort of caught my attention as it went by, which I
10 suspect reflects the nomenclature rather than
11 reality, is that you consigned pharmacokinetics
12 studies into the considered bin. And given that so
13 much of the uncertainty here revolves around the
14 accurate measure of exposure and its relationship to
15 dynamic response, that would seem not appropriate,
16 but perhaps that was just a nomenclature issue.

17 Dr. Sackner-Bernstein: Yeah, the reason
18 for that -- and I'm glad you brought up that point -
19 - is that what we're doing in that literature review
20 is trying to understand toxicity. So when there's a
21 PK study, a pharmacokinetics study that purely
22 measure pharmacokinetics with no information

1 reported on any clinical effects, any effects on
2 liver enzymes, any other physiologic parameters, but
3 is a pure PK study only measuring drug levels,
4 that's not going to be a primary part of how we try
5 to determine what level correlates with potential
6 adverse effects.

7 Dr. Fitzgerald: Well, I guess the bit of
8 information you do have in those pharmacokinetic
9 studies is how much of the material is delivered and
10 you relate that to the measured concentration. And
11 given that in the oral situation, in terms of what
12 is delivered, there's so much variance as we heard
13 about previously. And there's so little information
14 as to how much variance there might be delivered
15 into the systemic circulation. For example, in the
16 setting of bypass, I think as much information as
17 you can accumulate that relates -- that relates
18 plasma concentrations to known amount delivered can
19 be actually helpful to you in interpreting what
20 might turn out to be a highly variable situation.

21 Dr. Sackner-Bernstein: Yeah, I suppose
22 that the way could apply that, practically speaking,

1 is to say we have our literature review that focuses
2 on toxicology, but we still need to know exposure.
3 So those kinds of studies could be part of that
4 review of what kind of exposure we get from doses,
5 even if they only have PK studies.

6 The truth is, is you and I -- we all know
7 -- most studies that are geared towards
8 pharmacokinetics do include other parameters. So,
9 they're likely going to fall into the bin of the
10 studies we'd include, therefore from both
11 perspectives. But I think your perspective of
12 saying we should include that for exposure
13 assessments is very valuable.

14 Dr. McNeil: Steve, you had a comment?

15 Dr. Spielberg: Thank you for that
16 presentation, both for the science that's going to
17 be done, as well as perspective.

18 A couple quick thoughts and then a broader
19 comment. When you're doing the study on
20 extracorporeal circuits, make sure you capture all
21 other drug exposures. The reason I say this is that
22 a lot of the drugs that are used, for example

1 propofol is in a cream form vehicle, and the vehicle
2 may in fact change the rate of leaching of a variety
3 different products, from IV tubing, from plastics,
4 et cetera, et cetera. So just be sure that you're
5 capturing everything that's being used and not just
6 the name of the drug, but the actual product that's
7 being used, whether diazepam or diazepamul, which
8 again can have differential effects on picking up
9 products from a delivery system.

10 And the other general thing is, because PK
11 is so influenced by extracorporeal circuits, I'd
12 probably empanel some folks who have done
13 pharmacokinetic studies on drugs in these settings,
14 both dialysis as well as -- as well as
15 cardiopulmonary bypass, so that you'll be able to
16 get a better idea of when to really sample, how to
17 interpret those samples with respect to steady state
18 levels and before and after. Because lots of things
19 go on in terms of volumes of distribution and
20 everything else during the process of doing
21 extracorporeal circuits. And there's a good deal of
22 literature on the drug side that I think can

1 probably help inform that aspect of things. So,
2 those are just two comments on those studies.

3 The broader comment and, you know, again
4 I'm speaking as a pediatric clinical pharmacologist
5 and knowing very, very little about BPA. I'm the
6 new guy on the block and didn't even know about
7 these discussions until a few days ago, which
8 naïveté is helpful in this regard, so I can make
9 some comments. And again, it comes to trying to
10 draw heavily on the pediatric clinical pharmacology
11 world. Those of us who study molecules that we call
12 medicines versus those of us who study molecules
13 that we call environmental chemicals or potential
14 toxicants, because basically the same principles
15 exist.

16 And I've heard so much discussion by
17 enormously thoughtful and caring people throughout
18 the room today about how to interpret data and the
19 uncertainty that you talked about, Dr. Torti, with
20 respect to extrapolation. And we have a great deal
21 of difficulty extrapolating data from our rodent
22 colleagues to us. We are continuously impressed

1 that doing developmental pharmacology often gives us
2 grossly wrong signals about risk or benefit or
3 pharmacokinetics of drugs when we then turn to
4 looking at humans.

5 And I suppose the issue of extrapolating
6 the animal data to the human data will be helped if,
7 again, we do some of the things that we've done in
8 pharmacology over the last numbers of years.

9 Okay, so we've got a molecule, this
10 glucaronic data. That's not enough of a statement,
11 we need to know which human glucaronic transferase
12 is responsible for this. So, if it was the drug,
13 we'd be screening against all the families of the
14 glucaronic transferases to know which enzyme is
15 involved, because each of those is on different
16 ontogenetic regulation and develops under different
17 timeframes. So to say glucaronidation is limited in
18 the newborn isn't helpful at all, it depends on
19 which glucaronic transferase is involved. And if we
20 know that for something like BPA, that's going to
21 help us model up and back between our rodent models
22 and our human models.

1 Similarly, once you glucuronidate a
2 molecule, it can be deglucuronidated by
3 glucuronidates, and we need to know about that
4 process between rodents and humans, and that may be
5 important. In some of the differences between
6 enteropathic recirculation and reavailability of
7 parent molecule, which will give you radically
8 different results in one species and another,
9 incomparable levels of exposure.

10 So the issue of cross-species
11 extrapolation will be helped greatly if we know
12 something more about the human-specific pathways of
13 metabolism of the compounds and their ontogeny. And
14 we have increasing amounts of data on that, which
15 we've had to glean from drug exposure and knowing
16 about specific drug exposure and how that changes
17 over time.

18 On the development or ontogeny of
19 receptors or targets, is here too that we run into
20 huge difficulties with respect to extrapolation, not
21 only across species, but for that matter, from adult
22 humans to kids because of a receptor that's present

1 in adults and we think the disease is the same in
2 kids and we go ahead and use the drug, that receptor
3 may in fact not be present in a six-month old, so
4 the drug simply doesn't work.

5 So we're struggling similarly to the way
6 the toxicologists are struggling to understand the
7 ontogeny of those receptors. If you think about a
8 mouse, okay, weaned at three weeks and
9 reproductively capable at six weeks. Okay, as
10 opposed to weaning, say at a year in a child, and a
11 decade later going through puberty. The
12 significance and the relevance of estrogenic or
13 androgenic or any other steroid pathways or targets
14 is going to be radically different with respect to
15 timelines in the different species.

16 And so it's not just size and it's not
17 just metabolism, but it's an entirely different
18 construct in ontogeny and development. Guinea pigs
19 walk at day one, mice don't for a couple of weeks.
20 Okay, humans don't for a year. So, very different
21 pathways of neural development as well, and the
22 things that we're concerned about in higher

1 function, which, you know, going to school,
2 succeeding in life, all the things that we want for
3 our children, are so dependant on processes that
4 aren't necessarily all that easy to study in
5 animals. And Bill Slikker and others at NCTR have
6 done heroic jobs trying to develop predictive models
7 from neurotoxicity to what goes on in humans, but
8 the gaps are still there.

9 So I suppose the thing that we're going to
10 need to struggle with and we're going to have
11 uncertainty no matter how good we get in the science
12 and we're going to have to accept that. We will
13 never know everything, that's not the way nature is.
14 But to the extent that we can share knowledge that
15 we've developed on the clinical pharmacology side in
16 pediatrics with the toxicology side, I think we'll
17 be better off.

18 So, in collaboration with NIH, I would put
19 very strongly to involve the pediatric pharmacology
20 research units, both in the PK studies that you're
21 planning for extracorporeal circuits, but also for
22 thinking about how to systematically look at the

1 development of these pathways in humans so that we
2 have at least a better way of decreasing uncertainty
3 when we extrapolate from either rodents or non-human
4 primates.

5 Dr. McNeil: Thank you. That was a very
6 thoughtful set of comments and ideas.

7 Dr. Sackner-Bernstein: I would just say
8 that I hope you have time to look at the plan. That
9 would be great.

10 Dr. Spielberg: Happy to help and I'm not
11 the world's greatest pharmacokineticist, but I know
12 who is. So, I can get them involved as well,
13 because I think, you know, we're all struggling,
14 we're all trying to do the right thing. No one here
15 is trying to do the wrong thing and I think that's
16 what message that needs to get out to everybody.
17 And we're all struggling to understand nature and
18 we're struggling to understand it in real time,
19 which is the hardest thing because the science keeps
20 changing.

21 But the good news is that I think there's
22 a lot of richness in other areas of science, that if

1 we can sit down together we've got a chance of maybe
2 doing a little quantum increase in our abilities.

3 Dr. McNeil: Thank you.

4 Are there other -- other questions or
5 comments before we thank Dr. Sackner-Bernstein, as
6 well as Dr. Cheeseman for their very thoughtful
7 presentations and updates? And I suspect we're
8 going to be hearing more from each of you almost
9 every meeting. Is that right? Great. Thank you
10 very, very much.

11 Are there any other general questions for
12 members of the panel? I have a few things I want to
13 say at the end, but I want to make sure that there's
14 nothing that's left unsaid by us.

15 Alright, so let me just say a couple of
16 things. I think we left one thing that may be left
17 -- one thing may be left dangling. I'm not quite
18 sure whether the board approved Dr. Torti's request
19 that we establish a subcommittee to look at IT
20 within the Science Board. And I would therefore
21 like to get your permission to, if you agree, set up
22 such a subcommittee. Are there any objections to

1 that? Do we need to take a formal vote, Carlos? Is
2 it unanimous? Is anybody objecting to that
3 wonderful idea?

4 [No response.]

5 Dr. McNeil: No, okay. People who leave
6 early really get stuck.

7 And Lonnie King, at the break, had a
8 really good idea and I thought I would say a word
9 about it and then maybe we can see how it works at
10 our next meeting. I think we were all very, very
11 much impressed with Frank's discussion this morning
12 about the FDA fellows and their credentials and
13 their topics. They just looked spectacular and
14 we've really only half of them. Lonnie suggested
15 that while they do have mentors within the FDA, and
16 we actually saw them according to each of their --
17 each of their projects, that it might be nice to
18 have members of the Science Board, if interested,
19 interact with them in ways that were appropriate, if
20 their indeed are any.

21 So, Frank and Norris and Carlos and
22 others, the staff here, and sector directors are

1 going to think about that between now and our next
2 meeting, and see if there's a way, that where it's
3 appropriate, members of this Board may be able to
4 provide additional insight or just networking and
5 mentoring abilities for these fellows.

6 If that turns out to be a good idea, we'll
7 figure a way to get everybody together and it may be
8 the night before the next meeting, something like
9 that, but more to follow on that.

10 So, thank you, Lonnie, that was really a
11 brilliant idea. So we will carry through on that.

12 And I learned today, actually, from
13 Norris, I hadn't realized that our friend Carlos,
14 Senior Policy Analyst for this Committee, has moved
15 on -- or is going to move on to work -- still in the
16 Commissioner's Office on nanotechnology. That's
17 going to be an enormous loss I think for us. He's
18 just been enormously helpful in not only just the
19 logistics, but having a real understanding of a lot
20 of the issues that we have to deal with, and in
21 helping us think through the best way of getting the
22 information before the board, before the public,

1 getting feedback, just organizing and thinking
2 what's the best thing to do at what particular time.

3 So, we will miss you, Carlos. So I would
4 like to personally thank you and I would like the
5 board to thank him as well.

6 [Applause.]

7 Dr. McNeil: And then finally, one little
8 technical note, I think it's finally, would the
9 replacement for Dr. Zuckerman please come up and
10 make sure we have spelled your name correctly. We
11 don't want to do anything incorrect for the public
12 record.

13 So, are there any other issues that we
14 need to discuss? If not, I think we are adjourned.

15 Thanks.

16 (Adjourned at 2:50 p.m.)

17

18

19

20

21

22