

1 DR. HILMANTEL: Yeah. That's true that it
2 can vary from visit to visit. You may have some
3 patients pop up above whatever level you choose, but
4 equally you can have patients, if it's just random,
5 you'll have patients dropping below that to kind of
6 balance it out. So I think it's a reasonable
7 approach to look at the proportion that were below
8 whatever number you want to look at. But you do have
9 to recognize that there are limitations to that and
10 be aware of it.

11 DR. WEISS: Dr. Bandeen-Roche.

12 DR. FERRIS: Can I just follow up on that
13 question?

14 DR. WEISS: Are you going to continue that
15 question or is it --

16 DR. BANDEEN-ROCHE: Yes. And I might
17 dovetail to you actually as well because I do
18 strongly agree with Dr. Ferris' comment and, you
19 know, listening to Dr. Musch, I wonder whether
20 something like a quantile regression would be useful
21 here. I understand it's not straightforward, you
22 know, for the same reason that the exponential model
23 isn't straightforward, you know, but it seems to me
24 that that might get at the question of, you know,
25 risk of being below a certain amount while taking

1 some smoothing into account.

2 DR. HILMANTEL: We did struggle with this
3 data. We looked at it in several ways. One way that
4 it was looked at was the sponsor used their
5 biexponential model and then used the distribution of
6 the residual measurements around the regression line
7 at postop time points. They used that to project the
8 proportion of eyes that would be below certain, say
9 1,000 at different time points, or 750 at different
10 time points, and that's presented in the executive
11 summary. If you look at the projection at 48 months
12 for those tables, it's very close to the numbers that
13 I presented as observed at the final time point.

14 DR. WEISS: Dr. Ferris.

15 DR. FERRIS: Just to follow up on that,
16 I'll take off my epidemiology hat and put on my
17 clinician hat, and that is, what about time to event
18 where the event is corneal edema, because we're
19 looking at this surrogate, and then we've got a model
20 of the surrogate, and at the end of the day, what
21 we'd like to know is what's your risk of developing
22 corneal edema over time?

23 DR. HILMANTEL: We didn't do that. I mean
24 we couldn't do that.

25 DR. WEISS: Dr. Szlyk.

1 DR. SZLYK: Just a quick question. Do you
2 have any data available on or did you dissect the
3 data by acuity levels and ECD levels just to get an
4 idea of the risk in that better acuity group from
5 20/80 to 20/160?

6 DR. HILMANTEL: No, we didn't do that, and
7 you do have to recognize that, I mean this is a study
8 of approximately 200 people enrolled, and you have
9 very noisy data, and the more you slice and dice it,
10 the more difficult it gets to get a precise estimate
11 of something. You can estimate whatever you want,
12 but the confidence limits on that would be quite
13 wide.

14 DR. WEISS: With that in mind, the final
15 preferred group of 33 that had the deeper AC and the
16 better characteristics, what would be the noise to be
17 looking at that particular group to say that's who
18 should be getting this implant?

19 DR. HILMANTEL: Well, I mean I presented
20 that in my slide. The group of 33, the main
21 restriction, the main additional restriction in that
22 group was the cornea specialist requirement and, you
23 know, there was only --

24 DR. WEISS: No guttata.

25 DR. HILMANTEL: -- a limited number of

1 cornea specialists in the study, and a lot of them
2 lost eyes in the LTM phase of the study. So as I
3 mentioned, if you look at the cornea specialists, in
4 the LTM phase, most of those eyes came from one or
5 two sites. I believe Dr. Stulting's site was
6 actually one that contributed the most in the LTM
7 phase in that restricted group.

8 DR. WEISS: Does anyone have any other
9 questions for -- I guess I just want to clarify that.
10 So is that yes, there's a lot of noise? Or yes, you
11 could say it would have statistical significance?

12 DR. HILMANTEL: Well, if you look at the
13 slides that I had, and I can put them up again, but
14 there's a very wide confidence interval on these
15 rates for such a small group. So the level of surety
16 of safety that you have, if you're only basing it on
17 a small group like that, is very limited.

18 DR. WEISS: Okay. Are there any other
19 questions from the Panel?

20 (No response.)

21 DR. WEISS: Can we now get sponsor's
22 comment on that one slide before we go to lunch?

23 DR. EYDELMAN: I was told yes.

24 DR. WEISS: There was a percentage of
25 patients who lost vision, the slide that added up to

1 100 percent. If you have a comment, you're welcome
2 to make it now, and if you do not, we will break for
3 lunch. So whatever you prefer, Mr. Hill.

4 MR. HILL: Madam Chairman, I'd recommend we
5 break for lunch so we can pull up that original
6 table. I know the answer, but I want to show you
7 the --

8 DR. WEISS: I see a hungry sponsor. That's
9 what I see.

10 (Laughter.)

11 DR. WEISS: So we will now break for lunch.
12 We will reconvene again in this room 45 minutes from
13 now, and I mean 45 minutes. Please take any personal
14 belongings you may want with you at this time. The
15 ballroom will be secured by FDA staff during lunch
16 break, and you won't be allowed back in the room. So
17 if you want it, take it with you.

18 I have 1:00 p.m. 1:45 we'll start again.

19 (Whereupon, at 1:00 p.m., a luncheon recess
20 was taken.)

21

22

23

24

25

1 briefly as possible, as succinctly but correctly. So
2 thank you.

3 (Laughter.)

4 MR. HILL: Will a yes and a no suffice?
5 There was a question regarding the stratification of
6 the visual acuity and the outcomes. I'm paraphrasing
7 this somewhat. On individuals who had preoperative
8 visual acuities in the moderate group, 20/80 to
9 20/160, 87 percent of these subjects gained 2 lines
10 of distance or near vision, 47 gained 2 lines of
11 distance and near vision, and 13 percent gained 3
12 lines of distance and near vision, 53 percent gained
13 greater than 2 lines of distance visual acuity, and
14 13 percent gained greater than 3 lines of distance
15 only vision. Baseline visual acuity, Chet, do you
16 have that on that group? I believe Dr. Musch asked
17 that question.

18 DR. EDRINGTON: Was there any loss?

19 MR. HILL: We're attempting to find that
20 right now. We have not been able to do it. Whatever
21 it may be, it must be very minor because there were
22 only 14 patients in this cohort. The --

23 DR. GORDON: Allen, one.

24 MR. HILL: Pardon me.

25 DR. GORDON: One.

1 MR. HILL: One. I have an answer. One.

2 DR. EDRINGTON: One.

3 MR. HILL: Okay. I'm out of date. In
4 terms of the baseline visual acuities are depicted
5 here. So you can see them. In terms of the
6 moderate, severe, and profound visual acuity.

7 DR. WEISS: So that answered the question
8 for the Panel. Everyone's satisfied? Okay.

9 DR. EDRINGTON: Can I ask a follow-up?

10 DR. WEISS: Yes, Dr. Edrington.

11 DR. EDRINGTON: On the one that last some
12 visual acuity, was there an explanation for that?

13 MR. HILL: I do not know. I don't have
14 the -- I don't know. I'll see what I can find here.

15 DR. WEISS: Okay. Were there any other
16 questions that you had wanted to address?

17 MR. HILL: I'm looking for Janet Wittes.

18 MS. WITTES: I'm here.

19 MR. HILL: Oh, there she is. Yes. We had
20 a question from Dr. Bandeen-Roche that we're going to
21 answer.

22 DR. WITTES: A couple of questions for you.
23 Here's a question about the 22 pairs of eyes. The
24 mean visual acuity for the IMT was 20/300 and for the
25 fellows, 20/200. And, of course, the eye that was

1 worse is the one that's in the group.

2 Okay. Next, next, next. All right.

3 DR. WEISS: I have a question on that
4 slide. Was that a preoperative or this was one of
5 the --

6 DR. WITTES: Preop.

7 DR. WEISS: Have they had an IOL at any
8 point in time prior to --

9 UNIDENTIFIED SPEAKER: No.

10 DR. GORDON: What Janet is presenting is
11 the 22 eyes that underwent cataract extraction and
12 IOL implantation during the study. So they may have
13 a baseline pre-IOL and the postoperative post-IOL.

14 DR. WEISS: And actually a quick follow-up
15 question on that. Was the nucleus gracilis similar?
16 Did anyone look at -- because even they're two eyes
17 of the same patient, we don't know if the cataracts
18 were the same. So was there any control to see if
19 the cataracts were fairly similar preop or we don't
20 know that.

21 DR. GORDON: We should be able to find that
22 information for you.

23 DR. WEISS: Thank you.

24 DR. WITTES: Okay. Question about survival
25 analysis. It wasn't performed on a lot of these

1 variables partly for the reason that Dave had
2 mentioned, that things go back and forth. This
3 analysis just done, the last postop visit. So the
4 IMT-implanted subjects, 10 of them, 5 percent in the
5 IMT eyes and 14 percent had loss of more than 2
6 lines, but the time to events analyses were not done,
7 and most of them can't really be done.

8 Okay. This is an analysis just hot of the
9 press. So if you ask any more questions about it,
10 I'll say I don't know.

11 Is that okay, Karen? Can we move on?

12 DR. BANDEEN-ROCHE: Okay.

13 MS. WITTES: Okay. Next. Okay. The
14 question about the biexponential model, here's the
15 observed and fitted means. So they're very close.
16 It's a four parameter model. It's not surprising
17 that it's a very good fit. The question that you
18 asked about, the within subject correlation, we
19 obviously struggled with this. The models that we
20 tried failed to converge, and so one of the ways of
21 looking at it, so what's the 6 percent? In a formal
22 way, the 6 percent is you take the four parameter
23 model, you fit the confidence in, you get the 90
24 percent. You get a 6 percent. But you can look at
25 it as just 6 percent is twice the observed, and so

1 even if the observed loss per year is 3 percent, 6
2 percent is twice that which is bigger enhanced
3 conservative but the model, but in answer to your
4 narrow question about did this include the within
5 patient correlation, no.

6 DR. MUSCH: Dr. Wittes, the fact that
7 the -- I think the piecewise linear mixed regression
8 that the FDA did probably fit because they modeled
9 the period of rapid decline and then modeled the
10 period of rather linear loss, wouldn't you say? I
11 mean if you try to fit a linear mixed regression to a
12 pattern like that, it won't converge probably.

13 DR. WITTES: Well, I mean I think your idea
14 of quantile response is --

15 DR. MUSCH: Yeah.

16 DR. WITTES: -- but I think the way to
17 think about it is sort of non-statistically, just is
18 6 percent consistent with the data seen, and it's
19 actually twice the observed.

20 DR. MUSCH: Twice.

21 DR. WITTES: Okay.

22 DR. WEISS: Okay. One, if the sponsor is
23 set, we have one question here.

24 DR. SUNNESS: I was just wondering about
25 the question, if you just look at the group that you

1 have the long-term study on as well, if you get the
2 same rates for endothelial cell loss?

3 MR. HILL: The answer is, while not
4 presented today, the answer is yes.

5 DR. WEISS: Okay. So that was -- I'll just
6 identify that was Dr. Sunness and Mr. Hill, and so
7 now if we're set with the questions that were
8 floating, we're going to onto the FDA IMT Panel
9 meeting questions, and that will guide the
10 discussion, and if the FDA can perhaps project those.

11 The first question: The sponsor has
12 presented specular microscopy data from IMT-002 and
13 IMT-002-LTM. Morphometric analyses were collected
14 under both protocols. Considering the surgery-
15 related decline in ECD, the chronic rate of ECD loss,
16 the morphometric analyses, the proportion of eyes that
17 declined to low ECD levels, and the number of cases
18 of decompensation and late corneal edema, please
19 address the following -- and we'll address these one
20 by one.

21 A, which is the first thing we're going to
22 address, please discuss whether the ECD and
23 morphometric data provide reasonable assurance that
24 the long-term risk of corneal decompensation will be
25 acceptable, acceptable is the word we're looking at,

1 for the intended population?

2 So I'd like to throw this out to the Panel
3 and whoever, you know, would like to start the
4 discussion. Is the long-term risk of corneal
5 decompensation in the studies presented acceptable
6 for the intended population? Dr. Ferris.

7 DR. FERRIS: Thank you so much.

8 DR. WEISS: Oh, I know.

9 (Laughter.)

10 DR. FERRIS: So what's acceptable? And,
11 you know, people take all sorts of treatments that
12 are very high risk because they have an interest in
13 the benefit. And as I said earlier, I think what I
14 would need if I was trying to decide whether I wanted
15 this implant, and I might well want this implant if I
16 had geographic atrophy, is some reasonable estimate
17 and I would say not -- a conservative estimate in the
18 sense of 6 is a doubling of 3, what are the
19 proportion of eyes that are at risk. If I look at
20 the skewed distribution, there are 20 percent of
21 those eyes that look to me like they're at risk for
22 corneal edema, and I'd like to actually -- and I'd
23 still like to know what is the rate of corneal edema
24 in this subgroup. And I would present that
25 information to the patients. Here's the benefits,

1 here's the risks, and you decide.

2 DR. WEISS: So, to you, that if a
3 percentage could be given, whether it's 5 percent or
4 25 percent, if you could quantitate a percentage to
5 put in an informed consent, then that could be
6 acceptable.

7 DR. FERRIS: To me it is, and the
8 unfortunate thing to me is that we have data maybe
9 going out to five years, and I understand because I
10 talk to these patients all the time, that when you
11 say, you know, your 5-year risk or 10-year risk, oh,
12 honey, I'm not going to live that long. Well, the
13 reality is they are going to live that long, or at
14 least 50 percent of them are going to live 10 years.
15 So I think the nagging concern is that there is this
16 potential time bomb of some proportion of the
17 patients who are going to develop corneal edema and
18 need something more, and if they know it up front, I
19 don't think it's a problem. If they think everything
20 is just fine, that's where I think there's a problem.

21 DR. WEISS: So I would ask you as a follow-
22 up, is there an upper number that you would say, even
23 if a patient was informed, it would not be
24 acceptable?

25 DR. FERRIS: So I looked at the Stargardt

1 stuff and I was pleased to hear the sponsor say, you
2 know, 50 percent is just beyond what we think is
3 reasonable, and I was wondering what would be
4 reasonable to me, and if it was 10 percent risk or 20
5 percent risk, as a 10-year risk, and it made a
6 difference between whether I could get around or not,
7 I think that's a legitimate risk. My problem is I
8 don't -- it would be nice if I could give some better
9 estimate to my patients as to what that risk is.

10 DR. WEISS: So in your mind, if you could
11 quantitate it, that would be the first requirement,
12 and if you could quantitate it in your mind, 20
13 percent or less at 10 years would be reasonable or
14 acceptable?

15 DR. FERRIS: Yeah, and I think that's where
16 this data is. I mean it's around 5 percent or so at
17 5 years or 3 percent. I have a hard time figuring
18 out what the denominator is here for the corneal
19 edema issue, but I think they could come up with some
20 estimate, and to me, that's the key for this device
21 is to give the patients some fair warning and also
22 some fair estimate of what their benefit's going to
23 be.

24 DR. WEISS: And that's why actually as
25 members of the Panel comment on this question, I've

1 taken the Chair's prerogative to ask you individually
2 what your upper limit would be because if data can
3 subsequently be calculated another way to come up
4 with a number, it might be helpful for FDA or
5 whatever to be guided by what the upper limit might
6 be. Dr. Matoba, then Dr. Bandeen-Roche.

7 DR. MATOBA: Okay. So I agree with
8 Dr. Ferris but, too, the comments about the
9 endothelial cell loss risk, we should also make sure
10 that the patient is informed that -- well, if you
11 look at the number of lenses that were not implanted
12 intraoperatively and then the number that were taken
13 out postoperatively throughout for the various
14 reasons, there's about a 9 to 10 percent chance that
15 they won't be able to have the lens at all, and then
16 that subset of patients may have a sight risk or
17 other types of types of complications. So that
18 should be added to whatever endothelial cell problems
19 they may have.

20 DR. WEISS: And this doesn't totally
21 address this question, but it would address labeling.
22 Perhaps we could -- you could scribe that --

23 DR. MATOBA: Yeah, true.

24 DR. WEISS: -- is that if other -- if you
25 develop a corneal complication, you should be aware

1 that other complications could be associated.

2 DR. MATOBA: Yeah. It goes to Dr. Ferris'
3 point that of he would like to divulge or have a
4 simple way to inform the patient of the actual risk
5 of attempting the implant.

6 DR. FERRIS: I'd be interested in what the
7 FDA people think with regard to the predictability of
8 low endothelial cell count based on starting
9 endothelial cell count. This is in my data, but I'm
10 just looking at it, and it doesn't look like it was
11 that good of a predictor as to who was going to wind
12 up in the lower quantile.

13 DR. WEISS: I think that's going to speak
14 to the question of the grids that we're going to get
15 to in a bit. So I'm going to hold off on that.
16 Dr. Bandeen-Roche.

17 DR. BANDEEN-ROCHE: So I would say that I
18 agree with the spirit of the comments that have come
19 so far. It's important to characterize the risk in
20 terms of not only the mean but in terms of the
21 percentage of patients who can expect to have an
22 adverse outcome. Just to comment on the numbers that
23 are being thrown out, someone please correct me if
24 I'm wrong, but I think we're at about 15 percent
25 seems to be the overall, not the guttata free I don't

1 think, estimate at 48 months of an ECD below 1,000 is
2 it? You know, so I don't know what the cutoff we're
3 using is, and then you just mentioned the percentage
4 who can expect to not even be successful with the
5 implant.

6 DR. WEISS: And be out of the study by
7 then.

8 DR. BANDEEN-ROCHE: And be out of the study
9 by then and, you know, so those numbers are already
10 up there.

11 DR. WEISS: So I would question that, and
12 perhaps the data that we have is as good as we can
13 get, and I don't know, but let's say in the situation
14 that this magic number cannot be achieved by anyone
15 in this room and what you see is what it is, and if
16 the number's let's say 15 percent at 2 years or was
17 it 4 years.

18 DR. BANDEEN-ROCHE: Four years.

19 DR. WEISS: At four years below 1,000, if
20 that's what we've got, is that acceptable?

21 DR. FERRIS: But I'd like to be clear that
22 I view that number as a surrogate, a pretty noisy
23 surrogate, and that's not what I was talking about.

24 DR. WEISS: I totally understand. I know
25 it's not what anyone here wants, but what I'd like to

1 do is perhaps help FDA in that we all want a lot of
2 things in life and most of it don't happen. So if
3 this falls in that category and all we have is what
4 we have and what we have is 15 percent ECD below
5 1,000 at 48 months, that's what we're all looking at,
6 at this moment, is that acceptable?

7 Let's go around the room. Just -- Barbara,
8 if we could start with you. Is that acceptable?

9 MS. NIKSCH: My answer would be yes.

10 DR. WEISS: And you can abstain also, but
11 you can yea, nay or abstention. Mr. Bunner.

12 MR. BUNNER: I would think so, and just
13 from my perspective being not nearly as
14 technically --

15 DR. WEISS: Well, that's what we want, your
16 perspective. That's why you're here.

17 MR. BUNNER: -- is we're also talking about
18 individuals facing a severe visual impairment to
19 begin with, and I think for the consumer, that risk
20 equation is different than other risk equations we
21 face in life. So I think I would be more acceptable,
22 although a greater risk factor, in making that option
23 than I would be under other circumstances in
24 healthcare. So, yes.

25 DR. WEISS: Dr. Ferris.

1 DR. FERRIS: I actually agree.

2 DR. WEISS: So what we have, you would say,
3 would be an acceptable risk level if the patient was
4 adequately informed?

5 DR. FERRIS: Right. And I would add that
6 what's acceptable for the Hamlet types is going to be
7 different than Admiral Ferris. I mean there are
8 going to be people who look at this very differently,
9 and so I think you need to give them the numbers and
10 let them choose it. It's not up to me to choose.

11 DR. WEISS: Dr. Szlyk.

12 DR. SZLYK: Yes, I agree it is acceptable;
13 however, I would want to characterize the risk by
14 something that could be easily understood by the
15 patient, by visual acuity levels and by age.

16 DR. WEISS: So I'm heading into another
17 questions, so I really don't want to head totally
18 into another question, but if, and I think we would
19 have to address this, that it would be incredibly
20 important in terms of deciding how that patient would
21 receive that information and, too, you can't
22 guarantee the patient would receive the information,
23 but as close as possible to a guarantee I think would
24 probably be partnered with accepting that risk for
25 some people. Dr. Matoba.

1 DR. MATOBA: Yes.

2 DR. WEISS: Dr. Bandeen-Roche?

3 DR. BANDEEN-ROCHE: So my gestalt is yes, I
4 definitely defer to my visually, you know, specific
5 colleagues for their expertise on this.

6 DR. WEISS: I guess I'll give my opinion
7 last. Dr. Sunness.

8 DR. SUNNESS: Janet Sunness. I guess I
9 also think it's probably acceptable, but I do agree
10 with the ECD loss is not what we're looking for,
11 really looking for, how intact the cornea is and
12 whether they should be given, you know, a percentage,
13 the risk of corneal transplant is this and this.

14 DR. WEISS: So this all speaks to labeling
15 and informed consent and perhaps physician labeling,
16 patient labeling, informed consent, perhaps even the
17 course that's given to the physicians or the visual
18 training, perhaps any or all of these aspects as far
19 as what information is imparted to the patient as far
20 as trying to quantitate the risk associated with the
21 cornea. Dr. Edrington.

22 DR. EDRINGTON: Yes, with proper patient
23 education.

24 DR. WEISS: Dr. Higginbotham.

25 DR. HIGGINBOTHAM: Agreed.

1 UNIDENTIFIED SPEAKER: Yes.

2 DR. WEISS: Dr. Eydelman.

3 DR. EYDELMAN: As the Panel continues their
4 deliberation, I would like to ask that you specify
5 which parameters or which -- what it is that you
6 would like to see, and just to reiterate what you've
7 said before, we have a lot of data sliced many
8 different ways. So if it is that you're asking for
9 some additional data or additional analysis versus
10 just supplying the data that was performed in a
11 different manner, please be clear in your
12 recommendations.

13 DR. WEISS: So one thing that has been
14 asked for, is there a way to tell a patient at four
15 years time, if you enter the study at time zero, at
16 four years time, your risk of having frank corneal
17 edema will be XYZ. Your risk of having undergone
18 corneal transplantation will be XYZ. It sounds like
19 that's the number that people want. Is that number
20 possible to achieve through any other statistical
21 manipulations?

22 DR. EYDELMAN: I'm going to ask
23 Dr. Hilmantel to comment.

24 DR. WEISS: Gene.

25 DR. HILMANTEL: I mean, yeah, we can do a

1 survival analysis to give that type of information.
2 There's some question about whether the people who
3 continued on in the study actually had some bias. So
4 the results may be questionable.

5 DR. WEISS: Dr. Bandeen-Roche.

6 DR. BANDEEN-ROCHE: I was going to get back
7 and try to address the overall characterization of
8 the risk, and so that is absolutely one concern is
9 the extent to which the later cohort is biased or
10 comparable to the former cohort, not just on
11 demographic measures but in terms of their rate of
12 ECD loss, leading up -- I mean, you know, so whatever
13 can be brought to bear in terms of things that are
14 directly relevant to their subsequent risk. And then
15 since I guess I have the microphone, I'll also
16 comment that, you know, in terms of the long-term
17 risk being characterized, absolutely not in my point
18 of view, meaning the years of characterization, you
19 know, that is sort of embedded within the grid, and I
20 think that my recommendation would be to make very
21 explicit to patients that, you know, our ability to
22 characterize the risk beyond four or five years is
23 severely limited.

24 DR. WEISS: Do -- yes, the sponsor has a
25 comment. Mr. Hill.

1 MR. HILL: Thank you. Allen Hill. Just a
2 couple of comments regarding Dr. Ferris' in terms of
3 projections and what the actual numbers are. We've
4 had 90 individuals that have late onset corneal edema
5 unresolved, out of that which is 4.4 percent of the
6 implanted population. Two percent, or four out of
7 that population have had cornea transplant. In terms
8 of prediction, just in terms of the actual number
9 right now at, I'll use the 750 number, I don't have
10 the 1,000 right on the top of my head, but at 2
11 years, that was 24 months which we have almost all
12 the population. That number was 7 percent of the
13 population, and it's slightly higher for the
14 population that we have available to us at 48 months,
15 and then if we utilize the predictive model, at 48
16 months, the predictive model predicted 7 percent
17 which is very, very close to the actual number.

18 If I were to go back in time and use that
19 predictive model to predict out to 48 months, which
20 we've done, we used 24 months to predict 48, the
21 accuracy was quite good.

22 So we agree. We can provide that, you
23 know. It is predictive, and we have all the issues
24 with these predictive models.

25 DR. WEISS: And I would just ask FDA

1 statistics to comment on whether they would agree
2 with that number at the end of the game.

3 MR. HILL: One more comment.

4 DR. WEISS: Yes.

5 MR. HILL: Just the LTM comparability, I
6 know I mentioned this earlier. They're very, very
7 similar populations. There's not much difference in
8 them at all but --

9 DR. BANDEEN-ROCHE: The demographics,
10 right?

11 MR. HILL: Yes, absolutely.

12 DR. WEISS: I guess I said I would reserve
13 my comment to the end, and I think the thing that's
14 coming across is certainly there's a higher risk, and
15 certainly there may be an increasingly higher risk
16 because the numbers or the spread is very large and
17 there are people falling below the line. And then
18 the question in the best circumstance, it doesn't
19 happen, and in the worst circumstance, there's an
20 epidemic. So if you're trying to guard against the
21 worst circumstance, how do you inform the patient and
22 what do you inform the patient with so they are
23 informed that they could fall into that possibility
24 because I don't know if we can get a number. But
25 does FDA agree with those numbers presented?

1 DR. HILMANTEL: Yeah, I'm Gene Hilmantel.
2 The numbers that the sponsor provided are the numbers
3 that were projected from the biexponential modeling
4 based upon the distribution of residuals around the
5 regression -- Again, there's a lot of assumptions
6 in that.

7 DR. WEISS: What's the FDA's worst case
8 scenario? Let's say there are a lot of assumptions
9 around the biexponential model. So if we gave a
10 worse case scenario, from using a different modeling
11 system at 24 months, instead of 7 percent, what would
12 you estimate it would be? Is there any data?

13 DR. HILMANTEL: Well, the worse case, I
14 mean we presented in our slide. We presented
15 confidence intervals about the rate of edema and the
16 rate of being below certain levels of cell counts.
17 So we can pull up that slide if you want to see that
18 but --

19 DR. WEISS: A number. The people here are
20 just talking, we want a number.

21 DR. EYDELMAN: Well, perhaps you can pull
22 up those slides then.

23 DR. WEISS: Now while we're pulling up the
24 slide, I think we sort of have the answer to part 2
25 of question 1. Please discuss whether specular

1 microscopy data provides sufficient characterization
2 of long-term ECD results. Does the Panel feel that
3 specular microscopy data provides sufficient
4 characterization of long-term ECD trends?

5 Dr. Matoba.

6 DR. MATOBA: It has its limitations, but I
7 mean there aren't that many other things you can
8 follow, but may I make a comment about --

9 DR. WEISS: Yes.

10 DR. MATOBA: -- all this talk about cell
11 loss. I mean I think there's some patients who would
12 want the implant enough that if you told them there's
13 100 percent chance you'll need a corneal transplant
14 in 5 years, they would still say I want it. I mean
15 as a cornea surgeon, doing the transplant is not the
16 worst thing in the world, you know. It's not --

17 DR. WEISS: So you're saying again,
18 informing the patient --

19 DR. MATOBA: Right.

20 DR. WEISS: -- as best as possible of the
21 numbers, and if we don't have the numbers, just
22 informing them that there is an increased risk we
23 think, but we can't quantitate what it is.

24 Dr. Ferris, I don't think my last wording was good.
25 So you don't have to comment on it because I would

1 disagree with it myself having said it.

2 (Laughter.)

3 DR. WEISS: Yes. Do we have -- yes.

4 DR. HILMANTEL: Yes, this is the slide that
5 shows sort of the raw risk I guess you would say of
6 getting below 750 cells per square millimeter, and if
7 you look at the 95 confidence interval in red, there
8 is the upper confidence limit for being below 750 is
9 14 percent. Here's the edema rates, unresolved
10 edema. The upper limit for that estimate is 8.1
11 percent.

12 DR. EDRINGTON: Can you go back to that
13 slide that has --

14 DR. HILMANTEL: This one.

15 DR. EDRINGTON: The one with the yellow
16 background. It's times edema observed.

17 DR. HILMANTEL: This one?

18 DR. EDRINGTON: The sample size on the left
19 is the 200 or so. The sample size are the ones that
20 occurred on the right.

21 DR. HILMANTEL: Yes.

22 DR. EDRINGTON: That's a much smaller
23 sample side.

24 DR. HILMANTEL: That's correct. You had
25 123 people in the implanted cohort continuing on into

1 the LTM phase of the study, and at any one visit,
2 there was a maximum I think of 101 available patients
3 at those visits.

4 DR. EDRINGTON: That's 5 percent just over
5 that short period of time?

6 DR. HILMANTEL: 5 percent, I'm not sure
7 what 5 percent you're talking about.

8 DR. EDRINGTON: Well, it's 5 incidences in
9 101 sample size, and that's over a short period of
10 time?

11 DR. HILMANTEL: That's approximately
12 correct.

13 DR. FERRIS: Well, there are 10 there,
14 aren't there? And the life table is not so bad here
15 because there's only one R with a question mark that
16 I see. So in general it looks like if you did a life
17 table on that --

18 DR. HILMANTEL: Yeah, we can do a life
19 table.

20 DR. FERRIS: -- that that would give you
21 some estimate of risk, and when I said that I had
22 trouble with the denominators, if these things are
23 happening over time --

24 DR. HILMANTEL: Yes.

25 DR. FERRIS: -- and a lot of them are out

1 at 40 months, where the denominator is not 217 --

2 DR. HILMANTEL: Right.

3 DR. FERRIS: -- the denominator --

4 DR. HILMANTEL: I understand what you're
5 saying.

6 DR. WEISS: So you're saying that you would
7 like a life table to help quantitate the risk for the
8 patient?

9 DR. FERRIS: Just based -- those numbers
10 look -- those are real events to me --

11 DR. BANDEEN-ROCHE: I'd like to second
12 that.

13 DR. FERRIS: -- and give some estimate.

14 DR. BANDEEN-ROCHE: I mean, you know, some
15 sort of a survival analysis that takes account of
16 the --

17 DR. HILMANTEL: Yeah, I understand what
18 you're saying. That makes sense. I just want you to
19 understand the rate will be higher.

20 DR. FERRIS: Well, that's right.

21 DR. WEISS: So what I'm hearing the Panel
22 say is there -- I'm hearing some sentiment that even
23 if the rate was let's say 50 percent, you would not
24 accept, but Alice, you would accept the 50 percent
25 rate if the patient was informed and they accepted

1 the 50 percent rate.

2 DR. MATOBA: Well, I would say I don't
3 think having a corneal transplant is such a terrible
4 thing, and I guess if it were me and I had bilateral
5 macular degeneration, I might take that risk to be
6 able to see for five years. I mean I'm just saying
7 that there will be some patients who will still want
8 that. So I wouldn't necessarily say no, you can't
9 have it because you might need a transplant in five
10 years.

11 DR. WEISS: So to sort of summarize the
12 answer to A and then before we go to B, and hopefully
13 B will be fairly clear, is that this is acceptable if
14 the risk can be better quantitated and the
15 acceptability depends on the individual perception of
16 the patient, whether or not they're risk adverse.

17 DR. EYDELMAN: Just to clarify. So the
18 consensus is that there is no ceiling, in other
19 words, no percentage beyond -- I'm trying to get as
20 much information as possible to avoid having to come
21 back to Panel.

22 DR. WEISS: No, I agree. I agree.

23 DR. HILMANTEL: If we calculate a rate
24 based upon -- I'm sorry. I'm Gene Hilmantel. If we
25 calculate a rate based upon the survival analysis and

1 we calculate a confidence interval on that, is there
2 some upper limit that the Panel will find
3 unacceptable?

4 DR. WEISS: Gene, will this be calculated
5 at like 48 months or at what time point would this
6 probably be?

7 DR. HILMANTEL: The survival analysis uses
8 all of the data. You're estimating the time to an
9 event. So here would be the time to an unresolved
10 edema, and so you get an estimate of the time, and
11 then with that you can estimate your chances of
12 getting the --

13 DR. WEISS: Well, I would like to frame the
14 question to the Panel members and go around. So I
15 could frame it saying at 48 months, what would be
16 your upper limit of risk, but is there a better way
17 to phrase it that would apply to the type of
18 statistical analysis you'll be doing? So if you'll
19 help me with phrasing the question in terms of
20 getting the upper limit.

21 DR. HILMANTEL: Well, we'd like to know if
22 we come up with an estimate of the risk within 48
23 months.

24 DR. WEISS: Okay. Fine.

25 DR. HILMANTEL: Okay. Is there an upper

1 limit for the estimate of the risk, and is there an
2 upper limit for the confidence limit on that?

3 DR. WEISS: So at 48 months, and a
4 confidence limit is -- I wouldn't feel that secure
5 actually giving you the confidence limits myself, but
6 I would feel better about telling you what my
7 perception of the risk would be, but going around 48
8 months, what's the upper limit at which point even if
9 a patient accepted it, you would not want to offer it
10 to them because -- just because someone wants it, it
11 still may not be reasonable, and that's -- Dr. Musch,
12 is there an upper limit of risk beyond which you
13 would not want to even offer to a patient?

14 DR. MUSCH: We have to weigh benefits and
15 risks as Rick mentioned early on. So I find it
16 difficult to focus on one number.

17 DR. WEISS: 90 percent. If you said 90
18 percent, your cornea is going to get edematous, would
19 that be acceptable in any circumstances?

20 DR. MUSCH: Surely that, and probably less
21 than that because we don't want to be promoting, you
22 know, the corneal transplant surgeons' practice in
23 here --

24 DR. MATOBA: That's why I make those
25 comments.

1 DR. MUSCH: -- but I'd probably, given what
2 I see, I think and all I want to say, don't focus on
3 upper limits of confidence intervals because that's
4 driven by sample size.

5 DR. HILMANTEL: Especially out of 48
6 months.

7 DR. EYDELMAN: But unfortunately we have to
8 deal with the data that we have.

9 DR. MUSCH: That's why I said don't hang
10 your hat on an upper limit of a 95 percent confidence
11 interval.

12 DR. HILMANTEL: This is Gene Hilmantel
13 again. The upper confidence limit is actually
14 something that's fairly important to us. A study can
15 be done with 10 or 15 eyes and get a 0 percent rate,
16 and it doesn't tell us much. What's important to us
17 is the evidence that that sample size provides.

18 DR. MUSCH: Well, you will have robust
19 information through 24 months and fairly, I don't
20 know what the term is, non-robust information after
21 that. So we can talk about 24-month rates and
22 confidence intervals associated with it, but much
23 beyond that, then look at your point estimates and
24 don't get too swayed by where the confidence limits
25 are.

1 DR. WEISS: Well, maybe what I'll do is
2 this. I'm going to throw out some numbers and raise
3 your hand if you think it's acceptable. 90 percent
4 corneal edema at 48 months. Is that acceptable? So
5 90 percent not acceptable.

6 75 percent, is that acceptable? No one's
7 going for 75 percent.

8 DR. FERRIS: Is it even reasonable? I mean
9 we have data that shows that --

10 DR. WEISS: I think FDA -- well, just bear
11 with me --

12 DR. FERRIS: Okay.

13 DR. WEISS: -- for three more numbers. 50
14 percent, reasonable?

15 DR. FERRIS: I don't think it's reasonable
16 given the data that we have. It would be okay with
17 me if it was --

18 DR. WEISS: If it's okay with you, then
19 it's -- because we don't know what numbers are going
20 to get crunched. So I'd like to -- I'm just trying
21 to give FDA as much information as possible. So if
22 there was a chance 50 -- half the people got corneal
23 edema at 48 months down the line, how many of us
24 would feel it would still be reasonable to implant
25 giving the patient informed consent? Alice, come on,

1 raise that hand.

2 DR. FERRIS: It's at the edge.

3 DR. WEISS: Okay. So most of the Panel do
4 not feel if it was a 50 percent becoming edematous at
5 four years down the line, that would be acceptable.
6 30 percent, is that acceptable at 4 years down the
7 line?

8 DR. HIGGINBOTHAM: Closer. Say 20 percent.

9 DR. WEISS: So 20 percent. Is that
10 acceptable four years down the line? So what I find
11 is what people are saying versus what they're voting
12 on seem to be quite different: 20 percent is
13 acceptable 4 years down the line; 50 percent is not
14 acceptable 4 years down the line. Eve.

15 DR. HIGGINBOTHAM: Eve Higginbotham. We
16 already said in the Stargardt subgroup that 50
17 percent in that group was unacceptable. So I can't,
18 from that, extrapolate to the overall and say 50
19 percent is acceptable.

20 DR. WEISS: Okay.

21 DR. HIGGINBOTHAM: So it's somewhere
22 between 0 and 50. And I don't know if we actually
23 can really say. You're asking what we will accept.
24 I mean given the burden of disease and my father,
25 before he passed with age-related macular

1 degeneration, so I know how horrible an existence
2 this is, so I agree with Alice that patients will
3 agree based on, you know, the timeline of when they
4 might anticipate that there may be an issue. If it's
5 four years out, they will probably say yes even at 20
6 percent.

7 DR. FERRIS: The Stargardt is totally
8 different, and it's different because it wasn't that
9 they got a complication. It was because they didn't
10 like the thing.

11 DR. WEISS: Right.

12 DR. FERRIS: And now you're putting in
13 something where the benefit side. That's what David
14 said earlier. You've got to be -- in each one of
15 these, you have to balance the benefits and the
16 risks, and they're different for AMD than Stargardt
17 as I'm looking at it.

18 DR. WEISS: Okay. I'm going to have
19 Dr. Eydelman comment, and I think basically we're not
20 getting to the bottom line of this question. So I
21 want to go on from here, and perhaps we can get at
22 the answer another way, but what we are finding is
23 basically the Panel feels that if a 20 percent risk
24 is acceptable at 4 years, a 50 percent risk is not
25 acceptable, and where it would fall in between is yet

1 to be decided.

2 I was going to go onto 1b unless you wanted
3 to comment.

4 DR. EYDELMAN: I just wanted to draw your
5 attention back to this slide. As Dr. Hilmantel
6 pointed out, unfortunately the reality is we do need
7 to look at the confidence interval because unless you
8 ask for a new study, we're not going to be having any
9 additional data. So we need to interpret the data
10 with the inherent variability. So we didn't get to
11 the point of the intended population, but should your
12 discussion come back to the population, please keep
13 in mind that this table summarizes the three
14 different cohorts and the upper confidence that are
15 currently calculated for these three cohorts. So
16 it's 8.1, 6.3, and 20.2.

17 DR. WEISS: Dr. Bandeen-Roche, then
18 Dr. Ferris, and if we can just have the comments very
19 focused on this particular point before we go onto
20 1b.

21 DR. BANDEEN-ROCHE: So I do agree that the
22 extent of variability of the estimate has to be
23 accounted for somehow. It doesn't mean just do, you
24 know, the most obvious seat of the pants analysis and
25 just calculate whatever confidence interval. I'm not

1 saying you've done that. I know that sounds
2 terrible. I'm not saying you've done that, but a
3 careful analysis that tries to use the data to the
4 fullest extent possible and then think hard about
5 what is the, you know, degree of precision that's
6 needed. You know, I think to totally ignore the
7 precision, I would not be at all comfortable with.

8 And then the second thing is that given
9 we're sort of going around the table, what's the
10 acceptable risk? Maybe a formal decision analysis
11 should be done.

12 DR. WEISS: Is there something -- do you
13 have something that's going to add to this
14 discussion?

15 DR. FERRIS: Well, I think so but others
16 might not.

17 (Laughter.)

18 DR. FERRIS: You know, I said I was
19 unimpressed with the preoperative cell counts being
20 predictive. I am impressed by at least what I've
21 seen that the postoperative cell counts did seem to
22 be predictive as to who was going to develop edema.
23 If you were down around 1,000, you were at risk.

24 DR. WEISS: Right.

25 DR. FERRIS: And then if you look at that

1 distribution, it's hard to know what the numbers are
2 because I'm just looking at the scatterplot, but in
3 my head I'm thinking 20, 25 percent are at risk.

4 DR. WEISS: It still gets back to that
5 number, around 20 percent, 25 percent.

6 DR. FERRIS: Yes.

7 DR. WEISS: So we're still floating around
8 the same.

9 DR. FERRIS: But that's another way of
10 looking at the confidence interval. You know, that
11 group is at risk. The others that are 1500, yeah, of
12 course, they could develop it but probably not.

13 DR. WEISS: 1b and if we can get this, you
14 know, sort of fairly quickly. Specular microscopy
15 data, do they provide sufficient characterization of
16 long-term ECD trends? Dr. Matoba said maybe not, but
17 that's as good as we have. Is that --

18 DR. MATOBA: Well, I think even in the best
19 of circumstances, when you have studies and there's
20 one testing center and they do all them and there's
21 one observer, there's at least a 10 percent
22 variability. So it's not a very good way to, but I
23 don't think there are very many other options.

24 DR. WEISS: Any other differing opinions?
25 Otherwise, that --

1 DR. FERRIS: Actually I think they're
2 great. They show that there's a big dip
3 postoperatively, and then after that, they slowly
4 decline, and that's about all you can say from it.
5 So with time, they're going to go down, and where you
6 are after that dip is where you are in terms of your
7 risk over the next decades.

8 DR. WEISS: Well, probably I think you're
9 both saying the same thing. They are sufficient
10 enough for this study to evaluate what we need to
11 evaluate.

12 So we're going to go onto question 2. This
13 sponsor has constructed two grids for determination
14 of a minimum preoperative ECD for various age and
15 gender groups. Both grids are based on calculations
16 assuming an end-of-life ECD of 750 cells per
17 millimeter squared. Is the assumption of an end-of-
18 life ECD of 750 millimeters squared acceptable? If
19 not, what do you believe is appropriate?

20 Is this acceptable? Dr. Musch.

21 DR. MUSCH: You know, in a previous life or
22 early on in my career, I did a lot of specular work
23 with corneal transplants, and I was amazed how many
24 crystal clear corneal transplants there were with a
25 level much less than 750. And any number you're

1 going to choose, some people are going to have
2 opacities and most won't. But it's really the
3 corneal reserve capacity which is what you can't
4 measure. That's important here, and it places a
5 subject at risk of, well, if they get a stick in
6 their eye or something, they're not going to -- the
7 cornea is going to decompensate, and you cornea
8 people know this much better than I. So I think 750
9 is a reasonable target to use for end of life.

10 DR. WEISS: Does anyone on the Panel
11 disagree?

12 So I think there's agreement that that
13 assumption is acceptable.

14 Going onto 2b. One grid is based on the
15 ECD changes in a sub-cohort of 112 eyes, guttata-free
16 eyes with anterior chamber depth greater or equal to
17 3 millimeters. The other is based upon the ECD
18 changes seen in the full cohort of 206 IMT-implanted
19 eyes. Please discuss which grid is appropriate as a
20 contraindication for proposed patient population.

21 So if we had to choose between one of those
22 two grids, would you prefer to have the entire IMT-
23 implanted eyes and a minimum endothelial cell, and I
24 think that was there higher endothelial cell counts,
25 or would you prefer to have the grid saying have only

1 enrollment or we suggest only enrollment of guttata-
2 free eyes with an anterior chamber greater or equal
3 to 3 millimeters? Dr. Bandeen-Roche.

4 DR. BANDEEN-ROCHE: So just to lay out for
5 the Panel, I know there's been some discussion of
6 whether the idea of the grid is optimal at all. So
7 I'll defer that, but, you know, in terms of the
8 estimation of the guttata-free grid, there's sort of
9 a spectrum of things that might have been done. One
10 might have been a total data exploration where
11 guttata sort of popped out of the analysis with no
12 a priori, you know, biological justification. In
13 that case, then the estimate of the quantities in the
14 guttata-free population could well be quite biased,
15 you know. On the other hand, if it was totally
16 biologically motivated a priori, then we would put a
17 lot more confidence in them.

18 I think we're somewhere in between. I
19 don't know quite where in between, but my own
20 assessment is that guttata-free grid may be -- I
21 would not be surprised if it was anti-conservatively
22 biased if you were to go and reproduce it in another
23 population. It's hard to say, but I don't have a
24 great deal of confidence.

25 DR. HILMANTEL: Slide 61.

1 DR. WEISS: So we're just asking to put up
2 slide 61. Yes.

3 DR. HILMANTEL: Can I just make a
4 clarification?

5 DR. WEISS: Yes.

6 DR. HILMANTEL: The question is not asking
7 about the appropriate indication for the device. The
8 question is only asking about which grid to use for
9 contraindication, and the two grids are calculated
10 based upon two different cohorts, but it's not a
11 question about the indications for the device.

12 DR. BANDEEN-ROCHE: So the longwinded
13 answer boils down to I have more confidence in the
14 overall grid.

15 DR. WEISS: The overall grid being?

16 DR. BANDEEN-ROCHE: Meaning --

17 DR. HILMANTEL: B.

18 DR. BANDEEN-ROCHE: -- B.

19 DR. WEISS: Okay.

20 DR. BANDEEN-ROCHE: But just from an
21 accuracy point of view, but I know there's other
22 things that are being considered.

23 DR. WEISS: Dr. Matoba.

24 DR. MATOBA: Well, I would agree with
25 Dr. Weiss' earlier comments that B seems unrealistic.

1 DR. WEISS: And actually my comments -- B
2 is unrealistic, but I also agree that it's probably
3 more accurate because A is based on 33 patients and I
4 don't, you know, to go from 200 to 100 to 33 is a
5 very -- is A based on 33 or 112?

6 MR. HILL: Allen Hill. Yeah, it's based on
7 the cohort of patients non-guttata ACD greater
8 than 3, and it's on approximately 100 patients.

9 DR. WEISS: So that's the 100 because
10 that's not done by the corneal surgeons?

11 MR. HILL: Yes, ma'am.

12 DR. HILMANTEL: That's based on 112
13 patients.

14 DR. WEISS: Well, then I would defer to
15 you, Dr. Bandeen-Roche. Is that -- 112, would that
16 still be not accurate or --

17 DR. BANDEEN-ROCHE: So in my mind, I'm not
18 as concerned about the precision as I am about the
19 accuracy, and so, you know, it's really the method by
20 which that 112 was arrived at as a secondary
21 analysis.

22 DR. FERRIS: Can I ask a question?

23 DR. WEISS: Dr. Ferris.

24 DR. FERRIS: Yeah, Rick Ferris. It looked
25 to me, and I don't have all this data within me yet,

1 but it looked to me that the preoperative cell count
2 was somewhat predictive, but it wasn't that
3 predictive. As a risk factor, you know, we talk
4 about risk factors all the time. Smoking is a risk
5 factor. This is kind of a moderate risk factor, but
6 to hang everything on this one risk factor doesn't
7 seem reasonable to me. It seems reasonable to me to
8 say, all right, if you have a count of 2,000 or 1,800
9 now, you're at a little bit more risk than someone
10 else. If you have guttata, you're at a little more
11 risk than someone else. If Irving Schmendrick down
12 the street who's never done corneal surgery before is
13 doing this operation, you're at a little bit more
14 risk.

15 DR. WEISS: You're at a lot more risk.

16 DR. FERRIS: And it seems to me that that
17 needs to be --

18 DR. WEISS: We can put him in labeling as a
19 contraindication.

20 (Laughter.)

21 DR. FERRIS: That's what, that the patient
22 needs to know, that they're at more risk if their
23 cell count is lower, they're at more risk if they
24 have guttata, they're at more risk if you haven't
25 done this procedure a lot before. I don't know how

1 you get that on the label, but it seems to me that
2 it's a risk factor, and I don't think it's strong
3 enough that you could make a grid and say you should
4 do it in this patient and not in another. That's
5 just my opinion.

6 DR. WEISS: Dr. Eydelman.

7 DR. EYDELMAN: I just wanted to bring the
8 Panel's attention to the fact that constructing an
9 ECD grid as a baseline measurement of ECD needing to
10 enter a study in general is not a new concept. As a
11 matter of fact, that is the current contraindication
12 for both phakic IOLs that are currently on the
13 market, and it's now in the ISO standards which is
14 not addressing directly the point that Dr. Ferris is
15 making. I just wanted to make that comment that we
16 have used grids as contraindications, and they are
17 part of our standards.

18 DR. WEISS: And I would also say that we
19 have to choose between one of these. Or I'll ask you
20 to choose between one of these, and I will point out
21 at age 75 and above, they're virtually identical. So
22 we're really looking at the age 65 to 69 where B may
23 be more precise and yet probably you won't get any
24 patients with that endothelial cell count. So if you
25 want the more precise one, I would presume you would

1 probably be starting at age 70 because you could
2 still enroll people with that cell count, maybe 2195,
3 you'll find a 70 year old with that for the B
4 alternative, but I don't think you'll find a female
5 with 3200 cell counts in the 65 to 69 category. So
6 we might be -- if you wanted B, it's possible the 65
7 to 69 would be taken out of there because you're not
8 going to find any patients meeting that criteria.
9 What do you -- Alice.

10 DR. MATOBA: I think the numbers for B are
11 unrealistic, and so I don't know if A is better, but
12 I think it's more realistic. It's too stringent.

13 DR. WEISS: Dave.

14 DR. MUSCH: This is Dave Musch. It would
15 help me, clarify things for me if I knew that this
16 was an absolute contraindication that would subject a
17 surgeon to some sort of legal action, or is this
18 guidance? Because I think Rick mentioned a long time
19 ago this morning that he would prefer that a surgeon
20 tell him, well, here's the likelihood of loss and
21 here's a grid that shows it, but given your
22 situation, you make the judgment.

23 DR. WEISS: Well, what could happen is we
24 could say it's an absolute contraindication or we
25 could say a relative contraindication, or we can say

1 that we deem you at higher risk for corneal edema and
2 potential corneal transplantation if you do not meet
3 these endothelial cell requirements, although it will
4 be up to the individual doctor and the patient to
5 have that discussion. We can put it any way we want
6 in the labeling, but the FDA would like to know which
7 of these grids we would include. Malvina, do you
8 still want to comment or did that -- yes.

9 DR. HIGGINBOTHAM: Well, if that's the
10 question at the moment, I mean my choice would be A,
11 I mean just because it allows us to entertain more
12 candidates for this procedure, and to go into more
13 limiting framework, I think, would not do the patient
14 population for whom this is aimed at any service.

15 DR. WEISS: Now would you -- Dr. Bandeen-
16 Roche commented she's not sure of the precision that
17 A is based on.

18 DR. HIGGINBOTHAM: Eve Higginbotham. I'm
19 not really sure if this is so scientifically driven
20 that we know for sure. I mean if you can lose more
21 than half of your cells and still have a clear
22 cornea, I don't know why we're quibbling over 500
23 cells plus here. At the end of the day, we want to
24 make sure that patients have the benefit of this
25 procedure.

1 DR. WEISS: Well, I think we're quibbling
2 over it because if you look at when you hit the 750,
3 you'll hit the 750 earlier on one than another, and
4 that will be before and perhaps necessitate corneal
5 transplant because of corneal edema under the
6 calculation, depending on which one you look at.
7 Malvina.

8 DR. EYDELMAN: I just wanted to reiterate
9 something Dr. Weiss said in a little bit different
10 words. Whatever contraindications we put in the
11 labeling, the surgeon can always pick a patient as a
12 practice of medicine and go beyond the labeling if
13 there's an individual patient for whom he or she
14 decides it's warranted. And I think that's where the
15 discretion is.

16 DR. WEISS: Janet.

17 DR. SZLYK: If this is meant to guide the
18 patient and their doctor, shouldn't we take the more
19 precise model and use the one -- I mean there are so
20 many assumptions here. At least we know that model B
21 is more precise.

22 DR. SUNNESS: So I must say that I'm
23 concerned that the grid may do more harm than good in
24 any case, and I hope people will argue with me, but,
25 you know, we're talking about long, long

1 extrapolations here and, you know, in either case,
2 there's a lot of model assumptions. We have the fact
3 that the pre-ECD doesn't really well, you know,
4 predict what the outcome will be after the surgery,
5 and it's just the act of putting something like this
6 down on paper, even if we sort of say, oh, this is
7 just a guideline, you know, it tends to be reified.
8 People tend to then believe, oh, this is really
9 supported by strong scientific evidence.

10 DR. WEISS: Would there be the potential to
11 have both grids and say that we don't know which one
12 will be whatever?

13 DR. SUNNESS: It's a little confusing.

14 DR. WEISS: Or maybe no grid as opposed to
15 everything else we're discussing. Dr. Edrington.

16 DR. SUNNESS: There are --

17 DR. EDRINGTON: Go ahead.

18 DR. SUNNESS: That there's not -- the
19 information is, you know, again based on a number of
20 different assumptions and that another way to go
21 would be to say endothelial cell count should be more
22 than 2,000 and forget about the grid because the fact
23 of the matter is that from 70 and up, it's basically
24 2,000 anyway.

25 DR. WEISS: Well, I think the issue is

1 really in my opinion the 65 to 69-year-old group
2 because if you want to have a more accurate one or
3 the one that we are more sure it's accurate, I
4 shouldn't say the more accurate, the one that maybe
5 we suppose it's more accurate, you would probably not
6 have many patients meeting that criteria.

7 DR. FERRIS: So that number almost surely
8 came from not many patients, and I would love to see
9 the confidence interval around that number because I
10 suspect it's quite broad. I mean there's some goofy
11 things going on here with women needing a whole lot
12 more than men, and I mean it could be true. It's
13 just --

14 DR. MATOBA: They live longer.

15 DR. FERRIS: What?

16 DR. MATOBA: They live longer.

17 DR. WEISS: Well, that's goofy.

18 DR. FERRIS: And there is one other thing.
19 If you had this grid, I would want to make sure that
20 the patient who happened to have 2,200 didn't get the
21 wrong idea that, oh, thank God, I don't have to worry
22 about edema because I don't think there's the
23 evidence for that.

24 DR. WEISS: Dr. Edrington.

25 DR. EDRINGTON: I believe there's a grid in

1 between, correct? I mean one of these is based on
2 sample size of 33.

3 UNIDENTIFIED SPEAKER: No, 112.

4 DR. EDRINGTON: 112, okay.

5 DR. HILMANTEL: No. This is Gene
6 Hilmantel. There's no grid in between. There was
7 one grid proposed by the sponsor, which is A. It's
8 not so much a question of precision and sample size
9 as it is that there's a question of possible bias in
10 selecting the sample. The subgroup was selected
11 after the study results were all in. So there's a
12 question of possible bias in selection.

13 DR. WEISS: So I don't know if there's a
14 way to put the phrasing use B, but put the phrasing
15 to couch it and that this is based on a predictive.
16 It has not been tested, or couching it so that if a
17 patient came in who was 65 years old who had a 2600
18 cell count, and they had it done and they got corneal
19 edema, it wouldn't put the physician in the position
20 of a malpractice suit because it was suggested they
21 didn't do that patient. So I don't know if there's a
22 way to do that terminology or there's not.

23 UNIDENTIFIED SPEAKER: When you're doing a
24 contraindication, it basically defines the patient
25 population for which the device is approved which

1 means that anything other than that group, the
2 patient, the physician, as a matter of their own
3 judgment.

4 DR. WEISS: Ms. Niksch.

5 MS. NIKSCH: Barbara Niksch. So again the
6 reason why the sponsor picked A was again to align
7 with the whole risk mitigation plan that they put in
8 place to define this for use in guttata-free larger
9 ACD, et cetera. So I know it's a subset of a
10 population, but it's directly related to who the
11 sponsor would then be indicating the device were used
12 with. So it would be the most relevant population.

13 DR. WEISS: The difficulty is it's an after
14 the fact, and if it was prospective, I think we'd all
15 invest in it, but being retrospective casts it into
16 doubt, I believe.

17 DR. FERRIS: Can I ask the cornea experts
18 here, is there -- Malvina, were you suggesting there
19 is some grid for intraocular lens?

20 DR. EYDELMAN: For the phakic IOL.

21 DR. FERRIS: Phakic IOL.

22 DR. EYDELMAN: Right.

23 DR. WEISS: Did the sponsor want to make a
24 comment on this?

25 MR. HILL: As it relates to labeling, I

1 want to suggest to you there may be other
2 alternatives to contraindication. Warning may be
3 appropriate also. So there are other avenues rather
4 than strictly contraindications, listening to the
5 conversations, that you may want to consider.

6 DR. WEISS: I don't think we're reaching a
7 conclusion on this one.

8 DR. EYDELMAN: Please move on.

9 DR. WEISS: Let's move on. One more
10 comment.

11 DR. BANDEEN-ROCHE: I mean just one other
12 thing to keep in mind in thinking about this is that
13 the criterion that was used, if I'm correct, was
14 expected life. I mean so that these were the
15 starting values such that the mean were plus a
16 confidence limit would not cross the 750 threshold by
17 the expected end of life, but that still leaves a
18 substantial proportion, you know, who then outlive
19 their expected. So I mean it's just one more, you
20 know, issue about this grid to sort of keep in mind,
21 about communicating risk to the patient.

22 DR. EYDELMAN: Again, this parallels how
23 the grids have been done in the past.

24 DR. WEISS: If it's okay with FDA, we're
25 going to move onto question 3, and the question 3 is,

1 in an attempt to identify the characteristics of a
2 subgroup with an improved safety profile, the sponsor
3 performed multiple subgroup analyses. Considering
4 the statistical issues associated with these
5 analyses, please discuss whether the data constitute
6 valid scientific evidence for evaluation of safety of
7 this device.

8 So there were multiple groups, different
9 denominators that came from the second study. Does
10 the data constitute valid scientific evidence for
11 evaluation of safety of this device?

12 Dr. Higginbotham.

13 DR. HIGGINBOTHAM: Eve Higginbotham. It
14 sounds like it's a continuation of our previous
15 discussion related to ECD, and it's such a broad
16 question, I think in general we've heard from the
17 statisticians and the epidemiologists, the purists
18 among us that, no, it's not solid scientific
19 evidence. So that's the answer that I'll have to
20 yield to, but we have to look at some practical
21 issues, too.

22 DR. WEISS: Any contrary views, or would
23 the Panel agree that it would not be? Dr. Bandeen-
24 Roche.

25 DR. BANDEEN-ROCHE: I mean so just to

1 clarify, I mean the two issues of the different
2 denominators, I think that we've already voiced that
3 we're not satisfied with how those different
4 denominators have been handled and what we've seen,
5 you know, things like life tables or, you know, time
6 to event analyses being needed. So that's one point.

7 In terms of the sort of multiple cohort
8 analyses, I think this is the same point that I was
9 trying to make with respect to the previous question,
10 that, you know, it's very hard to say, you know, that
11 we seem to in between a pure post hoc, let's look at
12 every variable under the sun, and here are some
13 scientifically motivated, you know, commonsense
14 things to look at, and in terms of where of we are in
15 between that spectrum, that's part of the issue.

16 DR. WEISS: Dr. Schein.

17 DR. SCHEIN: If I may, to address Karen. I
18 think if you ask any of the corneal specialists on
19 the Panel whether the presence of corneal guttata was
20 likely to be a biological predictor of corneal
21 swelling, you would be a unified response, similarly
22 the depth of the anterior chamber. So I think these
23 are not things that are generated by a statistical
24 fishing expedition.

25 DR. BANDEEN-ROCHE: Could I just follow up

1 and just -- I mean so in -- and tell me, is this
2 appropriate protocol to engage in? I mean and so,
3 you know, evidently they were not things that were in
4 the plan to evaluate a priority, and so, you know,
5 given that, sure, after the fact, they make a lot of
6 sense. I'm just trying to get a sense of that, you
7 know, where we are on the spectrum.

8 DR. SCHEIN: Right. Again, it's a case
9 series. There were no plan to do -- sample sizes
10 were known straight going into the study, but imagine
11 that you found a drug that had 100 percent
12 effectiveness in men and 10 percent in women and you
13 didn't know that ahead of time. It might change how
14 you would label and advise application, even though
15 it was a post hoc analysis.

16 DR. BANDEEN-ROCHE: Absolutely, I would
17 agree.

18 DR. SUNNESS: You know, I think when we had
19 the discussion about question 1, we were willing to
20 accept a fairly large risk of cell loss or corneal
21 edema. The difference, if you look at for example
22 the guttata versus the non-guttata group, it's not so
23 large so that in the chronic loss biexponential
24 model, for example, you're talking about the chronic
25 rate of loss of 4.8 percent in all and 3.8 percent in

1 guttata free with a 90 percent confidence interval
2 even closer because there were a few patients.

3 So I don't think it's not really -- I don't
4 think it's a big issue.

5 DR. WEISS: So the differences are not so
6 impressive as to have to accept a group with a
7 different denominator of the subgroup.

8 DR. SUNNESS: Right. I mean I don't think
9 the fact that they did subgroup analysis would not
10 have changed, or if they hadn't have done it, it
11 probably wouldn't have changed what we were doing.

12 DR. WEISS: So I'm hearing consistency
13 among the Panel members that they do not think the
14 data from the subgroups are valid scientific evidence
15 for evaluation of safety of this device.

16 Dr. Bandeen-Roche and Dr. Ferris.

17 DR. BANDEEN-ROCHE: I think that states my
18 opinion too strongly. I mean to say that it's not
19 valid, you know, I --

20 DR. WEISS: Can you state it in the terms
21 that --

22 DR. BANDEEN-ROCHE: So I believe that the
23 analyses that evaluate risk with respect to guttata
24 and, you know, these other things that we've talked
25 about are usefully informative. They're absolutely

1 usefully informative. And in terms of the overall
2 findings, I think dovetailing with what Dr. Sunness
3 just said, you know, I think that to the extent that
4 they go short timeframe and, I mean not short but,
5 you know, not decades, four year timeframe or
6 whatever, that we have some reasonable data, you
7 know, on the risk and the ECD trends. My only point
8 here goes to the extent of the accuracy of the actual
9 characterization of the ECD trend in the subgroups
10 which could be somewhat biased.

11 Does that mean that the data are entirely
12 invalid as evidence of safety and efficacy? I don't
13 believe so. I think they're usefully informative.
14 Do they provide an absolutely accurate estimate?
15 Probably not.

16 DR. WEISS: I would convert that to saying
17 it's not valid scientific evidence, but I'm not a
18 statistician, which is not to say it's not helpful.
19 It's helpful because we don't have anything else, but
20 from a statistical standpoint, this would not be
21 valid scientific evidence.

22 DR. BANDEEN-ROCHE: So I've already said
23 that I put more faith in -- I consider it stronger
24 evidence, the analysis that was based on the whole
25 cohort.

1 DR. WEISS: Dr. Ferris.

2 DR. FERRIS: I was going to say a similar
3 thing. I don't even know what valid scientific
4 evidence means, but some things are more valid than
5 others, and I would go to what David said earlier.
6 You've got very nice estimates for two years with
7 almost everybody followed and those are risk factors,
8 and so that evidence is pretty strong. It's
9 consistent over the next two years, as near as I can
10 tell. So that evidence is consistent with the
11 previous evidence. So it's not a randomized clinical
12 trial of 4,000 patients. It's a case series of a
13 couple hundred patients. It is what it is, but I
14 wouldn't say that it's not valid.

15 DR. WEISS: Well, Dr. Eydelman has a
16 comment, and also the FDA and the government in all
17 its wiseness has a definition of what scientific
18 evidence is.

19 DR. EYDELMAN: Well, this goes back to
20 Dr. Ferris' question. Valid scientific evidence is
21 needed for the data to be used for approvability of
22 the device. So basically what we're saying is, is
23 this data okay to be used as the basis for decision
24 making? I don't know if that helped or confused you
25 more.

1 DR. FERRIS: Not just the corneal thickness
2 stuff but for the device overall?

3 DR. EYDELMAN: Right.

4 DR. WEISS: This is the definition.

5 MR. SWINK: You get this twice today.
6 Valid scientific evidence as defined in 21 C.F.R.
7 Section 860.7 is evidence from well-controlled
8 investigations, partially controlled studies, studies
9 in objective trials without matched controls, well-
10 documented case histories conducted by qualified
11 experts, and reports of significant human experience
12 with a marketed device from which it can fairly and
13 responsibly be concluded by qualified experts that
14 there is a reasonable assurance of safety and
15 effectiveness of the device under its conditions of
16 use. Isolated case reports, random experience,
17 reports lacking sufficient details to permit
18 scientific evaluation, and unsubstantiated opinions
19 are not regarded as valid scientific evidence to show
20 safety and effectiveness.

21 DR. WEISS: Dr. Higginbotham.

22 DR. HIGGINBOTHAM: Well, I'd like to amend
23 my initial statement and say that I think this is
24 valid based on the fact that these are well
25 documented case histories, as one of the definitions

1 of valid scientific evidence as I heard you read that
2 definition. So I do believe it can be used,
3 certainly the evidence that corneal specialists make
4 a difference, that having a shallow ACD makes a
5 difference, and as well as a guttata.

6 DR. WEISS: Does that not bother you that
7 it's an after the fact when -- if you ask a corneal
8 specialist and the corneal specialist on the study
9 that was not listed as a criteria before the fact?

10 DR. HIGGINBOTHAM: Well, I mean based on
11 the broad definition as read, I mean so we can talk
12 about I guess various categories of what's
13 acceptable, okay. Based on what I just heard, this
14 falls within that definition as presented.

15 DR. FERRIS: Yeah, I would turn what you
16 said around and say if it turned out that guttata
17 were protective or low endothelial cell counts were
18 protective, then you'd say, well, there's something
19 goofy about this dataset. The fact that the biologic
20 plausibility when you do the analysis, the risk
21 factors come out in the direction that you think they
22 should come out actually I think improves the
23 believability of the dataset.

24 DR. WEISS: Dr. Eydelman.

25 DR. EYDELMAN: Just to clarify again, we're

1 asking about the dataset of the end after you
2 eliminate all the cofactors, not whether the
3 statistical analysis show correlation with biological
4 factors.

5 DR. WEISS: So I'm hearing from the Panel
6 now that they -- there's some consensus that the
7 subgroups constitute valid scientific evidence.

8 Dr. Bandeen-Roche.

9 DR. BANDEEN-ROCHE: So I do concur in terms
10 of the analysis of risk factors. I think that that
11 is, you know, valid scientific evidence in the sense
12 that we've defined it.

13 In terms of defining a grid within the
14 subgroup, that we can stand behind with, you know, a
15 high degree of confidence in the accuracy, it's
16 something that's not sort of an ad hoc thing, then I
17 would say no on that one point.

18 DR. WEISS: I'm probably the only one who
19 doesn't think it's valid scientific evidence, but the
20 consensus rules.

21 Please discuss question number 4. Please
22 discuss whether the sponsor's adequately demonstrated
23 the effectiveness of the IMT taking into account the
24 analyses of visual acuity improvement in eyes with
25 cataract removal without IMT implantation.

1 UNIDENTIFIED SPEAKER: So I think the -- I
2 don't think there's a question that they demonstrated
3 it relevant to those patients who just had cataract
4 extraction with an implant. I mean it's .3 lines
5 versus 3 points -- lines. My issue is they haven't
6 -- I know this study wasn't designed this way, but
7 they haven't demonstrated -- I mean the more
8 compelling analysis would have been if somebody had
9 low vision rehabilitation and then compare how they
10 did with it and without it. But I don't think
11 there's any question that they showed it for --

12 DR. WEISS: So that you do not believe that
13 they adequately demonstrated the effectiveness of the
14 IMT.

15 UNIDENTIFIED SPEAKER: No, I believe -- I
16 would say I do believe they adequately demonstrated
17 it in regard to without cataract surgery versus with,
18 but I mean I think, you know, in an ideal world, it
19 would have been done low vision rehabilitation versus
20 implantation with low vision --

21 DR. WEISS: But what they have you believe
22 is adequate --

23 UNIDENTIFIED SPEAKER: Yeah.

24 DR. WEISS: -- to show that it's not the
25 cataract --

1 UNIDENTIFIED SPEAKER: Right.

2 DR. WEISS: -- that's doing it.

3 Dr. Edrington.

4 DR. EDRINGTON: The 3.4 lines of
5 improvement, to me that's sort of vague. I mean I
6 don't know how much that actually helps each
7 individual patient, but to me, the compelling thing
8 having to do with vision is the visual function
9 questionnaire and the results on that. I mean to me
10 that's the most compelling thing about the vision.

11 DR. WEISS: But did they have that visual
12 -- I don't recall. Did they have a visual function
13 questionnaire? How would you, in the cataract eye
14 versus the non-cataract eye?

15 DR. EDRINGTON: No, no. I'm just saying if
16 you're looking at support for vision --

17 DR. WEISS: This question is just related
18 to the cataract.

19 DR. EDRINGTON: Just asks about the
20 cataract.

21 DR. WEISS: Just about the cataract. Was
22 the --

23 DR. EDRINGTON: Strike that comment then.

24 DR. WEISS: -- improvement to vision
25 related to taking out the cataract or was it related

1 specifically to the IMT device? Are we convinced
2 that it was specifically IMT device and the cataract
3 was not a confounding variable?

4 DR. HIGGINBOTHAM: Yes.

5 DR. EDRINGTON: Yes.

6 DR. MUSCH: Yes.

7 DR. SZLYK: Yes.

8 DR. WEISS: So I hear yes from
9 Dr. Edrington, Dr. Higginbotham and Dr. Musch, and
10 Dr. Szlyk. Are there any nays?

11 So I think the Panel's convinced.

12 Question 5, the sponsor has provided fundus
13 images and investigator reports of fundus
14 visualization performed by various techniques. Does
15 this information support adequate visualization and
16 treatment of the posterior segment of eyes implanted
17 with the IMT? If not, please provide your rationale.

18 So can you look at the retina sufficiently
19 after the IMT is implanted? What does the Panel
20 think?

21 DR. HIGGINBOTHAM: Yes.

22 DR. WEISS: Dr. Higginbotham says yes.

23 DR. SUNNESS: Can we see some of the
24 images? They weren't in our handout.

25 DR. WEISS: Can we see some of the images?

1 DR. HIGGINBOTHAM: Eve Higginbotham. It
2 was the presentation actually.

3 DR. SUNNESS: Just that one slide.

4 DR. HIGGINBOTHAM: Just that one slide, but
5 based on the -- this is Eve Higginbotham again.

6 DR. WEISS: Yes.

7 DR. HIGGINBOTHAM: -- executive summary.

8 DR. WEISS: I think it was .4 percent that
9 were not visualized from my recollection.

10 DR. HIGGINBOTHAM: Four percent of the
11 eyes --

12 DR. WEISS: Four percent that was not
13 visualized.

14 DR. HIGGINBOTHAM: -- could not actually
15 have these examinations done out of 1800 fundus
16 examinations attempted. So that's the rationale for
17 my answer in the affirmative.

18 DR. WEISS: And then they need to be
19 something that would be put in labeling, too, as a
20 warning in terms of patients who may be at higher
21 risk for retinal detachment or retinal disease, that
22 they should know that they may have more difficulty
23 visualizing. Dr. Eydelman.

24 DR. EYDELMAN: Just to address Dr. Sunness'
25 point. While we can't pull it up now, in your mail

1 out package, you did get samples of the visualization
2 that you should have had access to.

3 DR. SUNNESS: I looked for it, and it said
4 it was in -- anyway, I couldn't find it where I
5 looked for it.

6 DR. WEISS: Dr. Higginbotham.

7 DR. HIGGINBOTHAM: Just as a follow-up to
8 your last comment, it certainly doesn't preclude them
9 from doing ultrasound that could further evaluate the
10 status of the retina.

11 DR. SUNNESS: But, you know, I think we're
12 fortunate now in the era of Lucentis and Avastin that
13 even if in geographic atrophy at 4 years, there's
14 about a 15 percent rate of getting choroidal
15 neovascularization, but we no longer have to worry
16 about being able to laser it.

17 So given the presence of -- it sort of
18 takes the -- we don't have to image things as well as
19 we used to have to. That's the bottom line.

20 DR. WEISS: Are there any other opinions on
21 this particular question?

22 DR. FERRIS: So as a retina person, I
23 wouldn't be thrilled with my view, but given the
24 patient's situation, you do what you have to do and
25 you can see something. You can't see as well as you

1 could otherwise see, and I think that's where you're
2 left. And I agree with Janet, that you are going to
3 have to worry about retinal detachment and choroidal
4 neovascularization in these patients. Retinal
5 detachment may be a lot more of a problem than
6 mindlessly injecting Lucentis.

7 DR. WEISS: And so if you were going to put
8 something in labeling, Dr. Ferris, what would you say
9 to a prospective patient or what would you put in the
10 labeling to the physician about the retina?

11 DR. FERRIS: That the fact that the view of
12 the back of the eye is limited by the device to some
13 degree, and it may somewhat increase your chance of a
14 retinal detachment progressing for some time before
15 you noticed it, it may make the surgery more
16 difficult, but as long as the patient understands
17 that there are some extra risks, I think that they're
18 within a reasonable risk for the benefit.

19 DR. WEISS: Okay. Question number 6, the
20 sponsor proposes the following indications and
21 contraindications.

22 Indications. The Implantable Miniature
23 Telescope is indicated to improve vision by monocular
24 implantation in a patient 65 years of age or older
25 with stable moderate to profound vision impairment

1 caused by bilateral central scotomas associated with
2 end-stage age-related macular degeneration. Patients
3 must have (1) retinal findings of geographic atrophy
4 or disciform scar with foveal involvement, as
5 determined by fluorescein angiography; (2) evidence
6 of a cataract; (3) at least a five-letter improvement
7 on the ETDRS chart with an external telescope;
8 (4) adequate peripheral vision in the eye not
9 scheduled for surgery; (5) willingness to participate
10 in a postoperative visual training/rehabilitation
11 program.

12 Contraindications. Evidence of corneal
13 guttata, anterior chamber depth less than 3 -- I
14 thought someplace else it was less than or equal to
15 3, so someone can correct me on that. The IMT is
16 contraindicated in patients who do not meet the
17 minimum age and endothelial cell density, as shown in
18 the grid. And the grid they're using is the one with
19 the lower endothelial cell counts. Additional list
20 of contraindications are proposed by the sponsor in
21 labeling, which is another amendment.

22 Please discuss whether the sponsor has
23 provided reasonable assurance of safety and efficacy
24 of the device for the proposed indications and
25 contraindications. What, if any, modifications to

1 the proposed patient population do you recommend?

2 So first we're going to answer the first
3 one. In terms of the indications as well as the
4 contraindications, the contraindications again are
5 the age, the endothelial cell density graph, the
6 anterior chamber must be 3 or more, the evidence of
7 corneal guttata, is there reasonable assurance of
8 safety and efficacy for these indications and
9 contraindications?

10 Who would like to tackle that one? You
11 would like to tackle that one, Dr. Ferris. I can
12 tell.

13 DR. FERRIS: Except for the fact that the
14 grid and the statement that you have to be over age
15 65 don't seem to line up. Isn't there a 55 to 65 on
16 that group?

17 DR. WEISS: Yeah. The sponsor seems to
18 have taken out the 55 to 65.

19 DR. FERRIS: So I don't care so much about
20 the grid anyway. I think they've shown that the
21 device is effective, and I think they've demonstrated
22 clearly that there are a number of safety issues that
23 the patient has to be warned about, and under those
24 circumstances, I think that that's my recommendation,
25 that as long as the patients are appropriately

1 notified of those risks that I think have been
2 clearly identified, I think it's fine.

3 DR. WEISS: So you would say --

4 DR. FERRIS: I would not --

5 DR. WEISS: If a patient is informed, it
6 would be considered reasonable safety and efficacy.

7 DR. FERRIS: And I take it from what the
8 sponsor said that that list of guttata and other
9 things, none of those were contraindications. They
10 were risk factors.

11 DR. WEISS: Now the way it's listed here
12 though, it's listed as a contraindication. So they
13 are listing it in their proposal as contraindications
14 are guttata, are an anterior chamber depth less than
15 3, are patients who do not meet the minimum age and
16 endothelial cell density.

17 DR. FERRIS: Well, far be it for me to
18 force the sponsor to make the device more widely
19 available. If that's the way they want to do it,
20 that's okay with me. I think that that's overkill
21 frankly from what I've seen but --

22 DR. WEISS: But their minimal ECD was the
23 less conservative chart, and I suppose we have not
24 really reached a conclusion one way or other which
25 chart one would use. Dr. Matoba.

1 DR. MATOBA: So I agree with Dr. Ferris,
2 that 65 -- I don't necessarily think that if you're
3 55, you should be denied an opportunity to consider
4 having it implanted. On the other hand, we have to
5 be careful that then someone doesn't say, oh, you're
6 25 and you've got a traumatic macular problem, but
7 there's no age limit and maybe you should have it. I
8 mean so if we can make sure that it's going to begin
9 at least to be implanted within the people with the
10 age-related macular degeneration, older patients,
11 then I think we could liberalize the numbers a bit
12 and have young patients, in their fifties.

13 DR. WEISS: Dr. Eydelman.

14 DR. EYDELMAN: Well, it's currently
15 proposed for 65 and above.

16 DR. MATOBA: Yeah, but I'm thinking 55. I
17 mean there might be some younger patients who would
18 benefit, and they would be the more active patients
19 who may want it more even than the older patients.

20 DR. WEISS: Well, I would say the
21 difficulty, we can discuss that. I would say that
22 would be a side issue, and the difficulty with that
23 is, at least I would like to hear from the sponsor,
24 how the patients did from 55 to 65, and we would have
25 to expand that ECD grid from minimal numbers which

1 wasn't presented here, and we would want to know why
2 that was taken out. So there are a lot of other
3 aspects to that. We can revisit that if, you know,
4 members of the Panel want to revisit that, but the
5 more important issue I think for the sponsor and
6 everyone here is just the majority of what the
7 indications --

8 DR. FERRIS: Does this mean that what we're
9 suggesting is this is the on-labeled use of this
10 device?

11 DR. WEISS: This is the labeled use of the
12 device.

13 DR. FERRIS: That's the on-labeled use.

14 DR. WEISS: Yes.

15 DR. FERRIS: People can use devices off
16 label --

17 DR. WEISS: That does not happen, does it?

18 DR. FERRIS: It happened once when I was at
19 Hopkins.

20 DR. WEISS: Okay.

21 (Laughter.)

22 DR. WEISS: Dr. Eydelman.

23 DR. EYDELMAN: If I can just point out that
24 at the original Panel in 2006, as Dr. Lepri's slides
25 indicated, the indication was 55 on, and then

1 subsequent to the analysis of the data, it was the
2 sponsor's proposal to limit it to 65 and beyond.

3 DR. WEISS: The sponsor wants to comment on
4 that. Mr. Hill.

5 MR. HILL: While I also don't like
6 restricting indications, I believe it is prudent to
7 restrict the device to age 65 and older. Our data
8 was very limited on younger patients. They did,
9 however, do well and their cell densities were quite
10 reasonable, but it's a very limited sample. So I
11 believe the restriction is appropriate.

12 DR. WEISS: Fine. Thank you for addressing
13 that. Mr. Bunner.

14 MR. BUNNER: Not to be stuck on the eye
15 rubbing issue, but I know going back into
16 contraindications, we talked about the history of
17 frequently rubbing eyes or any conditions that
18 predispose a person to that. I guess my other
19 concern about implanting this in our aging population
20 is increasing weights of dementia. And so when
21 you're advising patients as to their long-term
22 prognosis, what am I at risk as a consumer, what am I
23 at risk at if I'm suddenly facing dementia and I have
24 this implantable device in my eye? I'm wondering if
25 that's --

1 DR. WEISS: Well, typically in the
2 labeling, there's a long list of contraindications,
3 of warnings and such, in labeling for patients and
4 labeling for physicians. Now, what I'd like to ask
5 Dr. Eydelman is they do refer to an additional list
6 of contraindications proposed by the sponsor in the
7 labeling, Volume 2, Amendment 13? Do you want us
8 looking at that, or is that something FDA will do
9 because that's part of the labeling?

10 DR. EYDELMAN: We will do that if you have
11 specific recommendations. You can certainly voice
12 that, and we'll double check that they are included
13 or excluded. But we have pulled the ones, the
14 contraindications which were used to mitigate risk of
15 endothelial cells from a long list of
16 contraindications. That's why the question was
17 presented as is.

18 DR. WEISS: So I guess this would be an
19 area where if there's specific things that Panel
20 members would want in the labeling to, and correct me
21 if I'm wrong, Malvina, if there are specific things
22 that we would like in the labeling to patients as
23 well as to physicians, this would be a place to voice
24 that.

25 DR. EYDELMAN: Yes.

1 DR. WEISS: So one of these things was the
2 patients should be warned about eye rubbing, and I
3 don't know if that's already in here. It may already
4 be in here. It's already in there. Okay. So we
5 would want that in there. So what we come up with
6 may be a duplication of what you have because I can't
7 read all the labeling here, but are there any other
8 aspects? Dr. Higginbotham.

9 DR. HIGGINBOTHAM: Well, one of the
10 comments I wanted to make, in that same line earlier,
11 was that the patient needs to be able to give
12 informed consent, I mean because there is a high rate
13 of, you know, organic brain disease in this group,
14 and so I can just see this going into patients
15 because you don't know what patients are going to do
16 once they're in that stage, but I also wanted to add
17 a little bit more clarification on the AC depth.
18 That's central AC depth that we're talking about.

19 DR. WEISS: Yes.

20 DR. HIGGINBOTHAM: So we might want to --

21 DR. WEISS: Say central anterior chamber.

22 DR. HIGGINBOTHAM: Yeah, central anterior
23 chamber depth.

24 DR. WEISS: I have a great concern about
25 how to ensure the patient gets informed consent

1 because how often does a patient ever see the
2 labeling from the devices? Not frequently, and how
3 often does the doctor see the labeling from the
4 devices even though we cogitate a long time about it
5 at the Panel meetings? And so the question that I
6 would ask is aside from, and I know the FDA does not
7 get involved in the purview of the practice of
8 medicine for the individual patient, but I would
9 wonder how the company could get involved in terms of
10 the information given to the doctor to better ensure
11 that the patient gets this incredibly important
12 information about corneal decompensation rate because
13 if it's treated cavalierly as anything else that
14 happens with the device, and the patients do not get
15 this information, then much of this deliberation will
16 basically have been for naught.

17 DR. FERRIS: I think Eve's point about the
18 ability of the patient, not to just give the informed
19 consent, but also to do this training is really
20 important, and I agree with you, that if there's some
21 way to make sure that the patients, you know,
22 document that they do they understand this, I think
23 that's very important.

24 DR. WEISS: And I don't know that -- I mean
25 and this is not done, but I personally would love a

1 consent form from the company that patients, that has
2 been put together that patients get, and so you could
3 be ensured that they actually see this as opposed to
4 leaving it to the individual physician solely to
5 basically have the information and know that,
6 particularly because I would expect the corneal edema
7 rate would go up in the population. Not all corneal
8 surgeons may be at the level of the corneal surgeons
9 in this study, and the other surgeons in this study,
10 I assume were at a very high level, and they were not
11 sufficient. And if the surgeons in this study were
12 not sufficient, then what will happen with the
13 corneal edema rate when you get it into the
14 population where surgeons may not have that same
15 level of skill?

16 So if the Panel is in agreement that we
17 will be willing to accept something that did not meet
18 the original safety guidelines the sponsor had set
19 up, but it would be okay if the patient does get the
20 information, how does one get the patient the info?
21 And I don't know if the sponsor has any ideas on
22 that. Yes.

23 Aside from teaching the docs in the course
24 and having them go home and maybe or maybe not
25 relaying it.

1 MR. HILL: Regarding recommendations for
2 professional use and also for patient information, in
3 the packages you received under Appendix 13 is a
4 complete set of the proposed professional use
5 information which includes all indications and
6 contraindications regarding mental state of the
7 patient, which I believe would address that
8 information, and I believe we also have the patient
9 brochure in here which is to be provided to each and
10 every patient that may be a candidate for this
11 device.

12 DR. WEISS: Well, here's a question. For
13 many devices this is available. Patients don't get
14 it. And I think doctors may have to pay out of
15 pocket for it. I'm not sure. The doctors don't
16 necessarily have these available. Is there anything
17 that could be done to make this device different in
18 terms of informing, making more sure or doing a
19 better job that the patient is actually informed?

20 MR. HILL: As it relates to working with
21 physicians who may be implanting this device or
22 recommending it to patients, it would be implanted
23 with advice. For implanting physicians, there is a
24 required training program to certify which has been
25 proposed and is in the labeling. As part of that

1 component and as part of our indication, we're also
2 requesting in the labeling that the physician discuss
3 the specific risks, similar to what Dr. Ferris
4 mentioned, with each and every physician. So (a)
5 physician training, (b) within the labeling
6 requirement. I think that's the best way we can do
7 that.

8 Can we go beyond that? We generally do not
9 have patient contact, of course, but we would
10 certainly make information available to potentially
11 referring physicians regarding the completed patient
12 information. So that's public domain, and that can
13 be broadly distributed.

14 DR. WEISS: Is there a patient video that a
15 patient would watch that could include this?

16 MR. HILL: That's certainly possible.
17 There's information on websites. There's complete
18 information there, and that is available in large
19 text. Of course, that generally comes from patient
20 relatives rather than the individual patients, but we
21 concur that that should be considered, and we hope
22 that if you'll take a look at the information in the
23 proposed labeling, that that would be considered
24 appropriate.

25 DR. WEISS: Dr. Eydelman.

1 DR. EYDELMAN: Actually if you don't mind,
2 I would like Dr. Bonhomme to comment on an informed
3 consent was used on the device outside of the
4 ophthalmic area that is very unique.

5 DR. BONHOMME: The product are the silicon
6 gel breast implants, and there is an information
7 packet that the physician must discuss with the
8 patients, and they do document informed consent, and
9 it is signed. So there's a precedent for this.

10 DR. WEISS: So I would step out of my
11 position as Chair and maybe more in the position as
12 patient advocate and ask that that would be included
13 before I say that that's my own personal opinion, but
14 what do other members of the Panel feel about that?

15 MS. NIKSCH: Barbara Niksch. Just to
16 clarify, too, there are currently approved ophthalmic
17 devices that already have patient information
18 brochures. They're posted on the companies'
19 websites. They're actually again promoted during the
20 training of the physicians, given to them. They are
21 instructed how to give them to their patients. They
22 combine it with their own informed consent procedure
23 within their practices. Beyond that, the sponsor,
24 you know, obviously can't hand one to every patient,
25 but this is generally common practice for a lot of

1 ophthalmic devices already, as you may know.

2 DR. WEISS: Yeah. Barbara, I've been on
3 the Panel for a number of years, as you know, and
4 I've been a physician for more years than that, and I
5 can tell you there's what we would like and what
6 happens. And what happens, as an ophthalmic surgeon,
7 I've gone to some of the courses for devices that
8 have been proposed here, and what gets said here and
9 what gets said at the courses are sometimes two
10 different things.

11 And in all good faith, I think everyone in
12 this room, if this got approved, would want the
13 patient to know. And so I think we need to do
14 another step beyond the steps that we have that often
15 patients don't get the labeling and often doctors
16 don't read the labeling, and sometimes the person who
17 might be teaching the course won't be saying what the
18 issues were. So if there's something like this
19 brochure that has been used for other things, that a
20 patient would get so we could better ensure that
21 someone who was let's say willing to accept a 30
22 percent risk of corneal edema, whatever the risk is,
23 says hey, that's fine, I'll take it, it's important
24 to me they got that information.

25 Again, that's my personal opinion, but I'm

1 going to defer to the Panel.

2 DR. FERRIS: Well, can we make a motion and
3 vote on it. I don't know whether that's out of order
4 but --

5 DR. WEISS: Well, we can include this in
6 the labeling.

7 DR. FERRIS: -- I'm going to motion that
8 this Panel recommends that the Agency follow up on
9 that model that was used in breast implants for this
10 device because it does have some extra risk attached
11 to it.

12 DR. WEISS: If there's consensus in the
13 Panel, Mr. Swink is scribing for me, and what we're
14 doing is as recommendations are being made, we're
15 writing them down, and that could be one of the
16 conditions that is attached to this PMA. Are there
17 any other comments on that? Any disagreement with
18 that on the Panel?

19 Are there any modifications to the proposed
20 patient population or any other issues in terms of
21 particular concerns with indications or
22 contraindications?

23 Dr. Eydelman, anything else on that
24 question? Otherwise, we'll go onto question 7.

25 DR. EYDELMAN: Just indicate the answer to

1 the second part of the question.

2 DR. WEISS: Second part of the question?

3 DR. EYDELMAN: What, if any, modifications
4 to the proposed patient population do you recommend?

5 DR. WEISS: So modifications to the
6 proposed patient population.

7 DR. EYDELMAN: As defined by indications
8 and contraindications. In those words, indications,
9 contraindications, just as in Dr. Lepri's slide,
10 define who is on label.

11 DR. WEISS: Does anyone want to have any
12 changes to the population that this is proposed for
13 in the indications or contraindications?

14 Dr. Bandeen-Roche.

15 DR. BANDEEN-ROCHE: So just -- and you can
16 tell how torn I am over this minimum ECD table, you
17 know, I mean. So just one thought to consider would
18 be rather than extrapolating back from the end, you
19 know, the expected end of life, one could set a
20 reasonable number. I mean this was raised by
21 somebody else, like 2,000 and then use the most data
22 available to estimate the expected years forward from
23 that point to crossing the borderline.

24 Now, I know this sounds like, you know,
25 just a variation on theme, but to make it very clear

1 that this is an extrapolation, I don't know. I mean
2 that's just one other approach that I think FDA might
3 consider.

4 DR. WEISS: Dr. Eydelman.

5 DR. EYDELMAN: That, of course, will negate
6 the whole use of the life expectancy because as you
7 see in the excerpt of the grid, for example, for 85
8 to 89, 1800 is the entry criteria, and given the
9 population that was enrolled, most of the population
10 was in that age group.

11 DR. BANDEEN-ROCHE: That's what the
12 proposal would be.

13 DR. WEISS: Dr. Musch and then
14 Dr. Higginbotham.

15 DR. MUSCH: Dave Musch. I'm as torn as
16 Karen is about making this a contraindication when
17 none of us feel that it is deified, as I think Karen
18 said, and we would be doing that by establishing it,
19 but on the other hand, if we don't say anything about
20 a minimum endothelial cell density, then my concern
21 is that people will be just putting it into anybody.

22 DR. WEISS: Dr. Eydelman.

23 DR. EYDELMAN: Of course, one other option
24 is to recommend that the contraindication table
25 starts, let's say, I'm just making this up, at 70 or

1 75, and for the decade before, two decades before,
2 make it as a warning which is not an absolute
3 contraindication because that's the age group that I
4 heard most of the issues was from 65 to 75.

5 DR. WEISS: Dr. Higginbotham.

6 DR. HIGGINBOTHAM: Well, my comment was on
7 something else, but that might be a good compromise
8 since there seem to be the greatest difference
9 between version A, version B, in that 65 to 69, but
10 my comment was actually related to potential
11 contraindication. I saw it in the patient brochure
12 that if you have uncontrolled glaucoma, why would I
13 mention that, that, you know, you may not be a
14 candidate or that's what I gleaned, and so
15 uncontrolled glaucoma particularly given the fact
16 that you're not going to get a great view of the
17 disc, and certainly you're never going to get a good
18 visual field, that that would be something to
19 explicitly state.

20 DR. WEISS: And the sponsor's sort of
21 nodding that that -- we'll scribe that, but I believe
22 that's already in the --

23 UNIDENTIFIED SPEAKER: Yes, it is.

24 DR. WEISS: Okay. I would actually also
25 add something. I think there's instructions on how

1 to do a YAG laser in here, but it's never been done.
2 So it's never been done. I would just say it's never
3 been done. You don't have to be the first if you're
4 the doc reading this.

5 DR. HIGGINBOTHAM: And if I could, the
6 compromise is actually very, very close to my
7 proposal. I was not proposing to not have a minimum,
8 you know, but I think I was reflecting my particular
9 discomfort with the minimum it extrapolates, the
10 farthest, and that is the most variable between the
11 two analyses, and I think we've given probably enough
12 guidance. I hope that FDA can then make a decision.

13 DR. WEISS: If no other comments on that, I
14 just had a quick thing, and this is fairly trivial.
15 The 2.2 versus the 3.3X, in one area, I saw they
16 weigh different amounts, but in the physician
17 indications, it shows they weigh the same. So
18 whatever it is should be corrected to whatever it's
19 supposed to be.

20 Question number 7, the last question. At
21 the time of the July 2006 Panel meeting -- yes.

22 MR. HILL: Just one quick comment. I
23 wasn't quite sure I understood the summation of that
24 last point.

25 DR. WEISS: Yes.

1 MR. HILL: It's a very trivial point, just
2 one of accuracy. Table 1 in physician's labeling,
3 they talk about the weight of both models of the
4 different telescopic prostheses, and they have the
5 same weight. I thought in another area I saw that
6 they had different weights.

7 MR. HILL: They're comparable. There's no
8 material difference between the two.

9 DR. WEISS: Okay. Fine. So that's --

10 MR. HILL: And it relates to the point on
11 the modified position. I wasn't quite clear where
12 that ended up. Were --

13 DR. WEISS: You're in good company,
14 Mr. Hill.

15 MR. HILL: Okay. All right. So whether it
16 would be a warning or something like that with some
17 minimum ECD which sounds like a reasonable -- let's
18 call it some other labeling which sounds like a
19 reasonable approach from what I'm hearing from
20 everyone at the Panel versus the contraindications.

21 DR. HIGGINBOTHAM: Yes.

22 DR. WEISS: I don't think we've -- we have
23 not made any determination.

24 MR. HILL: I understand.

25 DR. WEISS: I'm going to be guided by --

1 MR. HILL: I was trying to understand --

2 DR. WEISS: Yeah, we don't understand it
3 either. So that's why you don't understand it.

4 MR. HILL: Okay.

5 DR. WEISS: Do you need --

6 DR. HIGGINBOTHAM: Can we outline it again
7 perhaps and have the Panel weigh in on that?

8 DR. WEISS: Do you want us to continue this
9 discussion?

10 DR. EYDELMAN: I just want to hear an
11 answer to the last part of 6 before you move on.

12 DR. WEISS: Answer to the last part of 6.
13 Any modifications to the proposed patient population?

14 DR. EYDELMAN: So is the answer no?

15 DR. HIGGINBOTHAM: Let's go around the
16 table.

17 DR. WEISS: I think one question I'm going
18 to -- we have not decided on which of the minimum ECD
19 tables we have.

20 DR. HIGGINBOTHAM: That's correct.

21 DR. WEISS: That's clear after 20 minutes
22 of discussing it. The second thing is now I think
23 we're entering the question is it a contraindication
24 or warning? Is that the question, Malvina?

25 DR. EYDELMAN: No, beyond that.

1 DR. WEISS: Beyond that. Is there anything
2 else that we -- anyone proposes for the patient
3 population or are we satisfied with the patient
4 population that's listed? If we're satisfied with
5 the patient population that is listed, we can go onto
6 question 7.

7 DR. HIGGINBOTHAM: Madam Chair --

8 DR. WEISS: Dr. Higginbotham.

9 DR. HIGGINBOTHAM: -- there was at least a
10 suggestion that perhaps we could take out the 65 to
11 69 age group.

12 DR. WEISS: In terms of the table of ECD.

13 DR. HIGGINBOTHAM: And place it as a
14 warning but then go ahead and continue on with the 70
15 on as indicated.

16 DR. WEISS: Well, we can --

17 DR. HIGGINBOTHAM: That's a summary.
18 That's a paraphrase of -- I myself concur with that
19 as a recommendation.

20 DR. WEISS: So is there interest in keeping
21 70 and above as a contraindication and 65 to 69 as a
22 warning?

23 DR. SUNNESS: I would simplify it just
24 because again, as we've said a number of times, that
25 I think this is -- it looks too specific, and we

1 don't have that much information. I would propose
2 that it's an absolute contraindication 2,000 or less
3 up to age whatever, 84, and then 1800 after, and then
4 put the warning for, you know, for the 60 to 75 for
5 the higher numbers.

6 DR. WEISS: As a corneal surgeon, I would
7 play devil's advocate and say for the younger
8 patients, it's even more important for them to have a
9 robust endothelial cell count because they'll be
10 around long enough to get the corneal edema. So I
11 really wouldn't -- if you want to put a warning, I'd
12 rather do it on the 90-year-olds who might not be
13 making it to their postop visit, you know.

14 But I will defer to my -- group. Do we
15 want to change any of it to warning or do we want to
16 just keep it all as contraindication?

17 DR. FERRIS: Well, it's amazing to me that
18 something that might have a relative risk of 1.4 or
19 something is all of a sudden going to be a
20 contraindication. If it was a relative risk of 4
21 or -- this is -- there was almost no evidence that
22 there was such a high relationship, and I like the
23 idea of the warning because the lower your
24 endothelial cell count is, the higher your risk.
25 There's no doubt about that. The idea that there's

1 some artificial cutoff to me is silly.

2 DR. WEISS: Dr. Eydelman.

3 DR. EYDELMAN: Madam Chair, when I asked
4 for an answer to the question, I was hoping to go
5 beyond the grid to see if there was any other
6 criteria.

7 DR. WEISS: Are there any other -- anything
8 else besides the grid?

9 DR. FERRIS: Yeah, I think we all agreed
10 that the --

11 DR. WEISS: Okay. Nothing else besides the
12 grid. Do you want us to discuss the grid or go onto
13 question 7?

14 DR. EYDELMAN: Please go on.

15 DR. WEISS: Go onto question 7. At the
16 time of the July 2006 Panel meeting, the sponsor
17 submitted protocols for two postapproval studies, a
18 five year follow-up of IMT-002-LTM patients, a long-
19 term monitoring study of IMT-002 patients and (2) a
20 prospective multicenter postapproval study of the
21 Implantable Miniature Telescope (IMT patients with
22 central vision impairment associated with age-related
23 macular degeneration, a follow-up study of newly
24 enrolled patients who received the IMT after approval
25 out to 5 years). On February 6, 2009, the sponsor

1 indicated that they do not believe a postapproval
2 study is warranted at this point because most
3 subjects followed in IMT-002-LTM have reached the
4 four-year follow-up exam. However, to address the
5 possibility that a postapproval study may be
6 recommended, the sponsor submitted a protocol to
7 follow some of the subjects implanted under IMT-002
8 for two additional years. There are four parts to
9 this question.

10 So part a: Given the currently available
11 safety and efficacy data, and if this device is
12 approved, is a postapproval study recommended?

13 Could I just have a show of hands how many
14 would like a postapproval study? We've got eight.
15 So we have a majority of Panel members who want a
16 postapproval study if my math is correct.

17 Okay. FDA, is that fine with you? We have
18 majority, eight members wanted at postapproval study.

19 DR. EYDELMAN: Thank you.

20 DR. WEISS: We can go on, b: If a
21 postapproval study is recommended, does the Panel
22 agree with the sponsor's proposal to follow currently
23 implanted patients to seven years? If not, what do
24 you recommend?

25 Does the Panel want to follow the currently

1 implanted patients to seven years? How many would
2 say yes?

3 DR. SUNNESS: As an exclusive thing or in
4 addition to a separate PAS?

5 DR. WEISS: Well, that is the PAS from my
6 understanding.

7 DR. FERRIS: She's asking or I'm asking,
8 does that exclude something else --

9 DR. WEISS: Right.

10 DR. FERRIS: -- if you vote for that or
11 could there be two --

12 DR. EYDELMAN: Dr. Bonhomme is going to --

13 DR. WEISS: Yes.

14 DR. BONHOMME: The seven-year study is the
15 sponsor's proposal, but we are willing to entertain
16 other options.

17 DR. WEISS: So we believe in least
18 burdensome. So my supposition is we would not be
19 saying go to seven years plus do something else. We
20 would be choosing the best study. Is that a correct
21 supposition?

22 DR. BONHOMME: You could --

23 DR. WEISS: We can do anything we want.

24 DR. BONHOMME: -- do both or one or you've
25 already decided that it wouldn't be none. So --

1 DR. WEISS: What do -- Alice.

2 DR. MATOBA: Well, I have one issue, and I
3 would like to know what the Panel thinks of this is
4 that I have some concerns about the intraoperative
5 problems that can arise, and if we now start having
6 everybody doing it, then we don't know whether all of
7 a sudden there will be a big jump in the number of
8 intraoperative problems, and that's one aspect that
9 I'm curious about. That would be a different type of
10 study.

11 DR. WEISS: So I would agree with
12 Dr. Matoba is once we reach the normal population and
13 we have all surgeons do this, even corneal surgeons
14 who maybe are not as well trained or not as skillful,
15 and people who are also not used to large incision
16 surgery, are we going to have a higher rate of
17 endothelial cell loss, corneal edema, choroidal
18 hemorrhage --

19 UNIDENTIFIED SPEAKER: -- loss, whatever.

20 DR. WEISS: -- loss, yes. Oliver.

21 DR. SCHEIN: Thank you. Oliver Schein. I
22 want to make a point that I think it's far more
23 valuable to get a little bit of key clinical
24 information on large numbers of patients who are
25 newly enrolled in the setting in which the product

1 will be actually used rather than more detailed
2 information, things like endothelial cell counts, on
3 an ever dwindling population. This population is old
4 to begin with, the numbers that are going to make it
5 to seven years is very small, and you're not going to
6 get at the information that you want from a public
7 health perspective.

8 So my view is that if you can capture
9 things like explanation, need for corneal transplant,
10 and/or loss of vision attributed by a surgeon to
11 corneal edema, then you would have captured the most
12 important things, and to do this on a prospective
13 basis in a larger sample.

14 DR. WEISS: So you're not supporting the
15 original proposal of the --

16 DR. SCHEIN: Am I personally supporting it?
17 No.

18 DR. WEISS: Do you have your plane ride
19 back home?

20 DR. SCHEIN: Luckily I have a car here.
21 So, as you can tell, I'm not actually involved in
22 those earlier submissions, but it makes no sense to
23 me.

24 DR. WEISS: Okay. So you're suggesting
25 don't follow them to seven years. Enroll a new

1 cohort of patients and prospectively follow them.

2 DR. SCHEIN: Something that gets at the key
3 indication, the key issues. One quick analogy, there
4 was postmarket surveillance study I was involved with
5 about three years ago with New Generation extended
6 wear contact lenses. The question is, do they have a
7 higher infection rate? So you chose the most
8 important outcome. In that case, it was clinically
9 documented microbial keratitis, and you get a very
10 good answer for the most important set of
11 circumstances, not surrogate outcomes like cell
12 counts and pachymetry and so forth.

13 DR. WEISS: What do members of the Panel
14 think? Dr. Musch.

15 DR. MUSCH: Dave Musch. I'm very
16 supportive of what Dr. Schein just recommended, and
17 it really goes into item c where we're asked to talk
18 about a new PAS rather than what is proposed.

19 DR. WEISS: So if there is interest in
20 enrolling new patients, looking at them
21 prospectively, looking at such things that have been
22 mentioned as need for corneal transplant,
23 explantation rate, would members of the Panel want
24 the currently implanted patients to be followed to
25 seven years or no? Dave, follow them or not?

1 DR. MUSCH: I, you know, I don't know how
2 we weigh in on the burden to the company, but I don't
3 know where seven years came from. I would envision a
4 sufficient sample size study in which you follow
5 perhaps 500 patients for 5 years, and given the death
6 rate of this group and all that, if you end up with
7 300, I would be feeling pretty happy.

8 DR. WEISS: But we didn't start with 300.
9 So --

10 DR. MUSCH: Well, starting with 500 or
11 whatever number is factored in so that you have a
12 sufficient number at five years to --

13 DR. WEISS: We're talking about the
14 prospective. Are you talking about the prospective?

15 DR. MUSCH: Correct.

16 DR. WEISS: I'm going back to the seven
17 years. The original proposal of the sponsor was to
18 follow the currently implanted patients up to seven
19 years. So would you want to follow the currently
20 implanted patients or no?

21 DR. MUSCH: No.

22 DR. WEISS: No.

23 DR. MUSCH: Because most of those patients
24 don't even meet the indications that we have just
25 talked about.