

# TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

BLOOD PRODUCTS ADVISORY COMMITTEE

58TH MEETING

*This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.*

Pages 1 Thru 207

Rockville, Maryland  
March 20, 1998

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.  
Washington, D.C. 20002  
(202) 546-6666

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION

BLOOD PRODUCTS ADVISORY COMMITTEE  
58TH MEETING

Friday, March 20, 1998

8:00 a.m.

Doubletree Hotel  
Plaza I and II  
1750 Rockville Pike  
Rockville, Maryland

## PARTICIPANTS

F. Blaine Hollinger, M.D., Chairperson  
Linda A. Smallwood, Ph.D. Executive Secretary

## MEMBERS

John M. Boyle, Ph.D.  
Peter Callero, M.D.  
Corey S. Dubin  
Norig Ellison, M.D.  
Jerry A. Holmberg, Ph.D.  
Rima F. Khabbaz, M.D.  
Marion A. Koerper, M.D.  
Jeanne V. Linden, M.D.  
Mark A. Mitchell, M.D.  
David F. Stroncek, M.D.

## TEMPORARY VOTING MEMBER

Paul R. McCurdy, M.D.

## NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

## NON-VOTING INDUSTRY REPRESENTATIVE

Donald H. Buchholz, M.D.

C O N T E N T S

<b>IV. Classification of Blood Establishment Computer Software (BECS):</b>	
Inspectional Findings, Boyd Fogle, OC	6
Device Classification, Molly Ray	15
Special Control for Blood Establishment Computer Software, Nancy Jensen	22
<b>Open Public Hearing</b>	
Kay Gregory, AABB	28
Carolyn Jones, HIMA	30
Linda Lewis, Committee of Ten Thousand	44
New 510(k) Paradigm, Heather Rosecrans	46
Chris Healey, ABRA	74
Presentation of Question	79
Committee Discussion and Recommendations	
<b>V. Relative Safety of Solvent Detergent-Treated Pooled Plasma and Fresh Frozen Plasma, Donor Retested:</b>	
Introduction and Background, Toby Silverman, M.D.	86
Technologies, Bernard Horowitz, Ph.D.	92
<b>Open Public Hearing</b>	
Dr. Paul V. Holland, Sacramento Medical Foundation	105
Dr. Louis M. Katz, ABA	121
Dr. Michael Busch, Irwin Memorial Blood Center	127
Dr. Girish Vyas, UC, San Francisco	133
Mr. Christopher Lamb, ARC	135
Dr. Steven Kleinman, AABB	139
Presentation of Questions to the Committee, Toby Silverman, M.D.	141
Committee Discussion and Recommendations	141
<b>VI. FDA Proposal for Donor Deferrals Related to Xenotransplantation:</b>	
Informational Presentation, Andrew Dayton, M.D., Ph.D.	181
Presentation of Questions, Andrew Dayton, M.D., Ph.D.	195
Committee Discussion and Recommendations	195

P R O C E E D I N G S

1  
2 DR. SMALLWOOD: Good morning and welcome to the second  
3 day of the 58th meeting of the Blood Products Advisory  
4 Committee. I am Linda Smallwood, the Executive Secretary.

5 Yesterday I read the statement of conflict of interest.  
6 That statement applies to today's proceedings. If anyone is  
7 interested in that, it is available for your review. I  
8 would ask that in the interest of fairness, that if anyone  
9 has anything to declare any or the proceedings taking place  
10 today, that they do so now.

11 Today, two of the members that were present yesterday,  
12 Dr. Martone and Dr. Verter, will be absent today. However,  
13 there will be a quorum so that this committee will be able  
14 to take care of the business before it.

15 Today, our first agenda item, classification of blood  
16 establishment computer software -- for this particular topic  
17 the committee will be sitting as a medical device panel. At  
18 this time, I would like to read a brief statement regarding  
19 this responsibility to the committee:

20 The charter of the Blood Products Advisory Committee  
21 permits the committee to sit as a medical device panel when  
22 it is necessary to review or discuss issues related to the  
23 seeking of advice, recommendation for approval, or  
24 reclassification of medical devices which are regulated by  
25 the Center for Biologics Evaluation and Research. The Blood

1 Products Advisory Committee will sit as a medical device  
2 panel to consider classification of blood establishment  
3 computer software as a class II device.

4 Products classified as class II are those where there  
5 is insufficient information showing that general controls  
6 alone would ensure safety and effectiveness, but there is  
7 sufficient information to establish that special controls  
8 would provide such assurance.

9 FDA is proposing that blood establishment computer  
10 software be classified as a class II device with general  
11 controls. Because the manufacture of blood and blood  
12 products are governed by specific blood regulations which  
13 exceed those safeguards covered by medical device general  
14 controls, FDA's reviewer guidance, published in 1997, will  
15 be considered as a special control. Accordingly, the  
16 advisory panel will be asked to provide opinions and  
17 recommendations as to whether blood establishment computer  
18 software should be classified as a class II medical device.

19 The questions for consideration by the advisory panel  
20 will be presented by FDA personnel, and will be restated by  
21 the panel Chair, at which time you may discuss them or  
22 request further clarity, as necessary, and provide  
23 recommendations.

24 Are there any questions at this time? Hearing none,  
25 our Chairman, Dr. Hollinger, will continue with t he

1 proceedings. Thank you.

2 DR. HOLLINGER: Thank you, Linda. We have three  
3 important topics today, besides this one where we are going  
4 to be sitting as a medical device panel. This will take us  
5 until about eleven o'clock, when we will break for lunch.  
6 Then, later on this afternoon, we will start around noon, on  
7 relative safety of solvent detergent-treated plasma, and  
8 finish with a proposal for donor deferral related to  
9 xenotransplantation.

10 So, we will start the proceedings this morning with the  
11 classification of blood establishment computer software, and  
12 the first presenter is Boyd Fogle, who will talk about  
13 inspectional findings.

14 MR. DUBIN: Blaine, just a question. Based on the  
15 agenda, I have scheduled a 5:30 flight out of Dulles. I  
16 just wanted you to know that. I did that based on the  
17 agenda I got.

18 DR. HOLLINGER: My hope is that we will be finished in  
19 time. We are going to try to do that.

20 MR. DUBIN: All right.

21 **Classification of Blood Establishment Computer Software**

22 **Inspectional Findings**

23 MR. FOGLE: Good morning.

24 [Slide]

25 What I have been asked to do for you this morning is to

1 present a brief overview of the background concerning  
2 regulation of blood establishment software, and then to  
3 focus on some of the more significant inspectional findings.

4        Provided to you previously was a more comprehensive  
5 listing of some of the deficiencies that we have found in  
6 inspections of device manufacturers. When we say device  
7 manufacturers, those are the manufacturers of the blood  
8 establishment computer software.

9        [Slide]

10        To focus our discussion and to refresh our recollection  
11 on the background, a letter was issued to all registered  
12 blood establishments in April of 1988. It was a  
13 recommendation from CBER and it was asking that blood  
14 establishments have systems that will be able to trace the  
15 history of donation forward and backward; that there should  
16 be implementation of a computerized system as a major  
17 change, to be reported to CBER under licensure.

18        [Slide]

19        Also, prior to implementation the blood establishment  
20 will evaluate the computerized system for evidence of  
21 validation that the programs consistently perform, as  
22 required and within preestablished limits.

23        To refocus the time period for 1988, 1988 is when the  
24 agency went to 100% inspection of blood establishments.

25        [Slide]

1           So, our initial thrust in terms of regulation of blood  
2 establishment software was through inspection of blood  
3 establishments, and 100% inspection began in 1988. We  
4 updated this guidance and expanded it by issuing additional  
5 requirements for computerized blood establishments. In this  
6 situation it was not recommendations. What we were  
7 articulating was the requirements that apply to blood  
8 establishment software systems, computerized systems used in  
9 blood establishments.

10           We specifically articulated, in a memorandum of  
11 September 8, 1989, that we believe that the 211 provisions  
12 of the CFR applied in addition to the 606's. The 606's are  
13 the blood GMPs, the 211s are the GMPs for pharmaceutical  
14 manufacturing. It was also at this point in time, while we  
15 were focusing, that the computer system should meet the same  
16 standards for equipment that was contained in 606.60, and  
17 also the standards for records in 606.160. We were  
18 specifically focusing that we were going to apply 211  
19 authority initially with 211.68 which talks about validation  
20 of automated systems. We felt that that was appropriate  
21 also because blood is a drug.

22           [Slide]

23           In November of 1989, there was also available the FDA  
24 policy for the regulation of computer products. This policy  
25 was an agency policy, spearheaded out of the Center for

1 Devices and Radiological Health which provided general  
2 guidance on the regulatory requirements for computer  
3 products. Computer products which are medical devices are  
4 subject to one of four levels of regulatory control,  
5 depending on their characteristics.

6 [Slide]

7 It was focusing on the definition of a medical device  
8 and bringing that to focus on where software as a product  
9 would fit into the device regulation. For your information,  
10 again, the term device means an instrument, apparatus,  
11 implement, machine, contrivance in vitro reagent or other  
12 similar related article, including any component or  
13 accessory which is intended for use in the diagnosis of  
14 disease or other conditions, or in the cure, mitigation,  
15 treatment or prevention of disease. That is contained in  
16 Section 201 of the Food, Drug and Cosmetic Act.

17 [Slide]

18 Also from the Center for Devices and Radiological  
19 Health was a guidance document for submission of 510(k)s for  
20 software-controlled devices, and this was issued in August  
21 of 1991.

22 [Slide]

23 Contained in that, it also stressed CDRH's and the  
24 agency's concern that 510(k)s should include a hazards  
25 analysis for the device's software, along with a level of

1 concern in relation to the following areas: One would be  
2 functional requirements and system specifications; also,  
3 software design and development; verification and validation  
4 data; and test results and analysis.

5 [Slide]

6 So, what we were doing was addressing the concern that  
7 we were seeing coming out of blood establishment  
8 inspections, an increase in the use of computerized systems,  
9 an increase in availability of stand-alone software to be  
10 used by blood establishments. We were seeing that from the  
11 biologic side.

12 At the same time, we were trying to then put those  
13 issues and concerns into the existing regulatory format that  
14 existed under the '89 software policy and existing guidance.  
15 Again, we believe that the computerized system in blood  
16 establishments consisted of hardware, software, peripheral  
17 devices, its personnel and documentation. We had clearly  
18 felt that these computerized systems met the standards for  
19 equipment and the requirements had to be met as 606.60 and  
20 211.68 which included system validation. We also believed  
21 record-keeping requirements were applicable in 606.160.  
22 SOPs were appropriate and required in 606.100, and personnel  
23 was required in 606.20.

24 [Slide]

25 As we have progressed throughout the process, we were

1 principally regulating through blood establishments. We did  
2 not feel that that was appropriate. What we felt was more  
3 appropriate was to start regulating the software at the  
4 design phase. Using the procedures that existed, we then  
5 initiated a more proactive approach by issuing a letter, on  
6 March 31, 1994, where we stated that FDA considered software  
7 products intended for use in the manufacture of blood and  
8 blood components or for the maintenance of data, the  
9 personnel making decisions regarding the suitability of  
10 donors, and the release of blood and blood components for  
11 transfusion or further manufacturing to be devices under  
12 Section 201(h) of the Federal Food, Drug and Cosmetic Act,  
13 again, using existing regulations, bringing them to focus on  
14 the issues of software as a device. Again, it was a  
15 critical component of computerized systems used in blood  
16 establishments.

17 Also, circa 1993, we had increased our emphasis on  
18 blood safety. There was also a congressional hearing in  
19 terms of FDA's initiatives in blood safety and to be more  
20 proactive in its initiatives for quality assurance, and also  
21 assuring that the nation's blood supply was as safe as it  
22 possibly could be. One of the initiatives that was  
23 announced at that hearing was our proposal to more  
24 proactively regulate blood establishment computer software  
25 as a device.

1 [Slide]

2 The culmination of those actions was in this March  
3 notification to the industry. It also indicated, over and  
4 above the fact that we now considered blood establishment  
5 software to be a device under the Act, that establishment  
6 registration and device listing would apply; cGMPs for  
7 devices would apply. Those are contained in CFR Part 820.  
8 Also, medical device reporting was applicable, and the  
9 manufacturer will be responsible for submission of the  
10 510(k) or PMA for each device that was not in commercial  
11 distribution prior to May 28, 1976, which was enactment of  
12 the original device amendments to the Food, Drug and  
13 Cosmetic Act.

14 [Slide]

15 Also at this time, when we had issued the requirement  
16 for manufacturers to submit applications, we also believed  
17 that there were suitable predicates that the industry could  
18 draw on. So, we also felt that that mechanism of a 510(k)  
19 was a quite viable alternative as far as predicates existing  
20 for similar intended uses.

21 As we were moving forward in this initiative, we also  
22 found that it was appropriate to give an extension so that  
23 there would be an orderly transition for blood  
24 establishments, and a one-year extension was given to  
25 software manufacturers, and there was a letter issued on

1 February 10, 1995 which gave a revised schedule for  
2 compliance, a one-year extension of the premarket submission  
3 deadline to March 31, 1996, and that there should be  
4 notification to CBER by letter if a premarket submission  
5 would not be completed and filed by the September 30th  
6 deadline.

7 [Slide]

8 Focusing on deficiencies that we found in inspections  
9 of software manufacturers, again, we had done a 100%  
10 inspection of blood establishments starting in 1988. As we  
11 saw problems in blood establishments, we then initiated  
12 inspections of the vendor developers which we call "for  
13 cause" inspections. Again, refocusing in the '94 letter was  
14 to give us a more proactive approach. But going back to  
15 inspections, as far back as 1989, we did find problems with  
16 respect to design defects and programming errors.

17 [Slide]

18 With respect to design defects, and also focusing also  
19 on outcomes and impact on blood establishments, in the area  
20 of design defects we determined that unsuitable units had  
21 been released and test data had been lost. Reactive results  
22 were not transferred to donor deferral records, and these  
23 reactive results were viral marker testing and other results  
24 that are used for product quality and donor suitability  
25 decisions. There is also assignment of inaccurate sample

1 identification numbers, and this assignment was controlled  
2 by the software. There were no deferral donors with  
3 positive test results, and these functions which were to be  
4 performed by the software but they were not, and they were  
5 tracked back to design defects.

6 [Slide]

7 Also in the design phase, we determined that there had  
8 been programming errors which relate to permanent deferral  
9 status being deleted if temporary deferral status was  
10 removed. There was no quarantine of reactive units; units  
11 suitable for labeling without completion of all testing, and  
12 loss of data when merge function was used.

13 In summary, we believe that the regulation of blood  
14 establishment software should begin at the design phase and  
15 that a course of regulation over time has been appropriate.  
16 Since 1989, there have also been 16 recall classifications  
17 of blood establishment software, involving 10 firms. Of  
18 those 16 recalls, 6 were in the area of design defects; 8  
19 were in the area of program errors; there were 2 others that  
20 related to the distribution of faulty diskettes; and another  
21 situation involving inadequate directions for use.

22 In furtherance of the process that we have described,  
23 starting back in 1988 and 1989 as far as our regulation of  
24 blood establishment computer software, we are now pursuing  
25 the process of formal classification.

1           Our next presenter will be Molly Ray, who is in the  
2 Division of Blood Applications, who will give you an  
3 orientation to the classification process.

4                           **Device Classification**

5           MS. RAY: Good morning. I am Molly Ray. I am a  
6 consumer safety officer in the Division of Blood  
7 Applications, and a software reviewer.

8           [Slide]

9           I have been asked to present you with an overview of  
10 device classification.

11          [Slide]

12          The items that I am going to discuss will include the  
13 current status of blood establishment computer software.  
14 Boyd just presented the background information so I won't go  
15 into that again. I will go over the classification  
16 procedure. I will identify what the question is that will  
17 be submitted to the Blood Products Advisory Committee. I  
18 will provide an overview of the device classes, and provide  
19 you with a summary and the recommendations.

20          [Slide]

21          Current status -- blood establishment computer software  
22 is currently unclassified. Inspectional findings, discussed  
23 by Boyd Fogle, indicated that some flaws exist in blood  
24 establishment computer software that is currently in use in  
25 blood establishments. We are here this morning to formally

1 classify blood establishment computer software. We are  
2 asking for the committee's input and recommendations as far  
3 as the appropriate classification.

4 [Slide]

5 Title XXI of the Code of Federal Regulations, or CFR,  
6 Part 860 codifies the device classification procedures  
7 identified in Section 513 of the Federal Food, Drug and  
8 Cosmetic Act. These regulations state that devices are to  
9 be classified into one of three regulatory classes based on  
10 the amount of regulation that is necessary to provide a  
11 reasonable assurance of the safety and the effectiveness of  
12 the device. These regulations also state that devices  
13 should be referred to the appointed panel to make  
14 recommendations for the appropriate device classification.  
15 This Blood Products Advisory Committee has been appointed as  
16 that panel.

17 [Slide]

18 After the FDA presentations and the open public  
19 hearing, this committee will be asked the following  
20 question, does the committee agree that blood establishment  
21 computer software be classified as a class II medical  
22 device?

23 [Slide]

24 The appropriate device classification is based on the  
25 amount of regulation that is necessary to provide a

1 reasonable assurance of the safety and effectiveness, as  
2 well as the risk for potential harm. Most devices can be  
3 found in Title XXI CFR Part 862 through 892. There are  
4 approximately 1700 device classifications within 16 medical  
5 specialties. Of these 1700 devices, 45% are class I, 47%  
6 are class II and 8% are class III.

7 [Slide]

8 Class I devices are subject to the lowest regulatory  
9 controls since they present minimal potential for harm, and  
10 are often simpler in design than class II or class III  
11 devices. The class II determination may be made when there  
12 is enough information to determine that general controls are  
13 sufficient to provide reasonable assurance of the safety and  
14 the effectiveness of the device. Class I devices are not  
15 life-supporting or life-sustaining. Examples of class I  
16 devices include elastic bandages, examination gloves and  
17 blood bank centrifuges.

18 [Slide]

19 I want to make a note here that the number on the left-  
20 hand side of the overhead denotes the specific section of  
21 the Federal Food, Drug and Cosmetic Act. All device classes  
22 are subject to the following general controls, for which I  
23 am providing a very basic overview that only addresses the  
24 relevant items for today's discussion. This overview is not  
25 intended to be all-inclusive of the provisions addressed by

1 each of the sections of the Federal Food, Drug and Cosmetic  
2 Act.

3 Section 501 states that a device is considered to be  
4 adulterated if the device is not manufactured in conformance  
5 with Good Manufacturing Practice requirements.

6 Section 502 addresses misbranded devices. One of the  
7 provisions in this section states that a device is  
8 considered misbranded if the labeling is false or  
9 misleading, or does not contain adequate directions for use.

10 Section 510 of the Act states that medical device  
11 manufacturers must register and submit a listing of devices  
12 being manufactured. Each person who is required to register  
13 under this section, and plans to begin delivery of a device  
14 into interstate commerce must submit a premarket  
15 notification submission or a 510(k) at least 90 days before  
16 such introduction.

17 Section 516 of the Act states that devices that are  
18 found to present substantial deception or unreasonable and  
19 substantial risk for illness or injury may be banned from  
20 human use.

21 Section 518 of the Act states that there are three  
22 actions that the agency can take if a device presents an  
23 unreasonable risk of substantial harm to public health. One  
24 of these actions is notification of the device users. This  
25 option may be considered if no more practical means are

1 available under other provisions of the Act, such as recall,  
2 seizure or injunction, and notification is required to  
3 eliminate the risk.

4 The device manufacturer under certain circumstances may  
5 be required to repair, replace or refund the purchase price  
6 of a device if notification would not be adequate to  
7 eliminate the risk. Another alternative under this section  
8 includes ceasing distribution and use of the device.

9 Section 519 of the Act states that medical device  
10 manufacturers must establish and maintain records and  
11 reports, and provide this information to the agency to  
12 assure that the device is not adulterated or misbranded, as  
13 well as safe and effective. The medical device reporting  
14 regulations can be found in 21 CFR Part 803.

15 Section 520 of the Act contains the general provisions  
16 for the control of devices intended for human use and  
17 includes the Good Manufacturing Practices requirements.  
18 They have been codified in 21 CFR Part 820.

19 [Slide]

20 Class II -- this is the device classification that we  
21 are recommending for blood establishment computer software.  
22 Devices are classified as class II if general controls are  
23 insufficient to provide a reasonable assurance of the safety  
24 and effectiveness of the device, and there is sufficient  
25 information to establish special controls. Class II devices

1 are subject to general controls and special controls.

2 Examples of class II devices include transfer sets,  
3 empty blood bags, blood bank refrigerators, blood bank  
4 freezers and powered wheelchairs.

5 Section 5 of the Safe Medical Devices Act of 1990  
6 amended Section 513 of the Federal Food, Drug and Cosmetic  
7 Act and introduced special controls as a means of providing  
8 reasonable assurance of the safety and the effectiveness of  
9 a device. Special controls include performance standards,  
10 postmarket surveillance, patient registries, guidelines  
11 and/or guidance documents, recommendations and recognized  
12 standards.

13 The FDA Modernization Act of 1997 created an  
14 alternative procedure for demonstrating substantial  
15 equivalence to 510(k)s. Section 204 of this Act states that  
16 FDA will recognize all or part of an appropriate standard  
17 established by nationally or internationally recognized  
18 standard development organizations to which manufacturers  
19 may declare performance in lieu of submitting an element of  
20 a premarket notification submission. The Center for  
21 Diseases and Radiological Health has established 13  
22 specialty task groups to evaluate standards for potential  
23 recognition. One of these specialty task groups has been  
24 assigned to evaluate software standards, and has CBER's  
25 participation.

1           Approximately 175 consensus standards have already been  
2 recognized and published in the Federal Register. No  
3 software standards have been recognized yet, but these  
4 standards are actively being evaluated for recognition.

5           [Slide]

6           Class III is the most stringent regulatory class.  
7 Class III devices are usually life-sustaining or life-  
8 supporting devices for which there is insufficient  
9 information to assure the safety and effectiveness through  
10 general controls or special controls. Class III devices  
11 require a premarket approval, or PMA. Regulatory  
12 requirements that implement Section 515 of the Federal Food,  
13 Drug and Cosmetic Act for PMAs, or premarket approvals, can  
14 be found in 21 CFR Part 814. Examples of class III devices  
15 include pacemakers, replacement heart valves and  
16 respirators.

17           [Slide]

18           Summary and recommendations -- blood establishment  
19 computer software is currently unclassified. The new  
20 quality system regulations in 21 CFR Part 820 include pre-  
21 production design controls that are considered to be a part  
22 of general controls. Compliance with these regulations is  
23 not evaluated by FDA until the device manufacturer is  
24 inspected. This inspection does not occur until after the  
25 design of the device has been completed and the product is

1 already on the market.

2 Boyd Fogle summarized FDA's continued inspectional  
3 findings of design flaws that indicate that FDA needs  
4 regulatory control during the design phase and general  
5 controls are insufficient to provide the reasonable  
6 assurance of the safety and effectiveness of blood  
7 establishment computer software.

8 Based on the above and the risks that are inherent with  
9 the intended use of this device, for example, the release of  
10 unsuitable blood, FDA is recommending that blood  
11 establishment computer software be formally classified as a  
12 class II device. The risk or potential for harm is greater  
13 than class I devices, such as bandages, gloves and  
14 centrifuges, but less than that of class III devices, such  
15 as pacemakers and respirators.

16 Nancy Jensen will now present the review of the  
17 guidance document that is the basis for special control.

18 **Special Control for Blood Establishment Computer Software**

19 MS. JENSEN: Thank you, Molly.

20 [Slide]

21 I would like to speak this morning regarding the  
22 special control for blood establishment computer software.

23 [Slide]

24 In the previous presentations you heard that the  
25 critical decision-making capability of blood establishment

1 computer software involves the inherent risk of releasing  
2 unsuitable units of blood for transfusion. FDA has proposed  
3 classification of blood establishment computer software as a  
4 class II medical device since a special control is needed to  
5 establish safety and effectiveness.

6 [Slide]

7 FDA proposes that the reviewer guidance for a premarket  
8 notification submission for blood establishment computer  
9 software, which was issued April 12, 1996 for comment and  
10 published January 13, 1997, be considered as the basis for  
11 development of a special control for blood establishment  
12 computer software in addition to declaration of conformance  
13 to recognize software development standards.

14 [Slide]

15 The reviewer guidance identifies those elements to be  
16 included as part of the special control. Declaration of  
17 conformance to a recognized standard may be submitted in  
18 lieu of submitting these review elements as part of the  
19 510(k). The applicable review elements include the  
20 functional requirements, the software requirement  
21 specifications, the hazard analysis, and the verification of  
22 validation and testing.

23 [Slide]

24 The functional requirements should include the intended  
25 use functionalities, and a reference to the applicable blood

1 regulations found in 21 CFR Part 600.

2 It should be noted that FDA cannot dictate what  
3 functionality should be included in the software design.  
4 However, if the manufacturer claims a certain function, the  
5 manufacturer should ensure that the appropriate blood  
6 regulation is addressed either by the software design or by  
7 a work-around.

8 The limitations of the software system are to be  
9 identified in the 510(k) and in the labeling for the device.  
10 The functional requirements should also include an  
11 identification of the safety critical requirements, the  
12 design safeguard employed in the software, and a trace  
13 matrix to the software requirement specification, the hazard  
14 analysis and verification of validation and testing.

15 [Slide]

16 The software requirement specification should include a  
17 detailed design specification document which describes how  
18 the functional requirements are implemented into the  
19 software design. The design specification should be traced  
20 to the functional requirements, the hazard analysis and the  
21 verification validation and testing.

22 [Slide]

23 The requirement specifications include a description of  
24 the hardware platform, the operating system and databases  
25 used, and a listing of the interfaces.

1 [Slide]

2 The hazard analysis should include the clinical  
3 intended use hazards, the implementation hazards which are  
4 those resulting from the implementation of the functional  
5 requirements in the computer and software environments, a  
6 description of the hazard, cause of the hazard, the method  
7 of control used to mitigate that hazard, and a trace matrix  
8 to the functional requirements, software requirement  
9 specification and verification, validation and testing.

10 [Slide]

11 The verification, validation and testing should include  
12 a test plan and results summary of the unit integration and  
13 system level testing. This is the alpha testing performed  
14 in the developer's environment. It should also include a  
15 test plan and results summary of the system level testing  
16 performed in the user environment, which is the beta  
17 testing. It should also include validation from both alpha  
18 and beta testing for the donor deferral, labeling,  
19 quarantine release and laboratory testing functions if these  
20 functions are included as part of the software application.

21 [Slide]

22 The unit level test plan should include a narrative  
23 description of the review addressing both the functional and  
24 structural testing. The functional testing addresses normal  
25 and invalid inputs, boundary testing and stress testing.

1 Whereas, the structural testing includes statement, branch  
2 and path testing.

3 [Slide]

4 The integration test plan should address both internal  
5 and external interfaces. Internal interface testing would  
6 address module to module, whereas, external interface  
7 testing includes peripheral devices, any other application  
8 software or networks employed.

9 [Slide]

10 The system test plan should include the alpha testing  
11 which validates the intended use functions tested in the  
12 developer's environment, and the beta testing which  
13 validates the intended use functions tested in the user's  
14 environment. The developer is responsible for designing the  
15 beta test plan, which should not be used as the test of  
16 record at the beta site.

17 [Slide]

18 The results summary should include a summary of results  
19 for the safety critical functional requirements at the unit  
20 integration and system levels for both alpha and beta  
21 environments, a description of deviations from expected  
22 results, any corrective action taken and any retesting that  
23 was performed.

24 [Slide]

25 The validation data should include alpha and beta

1 system level testing for the applicable functions if these  
2 functions are included as part of the software application.  
3 These functions include donor deferral, labeling, quarantine  
4 and release, and laboratory testing.

5 [Slide]

6 In summary, the reviewer guidance for a premarket  
7 notification submission for blood establishment computer  
8 software is being proposed as the basis for a special  
9 control for blood establishment computer software. A class  
10 II medical device becomes eligible for the new 510(k)  
11 paradigm, providing for a win-win situation for both  
12 industry and FDA whereby review times would be shortened and  
13 software upgrades would reach the market in a timely  
14 fashion.

15 I would now like to introduce Heather Rosecrans, from  
16 CDRH, who will describe the new 510(k) paradigm. Is Heather  
17 here?

18 DR. HOLLINGER: If not, I think we will go ahead and  
19 come back to her. Let me know when she gets here. We will  
20 go into the open public hearing. The first person that has  
21 asked to speak is Kay Gregory, who is a regulatory officer  
22 for the AABB. I know Kay is here.

23 **Open Public Hearing**

24 MS. GREGORY: Thank you, Blaine.

25 I am speaking this morning on behalf of the Information

1 Systems Committee of the American Association of Blood  
2 Banks. The American Association of Blood Banks is the  
3 professional association for approximately 2200 institutions  
4 engaged in the collection and transfusion of blood and blood  
5 components, including all American Red Cross blood service  
6 regions, independent community blood centers, hospital-based  
7 blood banks and transfusion premises, and more than 8500  
8 individuals engaged in all aspects of blood collection,  
9 processing and transfusion. Our members are virtually all  
10 of the blood collected and more than 80% of the blood  
11 transfused in the United States.

12 The AABB's highest priority is to maintain and enhance  
13 the safety of the nation's blood supply. The AABB  
14 Information Systems Committee appreciates the opportunity to  
15 speak on classification of blood bank software. In order to  
16 ensure the safest possible blood supply, it is our  
17 contention that new technology must be improved and  
18 implemented as quickly and safely as possible. Consistent  
19 with the goal of rapid approval and implementation of new  
20 technology, AABB specifically encourages the acceptance of  
21 international standards and simplification of the approval  
22 process.

23 We understand that currently blood bank software is not  
24 classified, and we are very pleased to see that FDA is not  
25 recommending classification as a class III, which would have

1 required a PMA.

2 We understand their proposal is to classify software as  
3 a class II device, and it is intended to decrease the  
4 significant paper review which is currently performed by  
5 substituting an abbreviated 510(k), in which conformance to  
6 an FDA recognized standard or special control can be stated.  
7 The guidance for reviewers would then be considered a  
8 special control.

9 We expect that this approach will permit a more rapid  
10 approval for new software. Moreover, as we understand it,  
11 the current inspection process for design controls allows  
12 for adequate inspection and review by CBER.

13 Likewise, under a class II classification, changes or  
14 modifications to existing blood bank software would require  
15 submission of a special 510(k), declaring that the  
16 modifications in design conform to the design control  
17 standards rather than the current lengthy paper review.  
18 Again, the inspection process offers adequate inspection  
19 review opportunities for CBER.

20 As we understand it, the purpose of this classification  
21 helps to lay the groundwork for future implementation of the  
22 new CDRH paradigm in which CDRH is planning to recognize  
23 consensus standards, such as IEEE and ISO that are  
24 recognized both in the United States and internationally.  
25 We are concerned, however, that CBER's initiative may be

1 premature in light of the ongoing effort for CDRH to  
2 classify all software. We encourage CBER to ensure that the  
3 approach to device classification and approval is consistent  
4 with CDRH, and to work closely with both CDRH and industry  
5 on software classification.

6 Finally, a cautionary note, all of the ramifications of  
7 the classification are still not clear to us. Accordingly,  
8 as CBER proceeds under good guidance practices to further  
9 develop this initiative, AABB encourages more communication  
10 on the issues among FDA, the software industry and blood  
11 banks to ensure that the most appropriate approach is  
12 adopted. Thank you.

13 DR. HOLLINGER: Thank you, Kay. Any questions for Ms.  
14 Gregory? If not, the second person that wanted to speak is  
15 with the Health Industry Manufacturing Association, Carolyn  
16 Jones.

17 MS. JONES: Good morning. My name is Carolyn Jones. I  
18 am a director in Technology and Regulatory Affairs at the  
19 Health Industry Manufacturers Association. HIMA is a  
20 Washington-based trade association and the largest medical  
21 technology association in the world. We represent more than  
22 800 manufacturers of medical devices, diagnostic products,  
23 and medical information systems.

24 I am here today, speaking on behalf of HIMA's Blood  
25 Bank System Task Force. We appreciate the opportunity to

1 address the committee regarding device classification of  
2 blood bank software. Blood bank software has been an  
3 integral part of clinical laboratory software products since  
4 the 1980s. Current blood bank software is designed to serve  
5 a variety of functions, including donor recruitment, donor  
6 scheduling, patient transfusion histories, labeling,  
7 inventory control and donor reentry. Blood bank software  
8 has had a long and exemplary track record.

9 As you know, four years ago FDA formally notified  
10 manufacturers of blood bank computer software that the  
11 agency considers such software products to be medical  
12 devices. Accordingly, stand-alone blood bank software  
13 became subject to device controls such as premarket  
14 notifications, also known as 510(k)s. Even though FDA had  
15 not classified blood bank software, the agency set a March  
16 31, 1996 deadline for submission of 510(k)s for those  
17 software products.

18 In essence, the process has frozen the deployment of  
19 new products and the advancement of current products.  
20 Approximately 50 510(k)s were submitted on or before March  
21 31, 1996, most of which were for blood bank software  
22 products already in use at blood banks and blood centers.  
23 In two years, we understand only 16 510(k)s for blood bank  
24 software have been cleared by FDA. As a result, the blood  
25 supply cannot be protected by the newest and most advanced

1 technologies. This is to the detriment of the patient care  
2 and the medical community.

3         CBER is now considering formally classifying stand-  
4 alone blood bank software. The HIMA task force has several  
5 concerns about the classification of blood bank software  
6 that we believe deserve serious consideration by this  
7 committee and CBER.

8         We understand that the main reason that classification  
9 is contemplated is to give CBER the option of using  
10 alternative procedures for reviewing and clearing 510(k)s  
11 for blood bank software. These procedures are found in a  
12 CDRH draft proposal called the new 510(k) paradigm.

13         The goal of the new 510(k) paradigm is to conserve the  
14 agency's review resources while facilitating the  
15 introduction of safe and effective devices into interstate  
16 commerce. The HIMA task force fully shares this goal, and  
17 is very interested in exploring the use of alternative  
18 approaches such as those described in the draft new 510(k)  
19 paradigm. We believe that such an approach will ensure that  
20 CBER's limited review resources are not wasted, and the  
21 introduction of new blood bank software products and  
22 modifications are not delayed. However, we do not believe  
23 that formal classification is a prerequisite to utilizing  
24 the new approaches outlined in CDRH's new 510(k) paradigm or  
25 other approaches to facilitate review.

1 By way of background, we note that the new 510(k)  
2 paradigm proposal suggests two approaches for demonstrating  
3 substantial equivalence in a 510(k). One approach is called  
4 a special 510(k). This would be submitted in lieu of a  
5 regular 510(k) for certain device modifications currently  
6 requiring a new 510(k). This alternative would allow FDA to  
7 take advantage of verification and validation testing  
8 requirements mandated by the agency's quality system  
9 regulation in determining substantial equivalence.

10 The second approach, called an abbreviated 510(k),  
11 would be submitted in lieu of a regular 510(k) for devices  
12 that adhere to consensus standards recognized by FDA, or for  
13 which FDA has developed a special controls guidance  
14 document. This proposal is consistent with, but not mandated  
15 by the FDA Modernization Act, which generally requires FDA  
16 to recognize and use consensus standards.

17 It is HIMA's understanding that CDRH does not intend to  
18 exclude from the 510(k) paradigm unclassified devices that  
19 have been found substantially equivalent under the 510(k)  
20 process. This reflects the fact that there are a many pre-  
21 amendment devices that were overlooked during FDA's initial  
22 device classification process following enactment of the  
23 Medical Device Amendments of 1976, and that FDA has  
24 subsequently cleared hundreds of additional 510(k)s for new  
25 or modified unclassified devices claiming substantial

1 equivalence to the earlier unclassified devices. Indeed, it  
2 would be entirely inconsistent with the goal of the new  
3 510(k) paradigm -- conservation of review resources -- for  
4 CDRH to require all of these devices to be classified before  
5 they could qualify for the alternatives under the new 510(k)  
6 paradigm.

7 We have confirmed this with Heath Rosecrans, Chief of  
8 the 510(k) Section of the Operations Staff in CDRH's Office  
9 of Device Evaluation. Ms. Rosecrans advised that any device  
10 for which a 510(k) is required, whether that device is  
11 classified as class I or class II, or is unclassified, will  
12 be eligible for the special 510(k) and abbreviated 510(k)  
13 alternatives under the yet to be published final new 510(k)  
14 paradigm.

15 The HIMA task force believes that any blood bank  
16 software device classification initiative is premature in  
17 light of longstanding, ongoing efforts in CDRH to develop a  
18 new regulatory framework within which medical software may  
19 be classified and regulated. CDRH has been working on this  
20 effort for almost 10 years, and has developed a great deal  
21 of expertise in the software area. Accordingly, we believe  
22 it is essential for CBER to work in conjunction with CDRH to  
23 ensure that any classification proposal builds on CDRH's  
24 knowledge and that classification decisions are generally  
25 consistent between the two centers. It is also essential

1 that CBER communicate and work closely with industry and  
2 CDRH to ensure that panel recommendations and FDA-proposed  
3 classifications are based on consistent classification  
4 policy and complete and accurate information to prevent  
5 unnecessary delays.

6 We are making this point not because we oppose  
7 classifying stand-alone blood bank software, but because  
8 formal device classification is a very involved process that  
9 requires great care. Classification involves, among other  
10 things, the collection of information concerning the safety  
11 and effectiveness of the device; review of the information  
12 at a pre-announced panel meeting; publication of a proposed  
13 rule in the Federal Register; an opportunity for public  
14 comment; and publication of a final rule in the Federal  
15 Register.

16 Accordingly, it is generally a very slow process. For  
17 example, FDA convened the Radiological Devices Panel to  
18 review a proposed classification for five medical image  
19 management devices, commonly called PACS, on August 29,  
20 1994. FDA published the proposed classification in the  
21 Federal Register over two years later, on December 2, 1996.  
22 Fifteen months have passed and a final classification  
23 regulation has not been published. Thus, we are concerned  
24 that the classification process would, if anything, delay  
25 the implementation of potentially efficient alternatives to

1 traditional 510(k) review.

2       The classification process is also complicated by the  
3 fact that given the many different types of blood bank  
4 software with varying levels of risk, not all blood bank  
5 software products merit the same level of regulation or the  
6 same classification. In this regard, the HIMA task force  
7 believes that software that performs only record-keeping  
8 and/or inventory functions and poses little or no risk to  
9 donors or recipients, compared to the risk posed by the use  
10 of paper records, is a candidate for classification into  
11 class I, as well as exemption from 510(k) requirements.

12       Examples may include software that maintains on-line  
13 card files of patient information about previous blood  
14 types, antibodies or transfusion; software that creates a  
15 list of donor records but does not provide assistance in  
16 determining donor suitability; and, software that provides  
17 on-line inventory of units, blood type, and known antigen  
18 information.

19       Class I devices are those for which general controls,  
20 including quality system regulations, registration and  
21 listing, and sometimes 510(k)s, are sufficient to provide a  
22 reasonable assurance of safety and effectiveness. According  
23 to Heather Rosecrans, the final new 510(k) paradigm will not  
24 be limited to class II devices, but will include class I  
25 devices that are not exempt from 510(k) requirements.

1 Therefore, there is no reason that all blood bank software  
2 should be designated as class II.

3 In contrast, the HIMA task force believes that software  
4 that contains algorithms or truth tables and manipulates  
5 data to assist blood banker's decision-making may be a  
6 candidate for classification into class II. Class II  
7 devices are subject to special controls in addition to  
8 general controls. An example of a possible class II device  
9 would be software that contains a database of deferral  
10 reasons and alerts the donor center staff to existing  
11 deferral when a donor presents for subsequent donations.

12 It is also important that CBER and industry discuss  
13 other software that may be used in the blood bank  
14 environment which is not the subject of this classification  
15 discussion.

16 Finally, we see the need for further guidance in two  
17 areas, whether as part of a classification effort or  
18 otherwise, in order to conserve agency resources and to  
19 speed review times.

20 Many changes can be properly regulated under the  
21 quality system regulations design control requirements and,  
22 therefore, do not require a new 510(k). We plan to help  
23 clarify what types of updates and enhancements to blood bank  
24 software require a new 510(k). To accomplish this task, we  
25 plan to prepare an interpretation of CDRH's modifications

1 document, deciding when to submit a 510(k) for a change to  
2 an existing device, specific to blood bank software.

3       Second, HIMA would like to work with CBER and CDRH to  
4 develop a guidance outlining the kind of information that  
5 should be submitted in a 510(k) to demonstrate substantial  
6 equivalence when a change or group of changes to a 510(k)-  
7 cleared blood bank software requires a new 510(k). The  
8 development of such guidance would further the goals of the  
9 510(k) paradigm and would dovetail with the paradigm's  
10 special 510(k) alternative. HIMA believes that in some  
11 cases limited information about the specific changes would  
12 be appropriate to demonstrate substantial equivalence to a  
13 marketed device. Further guidance in this area would be  
14 helpful in order to prevent redundancy in the submission and  
15 review of information pertaining to parts of the software  
16 that have not been modified.

17       In summary, HIMA appreciates CBER's recognition of the  
18 need to streamline and speed up the 510(k) review process  
19 for blood bank software, and looks forward to working  
20 closely with the agency in this regard. The HIMA's Blood  
21 Bank Systems Task Force hopes that our concerns regarding  
22 the classification of stand-alone blood bank software will  
23 lead to helpful discussion about these issues. We would be  
24 happy to answer any questions from the panel or FDA  
25 regarding these remarks.

1 DR. HOLLINGER: Questions of Ms. Jones? I have just  
2 one question. You mentioned that blood bank software has a  
3 long and exemplary track record. Does that mean that there  
4 have not been any problems?

5 MS. JONES: Mr. Fogle gave you an indication that there  
6 were 16 recalls related to blood banks. There is no other  
7 information regarding how many recalls FDA has had relating  
8 to other incidents as far as the blood banker errors, and so  
9 on. So, 16 since 1989, with the numerator information  
10 missing, I don't think that that is really sufficient  
11 information to base a lot of what we are doing on. But,  
12 yes, there have been problems with blood bank software. No  
13 one could deny that. As I said, we don't oppose  
14 classification, but we are saying that classification is not  
15 a prerequisite to using all the alternatives that are  
16 available to CBER at this time.

17 DR. STRONCEK: I agree with your general premise that  
18 we have to have regulated software but it has to be  
19 flexible. But a couple of specific points, you are  
20 proposing that some functions only be regulated as class I,  
21 and the examples you give tend to be things on the  
22 transfusion service side such as previous blood types of  
23 patients and previous information about the antigens. I  
24 must say, I disagree with that. We heard all day yesterday  
25 that the incidence of infectious diseases in donors is

1 really on the order of 1 to 100,000 positive markers. Yet,  
2 the problem with transfusions is that clerical errors occur  
3 on the order of 1 to 10,000. So, you know, we can do all  
4 this stuff with collecting and testing donors and if we make  
5 mistakes in delivering the products to the patients, then  
6 that is just as bad. All the other good work we have done  
7 has gone for nought. So, I guess for this particular  
8 example I take issue with only having class I regulations on  
9 some of the transfusion side issues.

10 MS JONES: You take issue because you think that a  
11 510(k) review of a class I device would not be sufficient?

12 DR. STRONCEK: No, I think the implication of what you  
13 wrote is that these are not as important functions, and they  
14 don't need as much oversight. I guess that is what I am  
15 disagreeing with. Quite honestly, I think a lot of what you  
16 presented -- I don't quite understand the issues with the  
17 paradigm and what all these nuances are.

18 DR. HOLLINGER: Apparently we are going to hear that in  
19 just a second.

20 DR. STRONCEK: Maybe I am missing something, but I  
21 think that if you are implying that issues related to the  
22 transfusion of blood products need to be less rigorously  
23 programmed than issues around collection, I disagree with  
24 that. Maybe I am misunderstanding.

25 MS. JONES: I do not think that software manufacturers

1 will apply less rigorous programming, design control or any  
2 of that to that type of software. What I am saying is that  
3 FDA's review of that information need not be as rigorous as  
4 the donor deferral information and all of that, which has  
5 been primarily the focus of their reviews so far.

6 MR. DUBIN: I want to support what you said, doctor,  
7 because I think one of the things we have found is that in a  
8 lot of areas the technology is available and working, but  
9 the application of that technology sometimes may be  
10 problematic. As the doctor said, I am not sure that I agree  
11 with where you drew the distinctions in terms of what is  
12 software that FDA may need to have a more stringent  
13 regulatory stance for, and where that boundary should be  
14 drawn.

15 It seems to me one of the things that we, on the  
16 consumer side, are really looking at is some national  
17 standardization consistency, and we strongly believe that  
18 software is one of the places where that can happen to  
19 ensure that the technology available and the testing be  
20 applied and that that information get through the system so  
21 the job gets done. So, I am a little foggy, if you will,  
22 about where you make the distinctions and I, for one, feel  
23 pretty good about the class II distinction. I think if we  
24 vote that way we are not putting FDA on its most aggressive  
25 footing, but we are saying that least aggressive footing may

1 not be enough, and middle ground seems to be a place where  
2 we can be comfortable and feel like we are providing the  
3 kind of regulatory stance that will get the job done.

4 DR. KOERPER: I must say, I agree with the previous two  
5 speakers. I believe that keeping accurate records of a  
6 patient's blood type, antibodies, prior transfusion  
7 reactions, etc. is absolutely critical to ensure the safety  
8 of blood transfusions. Certainly, the focus has been on  
9 ensuring that we don't transmit viral infections, but if you  
10 give the wrong blood type and somebody has a fatal hemolytic  
11 transfusion reaction, that is an immediate threat as opposed  
12 to a delayed death due to a prolonged viral infection. I  
13 think we have to ensure that safety as well.

14 Based on what I have heard on the differences between  
15 the classes, I believe that this function of maintaining  
16 accurate records about a patient's antibody status etc.  
17 would fall into a class II level of regulation.

18 DR. HOLMBERG: First of all, Dr. Hollinger, I need to  
19 disclose that I am on a configuration management board for  
20 the Defense blood standard system, and also I am on a  
21 committee for the North American Technical Advisory Group  
22 for international commonality of blood bank automation.

23 DR. HOLLINGER: That is good; that is not bad!

24 [Laughter]

25 DR. HOLMBERG: Well, I just wanted to make that caveat

1 --

2 DR. HOLLINGER: Don't apologize!

3 DR. HOLMBERG: I wanted to make that known because I  
4 don't want it to appear that there is a conflict, and I  
5 would probably have to abstain from voting, but I do want to  
6 be able to share my opinions.

7 I have a lot of concern -- I picked up three concerns  
8 that Ms. Jones expressed, and I wonder if this would be the  
9 appropriate time for the agency to respond to that. I  
10 detected that there was concern about the time line for the  
11 classification. If we do classify this today or recommend  
12 classification as a class II, what is the time line before  
13 this becomes regulation?

14 Also, Ms. Jones expressed concern about the  
15 classification of all software, all blood bank software to  
16 class II. I can see the extremes of the last three  
17 speakers, you know, the concerns about something that may  
18 just keep tracking and not make any interpretation, and then  
19 I see the other extreme once we start talking about  
20 interfaces and electronic signature.

21 Also, there appears to be distrust that there is no  
22 communication between CBER and CDRH, and I would like the  
23 agency to address those three concerns.

24 DR. BUCHHOLZ: I am a little confused here in terms of  
25 whether these applications are envisioned to apply to all

1 and any computer systems within blood banks, or are these  
2 focused on the so-called commercial systems that would be  
3 developed by a company for sale as a kind of turnkey  
4 application. Could there be some clarification on that?

5 DR. HOLLINGER: Yes, that is another issue we will get  
6 into in just a second. Yes, Dr. Ellison?

7 DR. ELLISON: The only concern that I have heard  
8 expressed was at the bottom of page 3, the statement where  
9 she expresses concern about the delay, and I would hope that  
10 the FDA would take this to heart and act on it because I  
11 would find that extremely frustrating, if something that we  
12 are proposing is going to be a block rather than a  
13 facilitator.

14 DR. HOLLINGER: We are going to finish the open  
15 hearing. Next is Linda Lewis for the Committee of Ten  
16 Thousand. She has a statement she would like to make.

17 MS. LEWIS: My name is Linda Lewis. I am an executive  
18 board member of the Committee of Ten Thousand. For quite  
19 some time the Committee of Ten Thousand has been concerned  
20 and pushing for an immediate consistent standardized  
21 notification system that would allow efficient technology  
22 and timely notification regarding accident error reports and  
23 recall notices.

24 We agree that there should be implementation of  
25 appropriate safeguards for information protection, and the

1 Committee of Ten Thousand also supports the classification  
2 of computer software as a medical device.

3 We feel the system should begin with a mainframe at FDA  
4 that will filter information throughout the system and to  
5 us, the consumers. Such a system would allow someone, as  
6 myself who lives in rural Missouri, to feel more secure and  
7 informed.

8 Here I would like to add a little personal thing. Last  
9 year, when we had several recalls coming through that the  
10 Committee of Ten Thousand was receiving, I called our county  
11 hospital to see what their system of notification was. They  
12 told me they had not ever received a recall since 1988 or  
13 any kind, for anything. This is really scary to me. They  
14 get them now because when I get one, they get one.

15 We feel it is the responsibility of all, from FDA,  
16 industry, collection centers, treatment centers,  
17 organizations such as ourself, and so on, to get the  
18 information to the people. We need this done in identical,  
19 efficient and timely information through a standardized  
20 notification system and the circle will be complete  
21 regarding blood issues.

22 Another personal part, I am the mother of an 18-year  
23 old boy who was here yesterday -- he was here this morning  
24 and he as getting kind of tire. He has been living with  
25 Factor IX hemophilia. He was born with it. He has also

1 been infected with HIV since he was toddler. I am also the  
2 sister of a person with hemophilia who died in 1989, at the  
3 age of 45, from AIDS complications. Had these systems been  
4 in effect years ago, my family would not be standing where I  
5 am standing today, and I think it is responsible to use the  
6 technology that America has today to help any more families  
7 from having to go through the tragedies that many of us have  
8 had to live with. Thank you.

9 DR. HOLLINGER: Thank you, Linda. At this time, we are  
10 going to go back to the initial format, and Heather  
11 Rosecrans is here. I would hope that as she discusses this  
12 today -- there have been a couple of questions asked today  
13 about the potential overlap between CBER and CDRH, the  
14 problems with whether it is commercial or other stand-alone  
15 software, and there were some other questions that were  
16 brought up. Perhaps you could also deal with those after  
17 you finish with your talk.

18 **New 510(k) Paradigm**

19 MS. ROSECRANS: Thank you. Sorry, I wasn't here  
20 earlier when you announced my name.

21 The Center for Devices and Radiological Health started  
22 a reengineering process last year, and one of the teams was  
23 the 510(k) team. On that team we had a member from the  
24 Center for Biologics, at first Lynn Wilson and then Cheryl  
25 Kochman. Together, the team developed a new way of looking

1 at 510(k)s, actually two alternative ways in addition to the  
2 traditional way of looking at 510(k)s. We call that the  
3 510(k) paradigm.

4 [Slide]

5 The 510(k) paradigm itself, the final guidance document  
6 is actually appearing on the Web today. It may have come on  
7 last night. It may be on later today, but certainly by  
8 Monday it should be up on the Web. It is a final guidance  
9 document. It is not a rule; it is not a regulation. It is  
10 still available for comment, but we did propose it. Last  
11 June it went on the Web. It was announced in the Federal  
12 Register in September, with a comment period. We got 13  
13 sets of comments and we revised the document accordingly.  
14 As I said, CBER was part of that effort.

15 Our Center director asked us when we went through the  
16 engineering efforts to look at the new tools we had under  
17 the quality system regulation, as well as postmarket  
18 surveillance that came under the new Food and Drug  
19 Administration Modernization Act of 1997, along with our  
20 premarket, and see what new ways we could come up with.  
21 Some of these things were under discussion before the law  
22 actually passed. That is why I mention it that way.

23 As I said, besides the traditional way of submitting  
24 510(k)s that we have had for years and years, we have added  
25 these two new routes, the special 510(k) and the abbreviated

1 510(k), as alternatives if the criteria fit, which I will  
2 describe in a second, as ways of submitting 510(k)s, and we  
3 will be accepting those starting today.

4 [Slide]

5 First of all the special 510(k) device modification --  
6 this is where a firm is going to modify their own legally  
7 marketed device. In other words, we have a guidance out on  
8 the Web, issued January, 1997, entitled, deciding when to  
9 submit a 510(k) for a change to an existing device. So,  
10 when you are getting ready to modify your own legally  
11 marketed device, one that you had on the market prior to May  
12 28, 1976, one that has been reclassified into I or II, or  
13 one that has been a 510(k) previously, and you know that  
14 that change requires a 510(k) because there are many changes  
15 that don't, then you could consider a special 510(k) device  
16 modification.

17 [Slide]

18 Basically, you cannot use this route if you are  
19 changing the intended use of your device, for a special. If  
20 you are adding any new use to that device -- your patient  
21 population, physiological difference, from prescription to  
22 over-the-counter, for any of those changes you cannot use  
23 this route. Also, if you are making a fundamental change in  
24 the technology of the device, something entirely different,  
25 for example if you had gone from a culture system to a DNA

1 probe, something like that, you cannot use a special.

2 So, when these are received we are going to flag them  
3 as specials, and that is the first thing we are going to  
4 look at, just to make sure there isn't a change in the use  
5 or a change in the fundamental technology. There is a lot  
6 of definition in the new paradigm that is out on the Web  
7 today about examples in the use and changes in fundamental  
8 technology/

9 [Slide]

10 What you are going to basically do in that 510(k) is  
11 that you are coming to submit it with a declaration of  
12 conformity to design controls. So, one other issue, I  
13 guess, with using the special is that basically in the  
14 quality systems regulation design controls don't apply to  
15 class I devices, for the most part. They do apply to  
16 software. So, in order to use a special you have to be  
17 using design controls. So, if you are a class I device you  
18 would voluntarily conform to design controls in order to use  
19 this route, if you choose to do so.

20 So, you would submit it with a declaration, and there  
21 are examples and the criteria is spelled out in the  
22 document. We are going to process those within 30 days.  
23 So, you can change your material to other materials that  
24 have been on the market. You can change your energy source,  
25 etc., and we are going to look at that within 30 days,

1 basically just looking at your declaration of conformity to  
2 the design controls, and we rely on that process for  
3 clearing the product.

4 [Slide]

5 This is the design control regulation, 21 CFR 820.3  
6 that you will be conforming to.

7 [Slide]

8 The abbreviated 510(k) route is applicable to all  
9 devices. It is not only for when you make a modification to  
10 your device. It is when you want to submit a 510(k) for a  
11 reserved class I device. As you probably know, the new law  
12 that passed last November says that all class I device,  
13 classified into class I, are exempt from 510(k) unless they  
14 are of substantial importance in preventing impairment of  
15 human health or preventing serious risk or injury -- I can't  
16 even think of it, risk or injury, something like that --  
17 risk of injury.

18 So other than that, I think there are 62 devices that  
19 were reserved in class I, some of which specifically have  
20 footnotes about CBER. For example quality control material  
21 was reserved if it was used for donor screening, whether it  
22 was assayed or unassayed -- if it was for donor screening.  
23 I think that was the wording. And, for class II devices,  
24 and also it is going to be applicable for class III devices.

25 The device is going to be subject to special controls.

1 What you are going to do, you can declare conformity to a  
2 recognized consensus standard that we have recognized,  
3 instead of submitting the data to us for review, you are  
4 going to say you meet the criteria in the consensus  
5 standard, or you meet the criteria that we have identified  
6 in a guidance document, or any other special control that we  
7 have gone through. I think you went over that earlier to  
8 identify special controls.

9 Basically, that is what an abbreviated is. It is like  
10 a traditional but it is going to be this much quicker. We  
11 are going to have the same time frame, a 90-day time frame  
12 but we are going to rely on your declaration of conformity  
13 to a recognized standard, a guidance document or any other  
14 special control.

15 [Slide]

16 There will be a summary of the information in that  
17 declaration, what you relied on and so forth. You can rely  
18 on just part of a consensus standard, and if you differ from  
19 the standard slightly you have to say why and address it in  
20 another manner. It is not that you have to conform to the  
21 consensus standard. There may be devices out there that are  
22 pre-amendment or have been 510(k) that don't conform to the  
23 standard and you may want to claim equivalence to those.  
24 That would be okay because if you are as effective as those  
25 devices, you may be cleared for marketing. But this is a

1 choice if you want to submit an abbreviated 510(k) and make  
2 this type of declaration.

3 [Slide]

4 This is how we think the 510(k)s that we receive will  
5 fall out. We received a little over 5000 year, and we  
6 expect to receive around 4000 this year because we have  
7 exempted so many more devices. I might just add that any  
8 device that is exempt is exempt subject to limitations on  
9 the exemption, which say that if you have a different  
10 intended use or a change in the fundamental technology, you  
11 would no longer be exempt. You have to submit a 510(k).

12 So, with the traditional we are going to be looking at  
13 everything that is required, a truthful and accurate  
14 statement, 510(k) summary, labeling, all that, along with  
15 the description of the device and then the data, whether it  
16 be bench data, just comparing specifications, animal data or  
17 clinical data. A good 10% of our 510(k)s have clinical  
18 data.

19 The abbreviated, as you see, it is just the same with  
20 lesser amount of data because we will be relying on those  
21 consensus standards. Then, the special is going to be that  
22 declaration of conformity with the design control  
23 provisions.

24 [Slide]

25 This is our slide just to show -- you know, we are

1 going to even those out statutorily. We use the 90-day time  
2 frame on 510(k)s. We have 30 days for the specials. For  
3 the abbreviated and the traditional we will still be using  
4 the 90-day time frame, but we think the abbreviated are  
5 going to go a lot quicker.

6 [Slide]

7 We came up with this slide just because my son things I  
8 am in a race at work. He thinks I am in a 5 or 10 k.

9 [Laughter]

10 [Slide]

11 That is just what we expect in our Center about the  
12 510(k)s that we get in each of these areas. They will  
13 probably shift over the years. People will become more  
14 comfortable with the paradigm approach. Again, they are  
15 just options. The traditional is still there. You are not  
16 forced into any one of these categories.

17 Are there any questions?

18 DR. HOLLINGER: Yes, now we can have questions. Dr.  
19 Boyle?

20 DR. BOYLE: Do I understand that all existing blood  
21 bank software will have to go in, presumably, under a  
22 special 510(k) for approval?

23 MS. ROSECRANS: No.

24 DR. BOYLE: No? They are grandfather'd in?

25 MS. ROSECRANS: Nobody has to do a special. I am

1 speaking for CDRH, but if Biologics chooses the same, this  
2 is how we laid out the options for the 510(k) program and  
3 the paradigm doesn't limit it as CDRH's 510(k) program.

4 DR. BOYLE: But all existing software would have to go  
5 in for some kind of approval at this point in time?

6 MS. ROSECRANS: Right, because all blood bank software  
7 is unclassified. So, until such time as we classify, which  
8 we should have done year and years ago, and we have lots of  
9 devices that we missed initially and we are still  
10 classifying -- we have to put them in one of those classes.  
11 You can submit a special 510(k) for a class II device, but  
12 not if you make any changes in that use or if you change the  
13 fundamental technology whatsoever.

14 DR. BOYLE: Okay. A specific question, if you have  
15 software that is approved, and let's say it is something  
16 that involves data entry, for instance recording something  
17 about donors, if somebody wants to add a consistency check  
18 or a range check to that software, what kind of approval do  
19 they have to go through to do that?

20 MS. ROSECRANS: I have to ask somebody here if that is  
21 a change in the use because I am not really a computer  
22 person. If it a change in the use they could not do that.  
23 Let me just say that. Otherwise, to do a special the design  
24 controls in our regulations are very laborious. We even got  
25 comments on the paradigm stating that they think that is

1 more work, to do the special, than it was to do a  
2 traditional. Therefore, I assume some people will not  
3 choose that route. But they have to have those records in  
4 place.

5 MS. JOHNSON: I am Nancy Johnson, from CBER. I would  
6 like to answer your question. As long as you are just  
7 adding a control check to the functionality that is already  
8 existing and, therefore, design controls should be  
9 sufficient regulation for that, you would not have to submit  
10 a 510(k).

11 DR. BOYLE: Thank you.

12 DR. HOLMBERG: Going back to technical changes, what if  
13 a software developer was changing the operating system from,  
14 let's say, Unix to Windows NT?

15 MS. ROSECRANS: I am sorry, my husband does computers;  
16 I am terrible. Harvey, do you know if that is a change in  
17 the use? It depends on if it is a change in the use. But  
18 we feel we are still going to have a very high level of  
19 review.

20 MR. RUDOLPH: Harvey Rudolph, CDRH. Generally  
21 speaking, if you make a significant change in the operating  
22 system, according to the guidance document when you submit a  
23 510(k) for a change in an existing device, you would have to  
24 submit a 510(k). I am not sure what type of 510(k) it would  
25 have to be. But a change from Unix to Windows NT is a

1 significant change. I think it requires revalidation of the  
2 entire system essentially.

3 MS. ROSECRANS: I guess I would just like to say that  
4 whether it is classified or unclassified, all of these exist  
5 right now. I mean, if the device is unclassified they can  
6 use the traditional, special or abbreviated. If we have  
7 recognized a standard that would be applicable, they could  
8 use it.

9 MS. GUSTAFSON: Mary Gustafson, from CBER. One more  
10 comment on that. We have had experience with changing the  
11 operating system, taking commercial software and placing it  
12 on a new platform, and it has required a 510(k) refiling.

13 DR. STRONCEK: A little different question, what if  
14 there is a fundamental change in the function of the  
15 software? There are several issues that came up at this  
16 meeting that would require blood bank to change the way they  
17 do business, such as quarantine. If we passed this  
18 regulation -- you know, it is going to take long enough to  
19 develop new SOPs and new processes and then just develop the  
20 software. Once that change is submitted, how long is it  
21 going to take for the FDA to approve it? Couldn't we be in  
22 a position where we are waiting to implement a very  
23 important change in the blood bank and we have to wait  
24 because there is a 6-month, 1-year, 2-year delay in getting  
25 the software approved?

1 MS. GUSTAFSON: This is one reason that we want to take  
2 advantage of the new 510(k) paradigm, to allow vendors who  
3 have gone through the 510(k) process with us and who are  
4 comfortable and are confident that they can follow  
5 appropriate design controls, that they can certify when they  
6 make a change, that they can upgrade. If it is new  
7 functionality, completely new functionality, they will need  
8 to come through as a complete traditional 510(k). But by  
9 being able to use the special and abbreviated options for  
10 some of the other changes and some of the new software that  
11 we see, we will be able to direct our resources to the ones  
12 that have substantial changes in functionality.

13 DR. BOYLE: I appreciate that but, you know, I have  
14 been around blood banking for a while and in the old days  
15 they would have an information systems department in the  
16 blood bank or the transfusion service, and you would just do  
17 it; you would just make the software changes. What I am  
18 asking is what is the realistic time line? I mean, these  
19 changes that we are talking about at this meeting will  
20 require software changes. How long will it take the current  
21 process or the processes we are talking about to go through  
22 the FDA to get approved? Or, are there mechanisms to use  
23 them in the interim?

24 MS. GUSTAFSON: We are reviewing software right now,  
25 and we have been for some time.

1 DR. BOYLE: No, I am asking if the FDA passes something  
2 that says we have to quarantine plasma and I have to  
3 implement it, I need software to do that. Are we setting up  
4 a system here that we are going to pass regulations on blood  
5 bank and say they have to meet all these, and make an  
6 impossible situation to say that they have to do this and  
7 then make it so that it takes two years to get the software  
8 out?

9 MS. GUSTAFSON: We currently are regulating software to  
10 that extent. By taking advantage of the new paradigm, it  
11 should speed up the clearance process. By classifying, we  
12 are not really changing what we are doing now. We are  
13 removing it from having to make a case-by-case decision  
14 every time we look at a 510(k) to having the software in a  
15 classification structure so that it will be more automatic.

16 I guess I could say that of the software that we have  
17 seen, the stand-alone blood bank software, even the systems  
18 that do certain things as record keeping, as was mentioned  
19 earlier, we would view within the class II structure  
20 because, remember, you are integrating functions in a blood  
21 bank that affect donor suitability and product quality and  
22 safety, and are all part of the nation's blood supply. So,  
23 we really have not seen any blood establishment computer  
24 software that we would be willing to say is in class I and  
25 would be exempt from the design control requirements of the

1 quality system regulations.

2 DR. BUCHHOLZ: With respect to a change in a  
3 commercially available system, if that change goes through  
4 the FDA and receives the required clearance, does that do  
5 away with the obligation of individual blood centers to do  
6 any filing or make any establishment license change? Or, is  
7 there a whole separate regulatory process that would have to  
8 go through on an individual center basis to accommodate the  
9 change that the commercial manufacturer made in his program?

10 MS. GUSTAFSON: There is a separate regulatory process  
11 for blood establishments through licensure of the blood  
12 establishments. In the guidance that Mr. Fogle mentioned,  
13 guidance dating back to 1988 and 1989, we have allowed the  
14 changes to be reported to us in a way where we don't do a  
15 complete review again at the user side. We do expect site  
16 validation separate from the vendor design and testing of  
17 the software.

18 DR. BUCHHOLZ: I guess the concern goes back to the  
19 previous question that was asked relative to how this whole  
20 thing shakes out in terms of timing. Let us suppose there  
21 is a situation where there is just a plain computer glitch  
22 that somebody missed and we are using every hundredth unit.  
23 Now, that is something that presumably would be easy to fix.  
24 But, if I understand what is being proposed, this would have  
25 to involve some gathering of information, putting together

1 some sort of filing to FDA, sending that in, having a review  
2 process, however long that would take, the information comes  
3 back to the manufacturer and then FDA or the manufacturer  
4 would disseminate that information.

5 I guess, echoing the concern that was raised earlier,  
6 if you know that there is something that is wrong and you  
7 can fix it, how long do you want to continue having that  
8 wrongness in the system, and is there some way that you  
9 could have a really expedite review in situations like that,  
10 or some agreement that it would be implemented and then  
11 submit?

12 MS. GUSTAFSON: Yes, that is right. By policy in both  
13 the centers for devices and radiological health, and  
14 Biologics, if there is a need for a safety critical bug fix,  
15 we allow that bug fix to be done and then be followed up by  
16 a filing. But even in designing a safety critical bug fix,  
17 we expect the vendor or the software developer to go through  
18 a design process and document that process and include  
19 testing. But they can go ahead and do that and send out the  
20 safety critical bug fix without our clearance.

21 DR. BUCHHOLZ: Does that constitute a recall or  
22 something equivalent to that on the part of the  
23 manufacturers?

24 MS. GUSTAFSON: Well, it could.

25 DR. BUCHHOLZ: Do you anticipate that might be

1 something that might deter the rapid acquisition of that  
2 information?

3 MS. ROSECRANS: I might add one thing here too. We  
4 have a policy out on the Web -- I can't think of the exact  
5 title but about submitting a 510(k) when there is a recall,  
6 and there is a special policy where if it is a recall that  
7 requires a 510(k) -- there are a lot of recalls where  
8 something goes out of specs and needs to come back into  
9 specs, but if the actual device needs to be changed in such  
10 a way that a 510(k) is required, then our offices will be  
11 working with you and the 510(k) will be more or less a  
12 technicality. You know, it is going to be processed  
13 immediately and it is going to have a different title,  
14 special 510(k) changes being effected. You are going to  
15 have the okay from the field to implement that change right  
16 away. We are going to be reviewing it with you before it is  
17 submitted. We have a policy like that, but only when a  
18 recall involves a 510(k), which isn't always the case.

19 DR. MITCHELL: You stated that people will be required  
20 to resubmit the pre-amendment equivalence. What kind of  
21 process is that?

22 MS. ROSECRANS: If you were legally on the market  
23 before May 28, 1976, and we have criteria of what you need  
24 to be able to show pre-amendment status -- that is what we  
25 call it on the Web, then you are grandfather'd. Okay?

1           Now, if we classify a device into class III, a device  
2 that was on the market pre-amendment and we put it into  
3 class III, eventually we may call for PMAs on those devices  
4 or we may ourselves decide at that time that we have enough  
5 information to reclassify it. The most famous example we  
6 have probably are the silicone breast implants, where they  
7 were 510(k)'d in class III and then we called for PMAs.

8           So, if it is in class III it will go through the 510(k)  
9 process at some point in time and then either be a PMA or be  
10 reclassified.

11           DR. MITCHELL: If someone was equivalent to a pre-  
12 amendment, then they would presumably be equivalent to the  
13 new registered?

14           MS. ROSECRANS: With all the unclassified devices, the  
15 ones we missed in writing the classification regulation,  
16 someone would be comparing it to that device. Once it is  
17 classified, they all become that class unless they change  
18 the use in a significant way or the technology, or do  
19 something where we would find it not equivalent and place it  
20 in class III, requiring a PMA.

21           DR. MITCHELL: Okay, but they would not have to go  
22 through -- let me see, only one would have to go through the  
23 full 510(k) process?

24           MS. ROSECRANS: Each person who plans to market the  
25 device, a device in finished form for sale to an end-user,

1 each person would be required to have a 510(k). Those who  
2 were on the market before May 28, 1976 would be  
3 grandfather'd unless they make a change to their device that  
4 would trigger the need for a 510(k), if they modify the use  
5 of the technology. You know, we have that whole document.

6 DR. MITCHELL: I am sorry, you said they would be  
7 grandfather'd or they would not be?

8 MS. ROSECRANS: They are grandfather'd for the device  
9 they made before '76, but if they modify it in any way that  
10 could affect safety or effectiveness they would have to  
11 submit a new 510(k) for the modified device.

12 DR. HOLLINGER: How much software was on the market  
13 prior to May of 1976?

14 [Laughter]

15 We are talking here about blood banking software. I  
16 would say there was probably none that would be  
17 grandfather'd. Am I wrong in that?

18 MS. ROSECRANS: The only ones grandfather'd would be  
19 those themselves, not somebody who was like that but the  
20 actual, say, firm X who made it in 1974. They would be  
21 grandfather'd, not somebody who was like firm X after '76.

22 DR. BOYLE: Since time is an issue, we received in the  
23 HIMA statement that 50 software products were submitted to  
24 the FDA on or before March 31, 1996 and only 16 have been  
25 approved to date. The question I have is the other 34, are

1 they still sitting out there? Are they denials? Are they  
2 withdrawals? Do we have software products that have taken  
3 over two years?

4 MS. RAY: I am Molly Ray, from the Division of Blood  
5 Applications and I am a software reviewer, and I though  
6 would qualify what she said and provide a little more  
7 information.

8 Devices that were on the market prior to May 28, 1976  
9 are considered pre-amendments devices or predicate devices  
10 to which substantial equivalence may be claimed for devices  
11 that are intended to be marketed. For blood banking, when  
12 we did get 510(k)s in, they were predicates that were on the  
13 market prior to that date. So, there were predicates  
14 available.

15 So, your current question is that we have had many  
16 iterations with the vendors. They have sent in their  
17 original 510(k). We have reviewed it. We have asked for  
18 clarification or additional information, enough information  
19 to determine that the device is safe and effective. We have  
20 had to have communication with them several times. So, the  
21 510(k)s that have not been cleared are currently in the  
22 review process.

23 DR. BOYLE: So, if less than half have been approved in  
24 a two-year period, then you are talking about an average  
25 that is going to be, once you get through this process, of a

1 year and a half to two years.

2 MS. RAY: One of the reasons why we are here is to try  
3 to streamline this and to try to take advantage of the  
4 alternative positions or alternative procedures that are  
5 available to try to make this more streamlined for both us  
6 and for the submitters.

7 DR. BOYLE: But even the statement about whether it is  
8 a 30-day or 90-day process, that is the time it takes you to  
9 get back to them rather than the length of the approval.  
10 Correct?

11 MS. GUSTAFSON: That is right. I will clarify that,  
12 yes, we have some that were submitted initially that have  
13 not had clearance yet. Of those though, they have all had  
14 at least one or two rounds of reviews. We have cleared  
15 software in as little as 26 days but, depending on the  
16 complexity of the software, depending on the submissions  
17 that we receive, depending on compliance problems that have  
18 been noted -- I mean, they are not all the same and they  
19 don't take the same amount of time. We obviously would like  
20 to have some efficiency within the 510(k) paradigm to allow  
21 the vendors who have demonstrated their ability to ensure  
22 appropriate design, documentation and testing to utilize  
23 some of the new efficiencies because, quite frankly, some of  
24 the other pending submissions are the ones that are taking  
25 our time in terms of one-on-one contact in working with the

1 vendors.

2 DR. HOLMBERG: Have there been situations where the  
3 vendor has removed the 510(k) or pulled it?

4 MS. GUSTAFSON: We have had some withdrawals, yes.

5 DR. HOLMBERG: Is that a large number?

6 MS. GUSTAFSON: I don't have the numbers with me. I  
7 don't think it is a large number comparatively but we have  
8 had some withdrawals. Of course, that is not public  
9 information in terms of putting it on the Web. We can  
10 publish our cleared submissions; we can't acknowledge the  
11 ones that were withdrawn without prejudice.

12 DR. HOLMBERG: I have some other questions. The three  
13 questions that I asked earlier, I think you addressed some  
14 of those in your presentation but, if I understand  
15 correctly, all blood bank software -- if we recommend this,  
16 all blood bank software will be classified as class II?

17 MS. ROSECRANS: If the panel recommends class II and  
18 the agency goes through the classification process and they  
19 decide whether they agree with the panel or not, put a  
20 proposal in the Federal Register, there is an open public  
21 comment period, and then a final rule and the comments are  
22 addressed in that final rule.

23 So having said that, if they are placed into class II,  
24 then they will be submitted as class II devices, reviewed  
25 that way and if there is any reason that they do not meet,

1 say, the special controls, they are not going to be class  
2 II. If they have some -- I can't think of an example but  
3 some total different use that impacts safety and  
4 effectiveness to a significant degree that we think alters  
5 the diagnostic effect that could be not equivalent,  
6 requiring premarket approval, or if they change the  
7 fundamental technology so greatly in a way that it raises a  
8 brand-new type question, that would be not equivalent. In  
9 CDRH we have about 2% of 510(k)s that are not equivalent.

10 DR. HOLMBERG: Okay. That leads to my second question,  
11 and that was HIMA's concern about the classification time  
12 line as far as if we make the recommendation today,  
13 publishing in the Federal Register, receiving comments back,  
14 what are we looking for as a time line to be able to do this  
15 510(k) paradigm shift?

16 MS. ROSECRANS: You can do the 510(k) paradigm right  
17 now. You don't have to be classified to use the paradigm  
18 but, for example, for specials you would have to voluntarily  
19 adhere to design controls.

20 DR. HOLMBERG: So, to the end-user it is going to be  
21 superficial.

22 MS. ROSECRANS: I wouldn't agree with that. But I  
23 think it could be very beneficial when we have guidance  
24 documents that you can declare conformity to and follow, and  
25 standards that we have recognized. I think that would make

1 it a much quicker process for FDA and a quicker process for  
2 the industry as well. Perhaps I should just let CBER say  
3 their opinion too, but we think it is a good thing. You  
4 know, we think the paradigm is a good thing.

5 DR. HOLMBERG: So, basically you are putting the  
6 developer on notice that these are the elements that you  
7 want in your design.

8 MS. ROSECRANS: Well, basically the guidance describes  
9 the kind of data we would like to see, labeling, we can put  
10 recognized standards in a guidance, whatever. It is helpful  
11 to the industry. We can have a guidance now for devices  
12 that haven't even been classified that we missed initially.

13 DR. HOLMBERG: Until this is decided on and the  
14 comments have been responded to -- you said people can use  
15 the 510(k) paradigm now, today --

16 MS. ROSECRANS: Right.

17 DR. HOLMBERG: Will the timetable to get something  
18 cleared be expedited also?

19 MS. ROSECRANS: Well, a special is going to be much  
20 quicker for us to review than a traditional 510(k) so it is  
21 going to be quicker than a traditional 510(k), and  
22 traditional 510(k)s have been required for this blood bank  
23 software. Just because it wasn't classified, it didn't mean  
24 510(k)s weren't required. It just meant that we hadn't gone  
25 through the classification process.

1 DR. HOLMBERG: Okay, but as an end-user, will the  
2 product be cleared faster right away? I mean, are we  
3 talking 18 months from now before we can see a difference?

4 MS. GUSTAFSON: By end-user, do you mean the vendor or  
5 do you mean the blood bank?

6 DR. HOLMBERG: The establishment.

7 MS. GUSTAFSON: The establishment. In terms of using  
8 the 510(k) paradigm, it is available for, you know, when a  
9 510(k) is required. By going through formal classification,  
10 it removes the case-by-case decision-making every time we  
11 get a 510(k) in. It is a more public process, and we then  
12 will, by definition, have blood establishment computer  
13 software classified as a class II device and there won't be  
14 case-by-case decision-making or questions about  
15 classification each time a 510(k) is submitted. Does that  
16 help?

17 DR. HOLLINGER: Have these guidelines been established  
18 already for the vendors as to what you expect at the very  
19 minimum for the establishments, and so on?

20 MS. GUSTAFSON: As Mr. Fogle mentioned, the general  
21 CDRH software guidance has been out since 1991. Our  
22 specific reviewer guide for computerized software was made  
23 available in April of 1996. It was published in the Federal  
24 Register for comment and for implementation.

25 DR. HOLMBERG: For clarification, I think what the

1 developer saw was that when the reviewer guideline came out  
2 there were additional things that they had to backtrack and  
3 incorporate into their 510(k) application. This is from my  
4 own personal experience, I think that the reviewer's  
5 guideline then became the standard. Is that a correct  
6 assumption?

7 MS. GUSTAFSON: Our reviewer's guidance built on the  
8 1991 guidance. It is an official standard but it does  
9 include the review elements that we look for when reviewing  
10 a 510(k) submission for software.

11 DR. HOLLINGER: I would like to ask maybe Kay Gregory,  
12 speaking for the AABB as a large organization, are there a  
13 lot of different vendors out there for software that the  
14 blood banks are using? Are these all in-house type things  
15 that have been established, like in American Red Cross or  
16 perhaps, Celso, at your place? What are we talking about  
17 here in terms of vendors? If somebody comes and they have a  
18 blood bank and they say we are using this vendor, or what?

19 MS. GREGORY: My understanding is that there are 12  
20 vendors. There are also some home-grown systems that people  
21 do themselves.

22 DR. BIANCO: That is correct, and all of these systems  
23 by now at all these institutions that have home-grown  
24 systems have submitted applications for 510(k)s. These are  
25 the statistics that we heard. There are a number of them

1 that seek approval. But it is an ongoing process.

2 DR. HOLLINGER: And this would cover plasma  
3 fractionation centers also --

4 DR. CELSO: That I don't know.

5 DR. HOLLINGER: -- or just blood bank establishments?

6 DR. BIANCO: You gave me the opportunity to ask a  
7 question --

8 DR. HOLLINGER: No, you can't do that!

9 [Laughter]

10 DR. BIANCO: I think that what is missing here, and I  
11 heard a little bit from Mary Gustafson, but what is really  
12 the difference that it makes from having the software now  
13 that is unclassified and making it class II? What is the  
14 real difference? I think that is what Dr. Holmberg was  
15 trying to ask. What is the difference for the user, for the  
16 manufacturer of this device? What is the difference?

17 DR. HOLLINGER: Well, you tell us. I suspect it has  
18 something to do with regulation, paperwork and so on, more  
19 than anything else.

20 DR. BIANCO: But I did not clearly understand the  
21 difference that it will make, and what happens in between  
22 because there was also a concern about the time to get there  
23 and what happens in between, and how different will it be  
24 when this is published as regulation in the Federal  
25 Register?

1 MS. RAY: The reason that we are classifying it is that  
2 the Federal Food, Drug and Cosmetic Act, Section 513 states  
3 that the Secretary shall classify medical devices into one  
4 of three classes. So, that is why we are here.

5 [Laughter]

6 MS. ROSECRANS: And I concur. That is the same for  
7 CDRH. You are supposed to go out with open public comment  
8 and rule-making with a recommendation from a panel to decide  
9 what class it is in.

10 MR. FOGLE: I think in a nutshell, it is fair to say  
11 that this is a regulatory housekeeping issue for the agency.  
12 In practical terms, Celso, probably it does not make a huge  
13 difference in the already established regulatory scheme. We  
14 have already established that 510(k)s are appropriate for  
15 commercially distributed software.

16 I would like to clarify -- and we can go back to a  
17 presentation that was made in 1996 where some of the lines  
18 were drawn -- that what we are talking about in terms of a  
19 510(k) submission is for software that is made and developed  
20 and commercially distributed. A 510(k) would not be  
21 required for an in-house developed system that is used in  
22 blood establishments that is not commercially distributed.  
23 It is for a software package that is developed that goes to  
24 other users, not in-house use. That is not to say that the  
25 in-house software does not meet the definition of a device

1 and is subject to certain requirements for validation. But  
2 the threshold for 510(k) is interstate shipment of the  
3 software as a package.

4 DR. HOLLINGER: Thank you Boyd. Yes, Miss Knowles?

5 MS. KNOWLES: I see these guidelines in class II as  
6 providing specifications and technical assistance to the  
7 software companies so that they can conform to basic  
8 standards and establish some consistency throughout the  
9 industry.

10 I think the other thing that is important is that FDA  
11 continue to communicate with all of the software companies  
12 that are providing the software via official memos, fax or  
13 even conferences to help people understand what changes need  
14 to be made as new regulations are established.

15 DR. HOLLINGER: Thank you. That is a good summary of  
16 that. Yes, Boyd?

17 MR. FOGLE: Just to underscore that point, as Mary  
18 Gustafson mentioned, this is a process to be viewed as  
19 regulatory housekeeping, if you will, but also to level the  
20 playing field in terms of expectation up front,  
21 prospectively, going with out initiative being proactive,  
22 actively communicating to the industry the expectations and  
23 then there is not the case-by-case decision when a 510(k) is  
24 or is not submitted, or when a situation comes up during an  
25 establishment inspection.

1 DR. HOLLINGER: We are going to take a break until  
2 10:30. Then we will come back and deal with presentation of  
3 the questions and a response. Thank you.

4 [Brief recess]

5 DR. SMALLWOOD: The presentation of the questions and  
6 committee discussion -- at this time I would like to allow  
7 the American Blood Resources Association to make a  
8 presentation. It was overlooked in the open public hearing.  
9 We apologize to ABRA, but at this time would you please come  
10 forward?

11 MR. HEALEY: Good morning. I am Chris Healey and I  
12 will be speaking on behalf of the Information Systems  
13 Committee of the American Blood Resources Association.

14 As you heard yesterday, ABRA represents the commercial  
15 source plasma industry, and ABRA members operate nearly 400  
16 source plasma collection facilities across the nation. From  
17 those 400 facilities, we collect nearly 13 million donations  
18 annually, and that aggregates to roughly 11 million liters  
19 of plasma that supplies the U.S. market.

20 Most members of the industry currently are in various  
21 stages of design, development, implementation and upgrading  
22 of donor management systems. As such, the issue of the  
23 510(k) process and blood bank software regulation is of  
24 paramount importance.

25 We believe that a more complete evaluation of how best

1 to manage the regulation of blood bank software or blood and  
2 blood component software is necessary. As we understand it,  
3 the status quo has been to attempt to utilize the 510(k)  
4 submission and review process. However, in practice we  
5 believe that these important products have been treated more  
6 like class III products in terms of the documentation  
7 required for these submissions. We understand that  
8 extensive validation, protocols, validation reports and  
9 other design documentation has been mandated for these  
10 submissions and that this has resulted in slow review times  
11 and delayed implementation of important products and  
12 technologies.

13 The current regulatory structure simply hasn't kept  
14 pace with current technology. The software industry is one  
15 that undergoes exponential change virtually overnight.  
16 Current software permits more rapid, flexible and  
17 comprehensive modifications than was previously thought  
18 possible. Even more importantly, evolution of regulatory  
19 and operational environments demand more rapid development,  
20 approval and implementation of technology changes.

21 As such, we applaud the agency's efforts to formally  
22 classify these products, and we applaud the use of new tools  
23 such as the abbreviated 510(k) process and the special  
24 510(k) process. We hope that these are merely  
25 administrative housekeeping changes and that they have a

1 significant impact on the review times and implementation  
2 times for these technologies.

3       However, these tools are new. As such, we think this  
4 provides an opportunity to bring industry, CDRH, CBER and  
5 the software industry together to talk about what changes  
6 should be implemented and what sorts of standards would be  
7 necessary to go forward with the abbreviated 510(k) process.

8       As we heard, CDRH is just now beginning to review the  
9 standards that are appropriate or may be appropriate for the  
10 abbreviated 510(k) process, and we think this is the  
11 critical point for the software industry in particular and  
12 the blood and blood components industry to be permitted to  
13 have an open dialogue with the agency and discuss what would  
14 be meaningful and important in terms of shortening the  
15 review times, while ensuring the safest possible products.

16       In conclusion, we think that the classification of  
17 blood bank software as a class II medical device should  
18 provide improvement over the currently review and approval  
19 process. However, the software development process, the  
20 industry operating environments and constantly changing FDA  
21 regulation and compliance expectations demand that we go  
22 further to improve the level of communication regarding  
23 FDA's approach in this area. Thank you.

24       DR. HOLLINGER: Thank you, Mr. Healey. We are sorry we  
25 overlooked that.

1           We want to have a presentation of the question but I  
2 want to try and see if I can clarify some issues that were  
3 brought up. If I am wrong in my clarification, please  
4 clarify for me then.

5           It is important to understand that many vendors or in-  
6 house establishments already have a 510(k). My  
7 understanding is that if we classify this as II, that  
8 doesn't mean they have to go back through and do the 510(k)  
9 again. They already have it; it is fine, and it is just a  
10 paper chain basically. So, that is not going to change.  
11 So, we are not asking them to go back and do more paperwork.  
12 So, that is the first thing.

13           The second thing is that if there are changes in their  
14 software, the only thing they will have to do is get these  
15 modification for those changes only. They aren't going to  
16 have to resubmit the whole 510(k).

17           Finally, this classification not only deals with  
18 commercial vendors, or vendors of this but also in-house  
19 establishments that ship interstate. Obviously, if you are  
20 an intrastate establishment and you don't ship anywhere you  
21 don't have to have a 510(k). It would probably be smart if  
22 you did, or at least you looked through these things to make  
23 sure that it is consistent, and so on, but my understanding  
24 is that that is not a federal requirement if you are  
25 intrastate. Is that correct, or am I incorrect on that?

1 MR. FOGLE: I think as part of the clarification on the  
2 510(k) requirement, when you look at software as a product,  
3 we are looking for an interstate nexus with respect to the  
4 software as a product. We distinguish that from interstate  
5 shipment of blood products. If, for example, there is an  
6 intrastate blood bank and they don't ship their products  
7 interstate that really has no bearing on whether the  
8 software is subject to a 510(k) or not.

9 DR. HOLLINGER: I guess I was talking about if you had  
10 in-house --

11 MR. FOGLE: I am getting to that. Does a 510(k) relate  
12 to my interstate movement of blood products? No. You focus  
13 on software as a product. Is there an interstate nexus  
14 either because that product moves interstate -- that can be  
15 out-of-house, if you will, to other organizations. It can  
16 also include a shipment to another facility. Even though it  
17 may be under the same organization, if you distribute that  
18 software to another facility within your organization, that  
19 no longer qualifies for the in-house exemption because it is  
20 no longer strictly in-house. So that is an interstate nexus  
21 because it is movement of product.

22 Also an interstate nexus is if the product itself does  
23 not move but we have interstate movement of safety critical  
24 data, and that data is used in blood safety decisions as far  
25 as donor suitability or product quality. We believe that

1 that interstate movement has an impact on interstate  
2 commerce, and we use that also as an interstate nexus for  
3 software regulation.

4 DR. HOLLINGER: Thank you very much, Boyd. Could we  
5 have a reading then of the question? I think there was one  
6 slide that had that on it.

7 The question for the BPAC is does the committee agree  
8 that blood establishment computer software be classified as  
9 a class II medical device?

10 We have had a lot of discussion already on this but I  
11 would like to see if there is any further discussion from  
12 the committee. Dr. Boyle?

13 **Committee Discussion and Recommendations**

14 DR. BOYLE: I would just like to comment that the types  
15 of defects or problems identified by the FDA are  
16 extraordinarily serious. From our standpoint, they are  
17 intolerable in terms of the risk to the patients. In point  
18 of fact, they seem to far out-shadow the types of concerns  
19 in terms of what could be missed with window periods that we  
20 were hearing about yesterday. So, I think this is so  
21 serious that something has to be done.

22 I also think that doing what we are doing may help to  
23 address the sort of issue that was raised by the speaker  
24 from the Committee of Ten Thousand. Eventually what we want  
25 is enough uniformity in the systems that we can get some

1 kind of uniform recall.

2       So, for all those reasons, I think we should move  
3 forward and approve this but, at the same time, since the  
4 FDA has asked for the authority, I hope the FDA is also  
5 willing to make the commitment to do this in such an  
6 expeditious fashion that delays in these types of approvals  
7 will not also cause risks to the blood system.

8       MR. DUBIN: I want to underline that. I think it is  
9 clear from our perspective that it does outweigh the need  
10 for some baseline regulation in terms of software.  
11 Obviously, we feel that any move towards standardization and  
12 software standards is good, not just in the specifics of in-  
13 house at the blood bank but towards the larger system and  
14 how that relates.

15       But I think it is important, it would be nice to see  
16 some streamlined coordination between the two sections of  
17 the FDA because there is overlap. I certainly want to be  
18 sensitive to what we hear and not create another layer of  
19 bureaucracy that slows down the process. I hear FDA saying  
20 that is not going to happen, and I support that. I think  
21 maybe some interaction in the right places could be very  
22 good, but I do think, as you said, John, that it is  
23 outweighed by the need to get some baseline regulation here.  
24 So, I would support the question.

25       DR. BUCHHOLZ: I would echo those comments in terms of

1 the safety aspects that are implicit in this issue. I guess  
2 the one concern I would have in this whole field which is  
3 rapidly changing -- and yesterday we discussed some things  
4 that could have a very substantial impact on how blood  
5 transfusions and product collection take place in terms of  
6 inventory hold periods or quarantines -- as I look at this  
7 in the future, I wonder if FDA can really guarantee that  
8 timeliness in terms of effecting changes, not only in terms  
9 of the vendor who supplies the material or supplies the  
10 information that allows the change to be supported, but the  
11 actual implementation of those changes at the blood center  
12 or plasma collection center facility.

13 I think it is nice to see some pictures that show 30  
14 days or less, but my concern is that if things to continue  
15 to change as rapidly as they have the potential to do and  
16 have changed, then we need to be very careful that we don't  
17 create a system that actually hinders us in doing the right  
18 thing.

19 DR. HOLMBERG: I agree with that. Let me just say that  
20 I applaud the agency not only for the housekeeping, but also  
21 for trying to expedite and trying to shorten the time for  
22 clearing computer systems.

23 If I can address to you all some of the problems that  
24 most vendors -- and Dr. Hollinger was exactly right as far  
25 as home-grown systems versus commercial -- I can tell you

1 from our own experience with the Department of Defense that  
2 we were not considered a home-grown system even though it  
3 was only to be used in the Department of Defense. But the  
4 fact is that we distributed among the three services and  
5 around the world, and that made the difference there.

6 But if I can talk from experience as far as the time  
7 factor and the dollars that are involved -- I know we are  
8 not supposed to talk about dollars on this committee, but it  
9 does affect us. First of all, we have from time to time, as  
10 was already pointed out, new regulations that come out, new  
11 tests that are added, new lookbacks. For instance, I am  
12 sure we will be addressing HCV very soon and whatever  
13 disease comes down the road. But we have to be able to  
14 respond in a timely fashion.

15 In the Department of Defense system, we got clearance  
16 or equivalency on our version 1. Our version 2 has been  
17 developed for almost two years now. That is currently in  
18 the queue. Version 3 is going to be a Windows NT version  
19 that will, hopefully, be ready to go this summer. So, here  
20 we have a product that will almost be out the door; we are  
21 ready to push our version 3 but we are still back to version  
22 1 and trying to respond in a timely fashion.

23 So you look at meeting the safety requirements, and the  
24 cost of just having something sit on the shelf, and the  
25 things that are taking place. If the agency can assure us

1 that the time line will be shortened, I applaud this. I  
2 think that there has to be some sanity that is brought to  
3 the table as far as what is required, and we have come a  
4 long way.

5 So, I applaud the action to do the classification. I  
6 still think that there is going to be some judgment calls  
7 that we have to make. I also encourage the agency to be  
8 speaking between the CDRH and CBER and open up the  
9 communications there, and also with the industry.

10 I just want to raise another issue as far as safety. I  
11 think that what was presented with, I believe, 16 recalls --  
12 I don't want to minimize those 16 but in the scope of the  
13 numbers of errors that happen in blood agencies, I think  
14 that this may be a small number. We need to continually  
15 improve our safety but, you know, I think we have things in  
16 place there, for instance, the controls with Good  
17 Manufacturing Practices.

18 DR. MCCURDY: If I understood what was said before,  
19 these were system recalls, not product recalls. The system  
20 recalls has really affected considerably larger portions of  
21 products, or at least have had the potential for that.

22 DR. HOLLINGER: Yes, that seems to be correct. Well,  
23 not seeing any further discussion, I am going to call the  
24 question and we will vote on the question.

25 DR. SMALLWOOD: Before voting takes place, I just need

1 to clarify for the record that Dr. Holmberg is eligible to  
2 fully participate in this issue. He did make an appropriate  
3 declaration prior to this meeting and has been cleared. So,  
4 for the record, I would like that to stand. Thank you.

5 DR. HOLLINGER: Thank you. So, we are going to watch  
6 how you vote!

7 [Laughter]

8 All those in favor of the question, does the committee  
9 agree that blood establishment computer software be  
10 classified as a class II medical device, so signify by  
11 raising your hand.

12 [Show of hands]

13 All those opposed?

14 [No response]

15 Any abstaining?

16 [No response]

17 Consumer representative?

18 MS. KNOWLES: Yes.

19 DR. HOLLINGER: And industry?

20 DR. BUCHHOLZ: Yes, but with a proviso that there be  
21 some sort of very serious look at this timing issue from the  
22 implementation phase.

23 DR. HOLLINGER: That has been brought up several times.  
24 I think that is very key.

25 DR. SMALLWOOD: The result of voting is unanimous by

1 the voting members present. There are 12.

2 DR. HOLLINGER: Thank you. That concludes the morning  
3 issues. I am going to ask the committee to please be here  
4 five minutes before. We are going to take a break until  
5 noon, but I would like the committee to be here at least  
6 five minutes before because we really have a very busy  
7 afternoon potentially and I would like to get started right  
8 at 12:00. Thank you.

9 [Whereupon, at 10:45 a.m., the proceedings were  
10 recessed, to be resumed at 12:00 noon.]



1 is indicated for the management of bleeding for preoperative  
2 patients who require replacement of plasma coagulation  
3 factors when specific corrective factors are not available;  
4 for patients with documented coagulation factor deficiencies  
5 for which other specific corrective factors are not  
6 available. FFP is indicated for reversal of coumarin  
7 affected patients who are bleeding or need to undergo an  
8 invasive procedure before vitamin K reversal of coumarin  
9 effect; patients with massive transfusion who have abnormal  
10 coagulation assays; and therapeutic plasma exchange for TTP,  
11 thrombotic thrombocytopenic purpura.

12 [Slide]

13 As part of the background, I would like to go over the  
14 1997 GAO report to Congress with regard to viruses  
15 transmitted by blood and blood components. This is  
16 expressed as risk per unit of fresh frozen plasma, and also  
17 describes the window period.

18 For HIV, the risk per unit of FFP that I found in the  
19 document was approximately 1/700,000, with a window period  
20 of 22 days for the antibody test with a 95% confidence  
21 interval and a range of 6-38 days, and a 16-day window  
22 period when the p24 antigen test is used. For hepatitis B,  
23 1/63,000 risk with a 59-day window period and a range of 37-  
24 87 days. For HCV, the risk per unit of FFP has been a very  
25 broad range, anywhere from 1/4100 or 1/100 or 125,000,

1 depending on the assumptions made about the specificity of  
2 the test. The widow period is approximately 82 days for the  
3 antibody test with a range of 54-182 days. The risk for HAV  
4 per unit of FFP is estimated to be about 1/1 million. HTLV-  
5 1 and 2 are not currently known to be transmitted by a  
6 cellular plasma products. The window period is 51 days with  
7 a range of 36-72.

8 [Slide]

9 Pooled plasma solvent detergent-treated is manufactured  
10 from  
11 AB group specific plasma. The plasma pools may contain  
12 plasma from up to 2500 donors. These are volunteer donors.  
13 The solvent detergent process involves incubation with TMVP  
14 and Triton X-100, removal of the chemical by chromatography,  
15 sterile filtration and the product is refrozen in unit sizes  
16 of 200 mL.

17 [Slide]

18 These are the indications for pooled plasma solvent  
19 detergent-treated: surgical prophylaxis in patients with  
20 congenital deficiency of fibrinogen, Factor V, Factor VII  
21 and Factor XI, surgical prophylaxis in patients with Factor  
22 XIII deficiency. The clinical trials showed that the  
23 product could be used for the treatment of active bleeding  
24 of patients with congenital deficiency of fibrinogen and  
25 Factors V, X and XIII.

1 [Slide]

2 Clinical trials further showed that the product could  
3 be used for the reversal of coumarin effect for the  
4 treatment of patients with multiple coagulation deficits,  
5 and for the treatment of acute and chronic thrombotic  
6 thrombocytopenic purpura.

7 There are some points that I would like to make about  
8 the clinical trials that were done. These were reviewed in  
9 the December, 1996 BPAC. The studies were small, and  
10 although in some studies patients were randomized to FFP and  
11 the solvent detergent plasma, the studies were under-powered  
12 to detect a difference between the two should such a  
13 difference have existed. Thus, strictly speaking  
14 statistically, equivalence of the two products has not been  
15 demonstrated. .

16 Secondly, while SD plasma lacks the largest von  
17 Willebrand factor multimers, clinical trials were not  
18 designed to and did not show a therapeutic advantage of  
19 solvent detergent-treated plasma over FFP in the treatment  
20 of either acute or chronic TTP.

21 [Slide]

22 This slide documents the in vitro reduction of viral  
23 infectivity by solvent detergent treatment for a variety of  
24 model viruses. I think it is clear that the solvent  
25 detergent technology is used to reduce the risk of

1 transmission from currently screened lipid enveloped viruses  
2 from donors in the infectious seronegative period, and lipid  
3 envelop viruses that are not currently recognized as  
4 transfusion risks. However, this process is not designed to  
5 inactivate non-enveloped viruses or agents associated with  
6 CJD or other transmissible spongiform encephalopathies.

7 [Slide]

8 What are the advantages and disadvantages of this  
9 particular product? One of the advantages of this product  
10 are that one has a consistent and reproducible level of  
11 coagulation factors. As I have shown you, there is a high  
12 efficiency inactivation of lipid-enveloped viruses.

13 What are the disadvantages? It is a pooled plasma  
14 product. As I have said, it is not designed to inactivate  
15 non-lipid envelope viruses or pathogens insensitive to the  
16 solvent detergent treatment. It is thought that the  
17 neutralizing activity of antibody in plasma pools,  
18 particularly for hepatitis A virus and parvovirus B19, will  
19 provide detection against some non-envelope viruses.  
20 However, in my opinion, it would seem prudent to vaccinate  
21 chronic recipients for hepatitis A virus. It would also  
22 seem prudent to consider the risk of parvovirus B19 in  
23 susceptible populations as for example pregnant women,  
24 patients with chronic hemolytic anemia and patients with  
25 chronic severe immunodeficiencies when choosing whether or

1 not to use this product. Finally, the ever-present risk of  
2 unknown, untested blood-borne pathogens not sensitive to the  
3 solvent detergent process.

4 [Slide]

5 Fresh frozen plasma donor retested is produced from  
6 single volunteer whole blood or apheresis donations. The  
7 product is subject to donor screening and donation unit  
8 screening. It is proposed that there be a true quarantine  
9 hold period beyond an estimated infectious seronegative  
10 window period for recognized tested blood-borne pathogens.  
11 What this means is that quarantine release occurs only after  
12 the donor returns after the quarantine period and is found  
13 to be seronegative for all required testing.

14 [Slide]

15 What are the advantages of this product? It is a  
16 single-donor product. This is a true quarantine hold for  
17 infectivity window period.

18 What are the disadvantages? Well, a long interdonation  
19 period is a risk here in that patients who do not return  
20 within the dating period for the product, the product will  
21 be discarded; the sensitivity and specificity of current  
22 screening methods; the logistics of storage, processing and  
23 release of quarantine units; and, again, the risk of  
24 unknown, untested blood-borne pathogens. It would appear  
25 that recruitment of dedicated, long-term, frequent volunteer

1 plasma donors would be the major logistical hurdle facing  
2 blood centers choosing to use this approach.

3 [Slide]

4 There are questions for the committee. I have thought  
5 long and hard about the order in which to present these  
6 questions and I have come to the conclusion that we will  
7 look at the second slide first.

8 The first question the FDA would like to ask, is does  
9 the committee concur in principle with a true quarantine  
10 hold for an infectivity window period? Subsequent to the  
11 discussion of that, what does the committee recommend as the  
12 minimum quarantine period for release of previously donated  
13 units of fresh frozen plasma?

14 [Slide]

15 Finally, does the committee agree that pooled plasma  
16 solvent detergent-treated and fresh frozen plasma, donor  
17 retested, are acceptable alternatives for those indications  
18 held in common, or to be held in common between the two  
19 products?

20 DR. HOLLINGER: Thank you, Dr. Silverman. The next  
21 presentation is going to be by Dr. Bernard Horowitz, who is  
22 with VI Technologies, which is a company that makes the  
23 solvent detergent-treated pooled plasma.

24 **Presentation**

25 [Slide]

1 DR. HOROWITZ: I am Bernard Horowitz, represent V.I.  
2 Technologies, or VITEX. Some of the data that I will  
3 present to you was developed when we were still part of the  
4 New York Blood Center.

5 Solvent detergent is the single most effective means I  
6 know of for eliminating envelope viruses from transfusion  
7 plasma. Let me repeat that because it is an important  
8 statement. Solvent detergent is the single most effective  
9 means I know of for eliminating envelope viruses from  
10 transfusion plasma. A large safety margin is suggested by  
11 the rapid and complete kill of envelop viruses observed in  
12 the laboratory.

13 Our findings have been confirmed repeatedly by groups  
14 worldwide, and proven by 13 years of routine clinical use.  
15 By contrast, the safety of single-donor plasma, donor  
16 retested, which I will refer to as quarantine for ease of  
17 language, is unproven. Moreover, because of the limitations  
18 inherent to all donor screening procedures, the safety of  
19 quarantine plasma cannot match that of solvent detergent-  
20 treated plasma. Thus, in my opinion, the solvent detergent-  
21 treated plasma, SD plasma, is the safer alternative.

22 Since the committee has previously seen evidence on  
23 viral safety of SD plasma, I will limit my discussion to  
24 only those few slides which serve to illustrate the points I  
25 am making.

1 [Slide]

2 As I have already mentioned, there is a 13-year history  
3 of solvent detergent treated use since first licensure by  
4 the FDA of solvent detergent-treated Factor VIII in 1985.

5 [Slide]

6 Quite a number of viruses have been studied with  
7 solvent detergent. The most remarkable aspect of this list  
8 is that kill is complete regardless of the titer of virus  
9 that is used in the challenge study.

10 [Slide]

11 There is ample evidence supporting the efficacy of  
12 solvent detergent. It starts with the laboratory viral  
13 studies which I have already indicated to you. Some of  
14 those are pathogens, not simply models of pathogens used in  
15 human studies, such as studies we have done in chimpanzees  
16 with hepatitis B and hepatitis C, as well as hepatitis delta  
17 virus. Others are models.

18 Clinical evaluations in closely monitored hemophiliacs  
19 have been performed through the years, as well as in other  
20 closely monitored patient groups. The outcome is always the  
21 same -- no transmission of envelope viruses to those patient  
22 groups.

23 Finally, at least through December of 1996, there are  
24 over 15 million doses, separate treatment episodes of  
25 solvent detergent-treated products on a worldwide basis.

1 This represents two-thirds of the world's coagulation factor  
2 concentrates today.

3 [Slide]

4 I would like to remind the committee that solvent  
5 detergent was first implemented prior to HIV screening.  
6 Thus, as a consequence, we know that safety can confer to  
7 products even without screening. Of course, today's  
8 screening procedures have gotten ever better and so the  
9 margin of safety has improved. The same statement can be  
10 made with respect to hepatitis C testing.

11 Finally, the last point on this slide is that we have  
12 prepared a hyperimmune gamma globulin to HIV from donors,  
13 all of whom were infected with HIV, and used that  
14 preparation as a treated plasma in chimpanzees to  
15 demonstrate safety, and safety was demonstrated both with  
16 respect to HIV as well as hepatitis viruses.

17 [Slide]

18 This is a slide which illustrates the breadth of  
19 solvent detergent treatment in terms of the variety of  
20 products that are made worldwide. They include coagulation  
21 factor concentrations, immune globulin concentrates, and  
22 even has extended into monoclonal antibodies and other  
23 preparations of biotechnology.

24 Perhaps the single most important number or impressive  
25 number is the number of doses of Factor VIII which have been

1 administered to hemophiliacs, which now represents over  
2 100,000 man-years of usage of that particular product in  
3 that patient group.

4 [Slide]

5 So the simple summary over this period of history, from  
6 1985 through the present, is that there are no cases of  
7 hepatitis B, hepatitis C or HIV transmission with solvent  
8 detergent-treated products.

9 [Slide]

10 As Dr. Silverman already described with respect to  
11 solvent detergent plasma, the methodology is designed to  
12 eliminate HIV, hepatitis B, hepatitis C and all other  
13 envelope viruses from transfusion plasma. The technology is  
14 well proven. The donor base is a volunteer donor base, as  
15 was emphasized with some importance yesterday.

16 It is a pooled product, but unlike most of the pooled  
17 products that the committee is used to hearing about, we  
18 have limited the pool to a maximum of 2500 donors. By  
19 contrast, in a normal fractionation setting, as this  
20 committee has heard in the past, that number can approach  
21 80,000 donors or above. Antibodies are present to protect  
22 against hepatitis A and parvovirus, and I will speak more  
23 about that later. There is a consistent content of  
24 coagulation factors. The largest forms of von Willebrand  
25 factor are missing, and they are missing because in the

1 final sterile filtration they are removed by that process.  
2 Some people consider that that is an advantage in the  
3 treatment of thrombotic thrombocytopenic purpura, TTP. That  
4 is the reason why cryosupernatant is sometimes used in the  
5 treatment of that disorder.

6 [Slide]

7 So, the safety to SD plasma is conferred in two ways.  
8 Certainly solvent detergent protects against envelope virus.  
9 I think everyone in the audience appreciates the power that  
10 has been demonstrated. But, in addition, pooling guarantees  
11 the presence of antibodies to both hepatitis A and parvo  
12 B19. Single-donor products may or may not have the presence  
13 of those antibodies. Approximately 15% of donors have  
14 antibodies to hepatitis A and approximately 50% of donors  
15 have antibodies to parvo B19.

16 [Slide]

17 Specific viral kill studies which have been performed  
18 with solvent detergent-treated plasma are summarized on this  
19 slide. Again, I emphasize that this includes studies in  
20 chimpanzees with respect to hepatitis B and hepatitis C.

21 [Slide]

22 Perhaps even more impressive is the rate of kill we  
23 achieve of viruses that we add into the plasma or the  
24 treatment with solvent detergent. The normal incubation  
25 period is 4 hours. The first time point in these studies is

1 15 minutes. By 15 minutes all virus is gone. BVD, for  
2 those of you who are not familiar with it, is a model for  
3 hepatitis C, as is sindus virus and HIV, of course, is its  
4 own model.

5 [Slide]

6 With respect to antibodies, we know that both hepatitis  
7 A antibodies and B19 antibodies are neutralizing and  
8 protective. To illustrate this point, a standard dose of  
9 solvent detergent-treated plasma would contain 30-50 times  
10 more antibody than an intramuscular injection given to  
11 protect against hepatitis A. A standard dose of plasma,  
12 again, would deliver more than 24,000 units of antibody to  
13 parvo. Although not as well studied as hepatitis A, at  
14 least in the laboratory you only need 1-2 units to protect  
15 against parvo infections.

16 Antibody has three different ways of acting. In one  
17 case it can prevent infection, that is to say,  
18 neutralization of the virus. In the second case it can  
19 speed the clearance of the virus from the body. The third  
20 way that it can act is through prevention of cell-to-cell  
21 spread.

22 There have been a few reported cases of hepatitis A, as  
23 the committee has heard, from purified products. Let me  
24 emphasize that those purified products lacked antibody in  
25 the final preparation. Whereas, SD plasma, much like

1 intravenous immune globulin, continues to contain those  
2 antibodies.

3 [Slide]

4 With respect to viral safety studies in man, a number  
5 have been done. This slide demonstrates the ones that we  
6 performed at VITEX, as well as those performed in Europe  
7 with respect to product made in Europe by the three  
8 manufacturing sites that prepare a similar product there.  
9 The bottom line, over 2000 units, 81 lots tested -- no  
10 transmission of virus.

11 [Slide]

12 Speaking about Europe, solvent detergent-treated plasma  
13 was first introduced in Germany, in one of the stadts in  
14 Germany, in 1991, and came into more widespread use in 1993.  
15 It is selected as the exclusive form of transfusion plasma  
16 in two countries, Belgium and Norway. In addition, it is  
17 licensed in the other countries listed here, including  
18 France and Germany. From 1991 to 1997, over 3 million units  
19 and more than 3000 batches have been used in Europe,  
20 prepared, again, by three manufacturing sites, all without  
21 evidence of transmission of virus.

22 [Slide]

23 Let me then turn attention to some philosophic issues  
24 that affect the deliberation that is before the committee.  
25 There are numerous advantages confirmed by viral

1 inactivation, as summarized on this slide. Yesterday this  
2 committee expressed a desire to better understand the  
3 relative importance of the various measures taken to improve  
4 safety of the blood supply. In my opinion, viral  
5 inactivation is the single most important factor. It  
6 addresses the window period in a way that no testing can.  
7 It provides a large margin of safety. It attacks viruses  
8 which are mutants and perhaps not detected by current test  
9 methodology. It eliminates viruses for which we have no  
10 tests. Of course, it accommodates laboratory error.

11 [Slide]

12 Had viral inactivation been available in the 1970s, in  
13 the late 1970s, a whole generation of hemophiliacs, of  
14 course, would have been saved from the devastating impact of  
15 HIV infections. By contrast, had quarantine been available  
16 in the late 1970s, it would have done nothing to impact that  
17 transmission.

18 In 1985 to 1988, had quarantine been available with  
19 respect to transfusion plasma, it would have done nothing to  
20 stop hepatitis C transmission for there was no test.

21 In 1995 through 1996, had quarantine been available, it  
22 would have done nothing to stop the transmission of HIV type  
23 O had it entered the blood supply, as many of the tests were  
24 unable to pick it up.

25 Here, in 1998, quarantine does nothing for hepatitis G.

1 [Slide]

2 So, the deliberations that are before the committee --  
3 and we heard expert testimony by probably the world's most  
4 leading expert on the window period is yesterday, Michael  
5 Busch -- we certainly need to understand what the basis of  
6 the window period estimate is. We need to understand the  
7 standard deviation that surrounds those estimates and what  
8 the likelihood of outliers is. I think we heard yesterday,  
9 with respect to HIV, in one study where there were 52 cases  
10 of transmission, 2 of those cases, or approximately 5%, had  
11 an incubation period of 6 months.

12 What is the length of the quarantine period proposed,  
13 and that is a question posed to the committee? And, an  
14 element which has not entered the discussions thus far is  
15 how will centers control inventory which is designated to go  
16 into quarantine? How will the product be labeled? What  
17 computer systems will be put into place and other logistical  
18 considerations?

19 [Slide]

20 I put up this slide in part to remind me that I  
21 probably need to make a new one. Dr. Busch delineated  
22 yesterday two window periods for us, one of which is the  
23 period between exposure and infectivity, and the second of  
24 which is the time between infectivity and marker virus  
25 positivity.

1 A more conservative view would be to sum these periods.  
2 Dr. Busch attempted to define or delineate through computer  
3 modeling what the first of those periods is but, as I say, I  
4 think a more conservative approach would be to sum those  
5 too. If I copied down the numbers correctly from his  
6 summary slide, if one summed the two, for HIV the window  
7 period is approximately 40 days with a 10-250 interval.  
8 Hepatitis C, and I know that was changed in the end but it  
9 went up to 135 days and I didn't catch the change. And  
10 hepatitis B up to 88 days.

11 So, those are parts of the deliberation that I think  
12 are before the committee, and certainly Dr. Busch is the  
13 single most knowledgeable person I know to address that  
14 issue.

15 [Slide]

16 Well, what are the other limitations besides the window  
17 period? Yesterday I also heard from Dr. Busch that there is  
18 a non-window period risk estimated at 20% for hepatitis C,  
19 7% for HIV and 2% for hepatitis B. This risk in part comes  
20 from immunosilent infections, viral variant viruses we do  
21 not test for, as well as errors which are made in the  
22 laboratory.

23 [Slide]

24 To further illustrate that there are viral variants,  
25 the committee did hear at a previous meeting about HIV type

1 O and what manufacturers of screening tests were doing to  
2 bring their tests into conditions which could detect this  
3 particular variant. Hepatitis G, we now know is in  
4 approximately 1% of donated blood. And, just in last  
5 month's Transfusion, a new hepatitis B virus variant, which  
6 is not detected by some blood screening tests today, was  
7 described.

8 Also, we heard Dr. Tabor yesterday talk about two other  
9 viruses, HAV type 8 and HTLV type 1, which his committee has  
10 examined to determine whether or not there is risk. I note  
11 that both of these are envelope viruses.

12 [Slide]

13 So, in summary, if I were attempting to put a single  
14 table which would estimate risk, I would divide it into the  
15 following categories: with respect to the viruses that we  
16 know, HIV, hepatitis B, hepatitis C and the HTLVs; current  
17 fresh frozen plasma, a single dose and this is a dose which  
18 is approximately 5 units, not a single unit of fresh frozen  
19 plasma, has a risk more or less of 1/6600. For sure,  
20 quarantining plasma will reduce that risk. It may reduce  
21 the risk by as much as 90%. For SD plasma, I consider the  
22 risk to be zero.

23 For hepatitis G and other known viruses -- well,  
24 hepatitis G we know is in approximately 1% of donors. So, I  
25 have listed the risk as 1/20. Fortunately, as best we know

1 today, there is no pathology associated with that  
2 transmission. Quarantine does nothing to decrease the risk  
3 and, as has been shown by PCR studies by others, solvent  
4 detergent destroys that virus.

5 Hepatitis A and B19 -- transmission by FFP as well as  
6 quarantine is possible. Hepatitis A is rarely transmitted  
7 by blood, and that is because it is rarely in the blood  
8 supply, but it also has the possibility of a new  
9 neutralization even with single-donor products.

10 Similarly with parvo virus, which is frequently in the  
11 blood supply, we do not screen it today and, yet, we must be  
12 exposing a great number of patients even with single-donor  
13 products. With solvent detergent plasma we at least  
14 guarantee the presence of antibody.

15 Finally we reach this category or virus, which is the  
16 most difficult category to deal with and is, I think, the  
17 reason we are here today. What do we do about the unknown  
18 virus which is non-envelope? That is to say, a virus which  
19 we don't now believe is in the blood supply but perhaps it  
20 is there and perhaps it is insensitive to solvent detergent  
21 treatment. Of course, it is possible that pooling may  
22 increase that risk.

23 [Slide]

24 In summary, in answering the question posed to the  
25 committee, it is clear to me that the safety of quarantine

1 plasma cannot match that of solvent detergent-treated plasma  
2 since quarantining relies solely on screening tests and,  
3 thereby, suffers from all of the drawbacks that characterize  
4 such tests. Nonetheless, it is equally clear to me that the  
5 safety of solvent detergent-treated plasma, as well as  
6 quarantine plasma, is higher than that of fresh frozen  
7 plasma. With that, I thank you.

8 DR. HOLLINGER: Thank you. Any questions right now?  
9 If not, we will now move into the open public hearing.  
10 There are six individuals who have asked to speak. Wo, we  
11 will start the open public hearing with a presentation by  
12 Dr. Holland.

#### 13 Open Public Hearing

14 DR. HOLLAND: Thank you. I am here on behalf of  
15 America's Blood Centers. I represent the Sacramento Blood  
16 Center also. I am here to discuss the relative safety of  
17 pooled solvent detergent plasma and something new you have  
18 just heard about, and you are going to hear more about, that  
19 is, single-donor fresh frozen plasma, donor retested.

20 In comparing these things, I don't think you can really  
21 compare one to the other. I think you should compare each  
22 of them to what we have now, which is single-donor fresh  
23 frozen plasma, which is really a very safe product but it  
24 still has measurable risk, as you have heard. So, in my  
25 presentation I want to contrast each of these new components

1 that you are talking about, pooled solvent detergent plasma  
2 and single-donor fresh frozen plasma, donor retested, which  
3 I will carefully define for you, with what we currently  
4 have.

5 Both of these new components are safer. They have less  
6 risk. They have different risks but they have minute risks.  
7 They are not zero. So, what I am going to try to do is  
8 build upon on what Dr. Horowitz just said. I am going to  
9 show you that I will respectfully disagree with some of the  
10 statements he made regarding the safety and regarding the  
11 simultaneous presence of antibody to hepatitis A and  
12 parvovirus in these preparations, which do not protect  
13 against infection. At best, they might modify it but they  
14 do not protect against infection.

15 Basically, I want to show you that I believe that  
16 having an alternative to pooled solvent detergent plasma  
17 would be a great idea. We have submitted for license for  
18 this, and I believe it would be very helpful for most blood  
19 centers to have this safer alternative so we have something  
20 else which is comparable but different.

21 [Slide]

22 Okay, what am I talking about? From the discussions  
23 yesterday, I want to make it clear what I am talking about.  
24 Basically, fresh frozen plasma, donor retested, you  
25 phlebotomize or pherese a donor with some fresh frozen

1 plasma. It comes from one donor.

2       You test it, of course. It has to meet all the  
3 requirements. You freeze it and store it for a minimum of  
4 90 days. You then recall that donor. That donor must come  
5 back and be tested or preferably donate another unit which  
6 passes all of your tests. So, as opposed to inventory hold  
7 that you heard about yesterday, this donor must come back,  
8 must go through the screening process, must pass all the  
9 tests again.

10       If all of that testing is non-reactive, then you may  
11 release that stored unit. But also keep in mind that you  
12 had an interval history. In that 90-day period of time, if  
13 the donor had been exposed to hepatitis A or come down with  
14 parvovirus, or something like that, and it were clinical,  
15 you would know about it. So, you have that additional  
16 safety factor. You have an additional 3 months of history  
17 in that interim period of time even though we are concerned  
18 about the sample that was drawn 3 months before.

19       The follow-up testing of each subsequent unit permits  
20 the release of any which you have had more than 90 days in  
21 your freezer. I think this really gives you a minute, but  
22 certainly not zero, risk of viral transmission. And, it is  
23 all based largely upon the window periods that you have  
24 heard so much about, and I want to reiterate them too.

25       [Slide]

1 This is from a couple of years ago but, basically, this  
2 was before HIV antigen testing. The window period is  
3 estimated to be 22. I am using the total window period.  
4 Dr. Busch very carefully pointed out that there is an  
5 eclipse period when the donor could be infected or is  
6 infected but is not infectious, and then that second period  
7 which is the time when they are infectious but still pass  
8 our tests. I am going to lump them all together even though  
9 the total window period does not represent a total period of  
10 infectiousness.

11 HTLV-I is not a major problem. It is not really  
12 transmitted by plasma. This is a study from the  
13 Netherlands, which looks at a 98-day window period for  
14 hepatitis C. It is based upon only 9 patients, but it is  
15 based upon the right kind of patients, that is, patients who  
16 were transfused. These are the only people, say, for needle  
17 sticks, that we know the exact time they got infected. We  
18 follow them prospectively and we know when they develop  
19 evidence of hepatitis or other infections. I am going to  
20 show you three series that show you that in most cases for  
21 both C and certainly for hepatitis B that window period is  
22 covered most of the time. Yes, there are some longer  
23 incubation periods. This is why the 2.0 test, hepatitis B  
24 surface antigen test. which may be not covered, but the vast  
25 majority, well over 90%-plus are covered.

1 [Slide]

2 To give you one example, here is a series from Spain,  
3 which is only partially published, from Dr. Barrera in  
4 Barcelona, and this is 29 patients who developed hepatitis C  
5 post transfusions and were followed prospectively. Using  
6 the 2.0 version, the average day of reactivity was 72 days,  
7 the median was 71. All 7 of the patients, by 90 days, had  
8 evidence of antibody by this 2.0 test. However, 7, or 24%,  
9 did not. However, 5 additional ones had an elevated ALT,  
10 and we are virtually all using elevated ALTs, so all but 2  
11 of these individuals would have been picked up by a  
12 screening process by the combination of the 2 tests, the  
13 elevated ALT and the second generation test. Using the 3.0,  
14 you shorten that window period somewhat. You pick up a  
15 couple of additional patients and, again, all but 2 of them  
16 with the combination of the 2 tests would have been picked  
17 up.

18 [Slide]

19 I am going to show you two slides that Mike Busch used  
20 yesterday from Harvey Alter. This looks at the interval  
21 from the initial ALT elevation to anti-HC seroconversion by  
22 the EIA-2. What it shows is that either simultaneous with  
23 the presence of the antibody, within a month by 79% of them  
24 and within 13 weeks by all of them, they had an elevated  
25 ALT. So, in this particular series, and there were 63

1 patients, every single one of them would have been  
2 identified by an elevated ALT or a positive serology for C  
3 within the 90-day period.

4 [Slide]

5 This looks at Harvey's slide in another way, where  
6 there is this sort of 3-week eclipse period before PCR  
7 becomes positive. There is evidence of nucleic acid for  
8 hepatitis C RNA. Then, with the third generation test, the  
9 3.0 test, here is the ALT first and then you have the 3.0  
10 and the 2.0. So, again, by 12 weeks, 13 weeks post  
11 transfusion all of these individuals were identified, and if  
12 they had been potential donors would have been picked up and  
13 their units would not be used within a 90-day hold.

14 [Slide]

15 So, this is really more true of today, that is, with  
16 the p24 antigen test in place we have a 16-day window period  
17 for HIV and even with the rare cases of prolonged  
18 seroconversion, remember, most of those healthcare workers  
19 were not infectious for that period of time, by the way.  
20 For the 3.0, 64. Again, a few would go beyond 90 days. For  
21 surface antigen for B, yes, a few would go beyond 90 days.  
22 But the vast majority are going to be picked up.

23 [Slide]

24 This is my summary of what solvent detergent-treatment  
25 plasma does. Yes, clearly, it is going to inactivate lipid

1 envelope viruses like B, HIV, hepatitis C, and probably HGV,  
2 presuming it is even important, as Dr. Horowitz said.  
3 However, it has minimal to no activity against non-envelope  
4 agents and non-lipid envelope viruses.

5 I want to focus on parvovirus B19, hepatitis A and a  
6 new non-envelope transfusion-transmitted virus which was  
7 just described by the Japanese, which they unfortunately  
8 call TTV, the initials of the patient. I am not going to  
9 talk about hepatitis E, but it is another non-envelope  
10 virus. It can be transmitted in Third World countries. It  
11 may be transmitted in our country in a version which is in  
12 pigs. It has a very high mortality rate when it occurs in  
13 pregnant women.

14 [Slide]

15 Parvovirus B19 antigen r genetic sequences in the  
16 plasma from volunteer donors -- there are two published  
17 studies and one unpublished study showing you the frequency  
18 with which our volunteer, healthy donors who pass all of our  
19 tests are carrying this agent at the time they donate blood.  
20 This study, 1/5000. This study found 1/20,000, from  
21 Scotland or 1/3300, and Sue Stramer did a study of 10,000  
22 Red Cross donors last year, and 7/10,000 were positive for a  
23 rate of 1/1400.

24 So, if there are 2500 units in a pool of solvent  
25 detergent plasma, then using the smaller number there is at

1 least a 30% chance. Using these numbers, there is a higher  
2 chance that that pool will contain B19 and could infect  
3 hundreds of recipients of that pool of solvent detergent  
4 plasma.

5 A big issue was made about, well, there is antibody in  
6 there. Well, how did this group detect it? They used  
7 plasma pools of 500 and they are able to detect the DNA of  
8 B19 in a pool of 500, undoubtedly, where hundreds, if not  
9 thousands of those donors had antibody it clearly didn't  
10 neutralize B19 there. Not only that, they actually found  
11 one donor who had IgG antibody before and during the  
12 detection of this virus. So, the presence of antibody to  
13 this virus is not protective and will not help.

14 [Slide]

15 Most of the time this is a very mild disease in most  
16 children. It is called the "slap cheek" syndrome. But here  
17 is a patient who had thalassemia, a chronic hemolytic  
18 anemia, and here is what happened to this patient when he  
19 got parvovirus B19 infection from a transfusion.

20 He had an aplastic crisis. He went into heart failure.  
21 He had antibody. He showed seroconversion. He had virus in  
22 there. In fact, the virus was detectable for at least 4  
23 months in this particular patient.

24 It was interesting, they went back and they found the  
25 donor that was implicated, and the donor had antibody and

1 virus simultaneously at the time of the donation, once again  
2 showing that the presence of antibody to this virus is not  
3 protective even if it is in the pool or even if it is in an  
4 individual donor.

5 [Slide]

6 This caption is hard to read. It says, "yes, it's  
7 pretty lonesome out here. All my family is in hospital with  
8 something called infectious hepatitis." My point is that I  
9 want to lead into some points about hepatitis A which could  
10 be transmitted by transfusions.

11 There are at least 10 case reports, or reports in the  
12 literature of hepatitis A being transmitted by transfusions.  
13 There are probably others, because I know we have a huge one  
14 which was never reported, which have not been reported. The  
15 transmission of hepatitis A to the patients is the least of  
16 the problems, i will show you by this group of patients, who  
17 were impacted at our center. This is a case we published.

18 The infant got some fresh frozen plasma we collected  
19 and clearly got infected with hepatitis A. More  
20 importantly, his mother got exposed to him when she was  
21 changing his diapers and 9 nurses in the intensive care unit  
22 that were taking care of this child also were infected.  
23 This was found out when these nurses started coming into the  
24 employee health service with clinical symptoms.

25 So, it isn't even so much the patient. The patient was

1 fine. He just seroconverted. But, in taking care of him,  
2 that patient infected with fresh frozen plasma which  
3 happened to be from a donor who was incubating hepatitis A,  
4 infected 10 other people. At our university hospital this  
5 was magnified even more because three children got exposed  
6 to the same components and dozens of healthcare workers and  
7 families ended up getting hepatitis A.

8 [Slide]

9 What about the effect of solvent detergent? This is a  
10 study from Italy, where there was transmission of hepatitis  
11 A to patients with hemophilia by Factor VIII concentrates  
12 treated by solvent and detergent. From this batch, 52  
13 patients with hemophilia were infected. This has been  
14 passed up as, "well, it was the Italians; they didn't know  
15 what they were doing and it doesn't happen in America."

16 [Laughter]

17 [Slide]

18 This was published by our own Centers for Disease  
19 Control and Prevention. I have given you the MMWR reference  
20 and an update, presented at the ASH meeting. There were  
21 three cases after Factor VIII preparation and two cases  
22 after Factor IX preparation, solvent detergent from the same  
23 source plasma pools. To prove that that was the source of  
24 infection, the CDC found that the HAV in the plasma pools in  
25 the Factor VIII and two of the patients they tested was

1 identical by genetic sequence analysis. So they got  
2 infected by that product which had been solvent detergent  
3 and, certainly, in the pool there was a ton of antibody to  
4 begin with, and it didn't affect that virus.

5 [Slide]

6 Just to show you how important this can be, this is a  
7 study published this year in the New England Journal of  
8 Medicine. It looked at patients who had hepatitis C or  
9 hepatitis B or neither, who got superinfected who got, in  
10 addition, hepatitis A. And 6/17 hepatitis C-infected  
11 patients died when they got a hepatitis A superinfection;  
12 the 7th patient got fulminant hepatitis but, luckily, lived.  
13 Interestingly, none of the 10 with hepatitis B had this  
14 problem and none of the 191 others who just got hepatitis A  
15 alone.

16 So, hepatitis A on top of your hepatitis C can be very  
17 bad, so much so that I know in California the recommendation  
18 just came out, in January of this year, that if you are a  
19 recipient of clotting factor concentrates you should have  
20 the hepatitis B vaccine, and if you are a recipient of  
21 clotting factor concentrates you should receive the  
22 hepatitis A vaccine.

23 [Slide]

24 What about his new virus. The Japanese just described  
25 a novel DNA virus, called TTV, with elevated transaminase

1 levels in post-transfusion hepatitis of unknown etiology.  
2 They were looking for this. They looked at cases of non-B,  
3 C, D, E, G that had all the clinical findings of hepatitis  
4 post transfusion, and they wanted to find out if there was a  
5 new virus, and they found it.

6 [Slide]

7 I want to focus on only a couple of things. One, they  
8 could find it in some of the donors and, most importantly,  
9 it is a non-enveloped virus. So, this virus, if it is in a  
10 pool, will not be inactivated by the solvent detergent  
11 process. So we have at least one X virus, one more  
12 hepatitis virus, which could get in this pool and, if it  
13 did, it is going to be magnified by 2500 times.

14 [Slide]

15 Once again, solvent detergent plasma, yes, it is great.  
16 It has a wonderful benefit. It inactivates envelope viruses  
17 in the pool even if the pool tests negative.

18 But I want to focus on only two of the negatives. One  
19 is of the downsides is there is only going to be a single  
20 processing facility in the United States. If anything goes  
21 wrong, if anything happens to that facility we are out of  
22 business. We can go back to what we already have, of  
23 course, which I think is pretty good, which is fresh frozen  
24 plasma.

25 The second point is that there are new risks. You have

1 the large pool size. You have non-enveloped viruses, and  
2 whatever that risk of a single unit is, it is going to be  
3 magnified 2500 times.

4 In addition, as Dr. Epstein said yesterday, this thing  
5 has to work perfectly, and it has to work perfectly every  
6 time. That solvent, that detergent and the extraction  
7 process, they are all toxic materials and they all have to  
8 be removed otherwise that could have an impact on patients.

9 [Slide]

10 What am I proposing? It is something pretty simple.  
11 We have something called single donor, fresh frozen plasma  
12 donor retested. You should have received in your handout  
13 the elements needed for an FDA license submission, and we  
14 have actually submitted for a license.

15 You set up your criteria, and the main criterion is it  
16 is fresh frozen plasma, except we are saying it has to be  
17 held for at least 90 days and the donor has to be retested.

18 We have modified the package insert to say here is  
19 another product. It has all the same attributes of fresh  
20 frozen plasma; it is used the same way; everything is the  
21 same, except that it has been retested. The attributes I  
22 have given you, the description for its use are the same.  
23 You have to set up some kind of a computer software or other  
24 control mechanism to make sure that you get those donors  
25 back and you don't release it, and you don't label it as

1 donor retested until you have gotten the donor back at least  
2 90 days later. We have developed in the label a new bar  
3 code.

4 [Slide]

5 Finally, I want you to know I believe that both these  
6 components are very safe. Believe me. But I want you to  
7 know that both single donor, fresh frozen plasma donor  
8 retested and pooled solvent detergent frozen plasma, I  
9 believe, are really only temporary components. As soon as  
10 we have nucleic acid genome amplification testing in place  
11 for all of our components and/or we have more effective  
12 panmicrobial inactivation processes in place, which can be  
13 applied to all of our components, including the cellular  
14 ones -- and an example I can give you is psoralen  
15 ultraviolet treated -- we won't need either of these because  
16 between this and/or this we should have essentially -- it  
17 will probably never be 100% safe but we will have  
18 essentially 100% safety for all blood components as  
19 possible. Thank you very much for your attention.

20 DR. HOLLINGER: Thank you.

21 DR. HOLLAND: I believe Dr. Katz is now going to do the  
22 second part of this as far as a narrative, but I am happy to  
23 answer any questions first.

24 DR. TABOR: I think it is important to say that the TTV  
25 virus reported by the Japanese in VBRC, in late 1997 and at

1 a meeting in California, in January, has not been shown to  
2 be transmitted by transfusion so far. It is true that they  
3 did find it in a number of patients with non-A to G  
4 hepatitis, but they also found it in such a large number of  
5 normal in Japan that there is a very good possibility that  
6 it will turn out to be a virus that is just present i plasma  
7 throughout the population. Other investigators have not  
8 found it in American blood donors. So, while it is a  
9 concern and bears watching, it is not a cause for alarm  
10 immediately.

11 DR. HOLLAND: I wouldn't disagree with you. I think we  
12 need to know more about it. My point is that it is a non-  
13 envelope virus. It appears to be in patients with fulminant  
14 hepatitis and in patients with non-A, C, B, E hepatitis, and  
15 I don't think we can disregard it.

16 DR. TABOR: I just think that for the record we ought  
17 to recognize that this is not yet clearly a hepatitis virus,  
18 and it has not been shown to be transmitted through  
19 transfusion so far.

20 DR. HOLLAND: Not so far, I agree.

21 DR. HOLLINGER: Paul, on the co-infection, weren't most  
22 of those patients who died of fulminant hepatitis ones that  
23 had significant hepatitis C infection?

24 DR. HOLLAND: I am not quite so clear. The article was  
25 published in January, in the New England Journal of

1 Medicine. It really just looked at all of their patients  
2 and then looked at how many had, in addition, superinfection  
3 hepatitis A. I don't think they exactly split it out.

4 The point is that even if it is so, if you have  
5 hepatitis C and you have hepatitis C, and you get hepatitis  
6 A on top of it, then your chances are 1/3 of dying. That is  
7 important.

8 DR. HOLLINGER: Yes, but I think in reality the issue  
9 would be that that patient would have to get that very low  
10 level of hepatitis A in the plasma.

11 The other question is, I think it is fair to say that  
12 DNA is not necessarily synonymous with infection. The  
13 finding of parvovirus B19 DNA does not necessarily mean that  
14 the product is infectious. Would you agree with that or not  
15 agree with that?

16 DR. HOLLAND: I would agree. Again, there is always  
17 the same question. When you have nucleic acid there, does  
18 it mean infection or not, and it isn't always clear.  
19 Certainly, in some of the case reports they have been able  
20 to demonstrate that nucleic acid was there and was  
21 infectious, but it is not really clear. I would agree.

22 DR. HOLLINGER: But if the virus is there and it is  
23 neutralized, still the nucleic acid is going to come up to  
24 be positive.

25 DR. HOLLAND: Right, but I think it is interesting, as

1 I have shown you from at least one and I am sure there are  
2 additional examples, you can have the virus there and the  
3 antibody and it is infectious. It is neutralizing antibody  
4 that we are apparently measuring.

5 DR. HOLLINGER: Thank you. Dr. Katz?

6 **Presentation**

7 DR. KATZ: Thanks for doing all the hard work, Paul. I  
8 am here,, in fact, representing ABC and reading a statement,  
9 that the committee has been provided with, that represents  
10 the position of our organization.

11 I want to thank the committee for the opportunity to  
12 present ABC's views today. ABC, as some of you know, is a  
13 voluntary association of now apparently 71 independent  
14 community blood centers --

15 [Laughter]

16 Our members draw, process and distribute almost half of  
17 the volunteer blood supply in this country, and we are  
18 committed to improving transfusion safety by any measures  
19 required.

20 Solvent detergent plasma represents an advance for  
21 preventing the transmission of enveloped viruses to  
22 recipients of FFP. However, its aggregate benefits may be  
23 more apparent than real and, accordingly, we believe that  
24 innovative alternatives are highly desirable.

25 The large majority of plasma recipients receive other

1 cellular blood products, red cells and platelets, that  
2 cannot be subjected to viral inactivation procedures at this  
3 time. The potential value of SDP, therefore, is mitigated  
4 in proportion to the number of other products transfused.  
5 In a study presented at the 1997 AABB annual meeting, Dr.  
6 Shimits and Yomtovian, from the University Hospitals in  
7 Cleveland, demonstrated that in their institution 97% of FFP  
8 recipients, potential candidates for SDP, received a mean of  
9 19.5 other components. Conversely, only 3% of patients  
10 received FFP exclusively. These patients received only  
11 approximately 5.5% of the FFP transfused.

12 SDP, solvent detergent plasma, is a pooled product that  
13 will be manufactured from approximately 2500 pooled  
14 individual donations. The SD process is unarguably highly  
15 active against the lipid-enveloped viruses of recognized  
16 significance for transfusion medicine today. It does not,  
17 however, as you have heard, inactivate non-enveloped viruses  
18 like HAV and perhaps parvovirus B19.

19 SD-treated Factor VIII concentrates in Europe have  
20 transmitted hepatitis A, a non-envelope virus, to hemophilia  
21 A patients and, while HAV is thought of as a low virulence  
22 pathogen, the recent report of high mortality from acute  
23 hepatic necrosis with high mortality during acute HAV  
24 superinfection of patients with chronic HCV should give us  
25 all pause. HAV may be a model for novel agents not

1 currently recognized as transfusable pathogens. Trepidation  
2 about pooling must be particularly acute when a pooled  
3 product, SDP, is advocated as a replacement for a single  
4 donor product, FFP, which we all agree represents a fairly  
5 safe product in 1998.

6 With some trepidation, I will quote Dr. Harvey Alter,  
7 at the AABB annual meeting: "The risk of pooling is greater  
8 than the risk of the infection solvent detergent technology  
9 aims to prevent. Viral inactivation has great potential,  
10 but it should not be at the expense of converting single  
11 units to pooled products." It is an important perspective  
12 that today the FDA has not suggested that the potential  
13 advantages of SD plasma are sufficient to warrant removal of  
14 standard FFP from distribution.

15 The ABC and others are actively pursuing technologies  
16 to allow viral inactivation of single donor products,  
17 obviating the need for pooling. These include photodynamic  
18 inactivation using methylene blue and psoralens with UV  
19 radiation. In addition, as donor testing with gene  
20 amplification technology is brought on-line in blood centers  
21 during the next one to two years, the advantages afforded by  
22 SDP for preventing transmission of enveloped viruses will  
23 further erode.

24 Arguments based on cost-benefit calculations have not  
25 been afforded high priority in the discussion of blood

1 safety initiatives in this forum, and certainly should not  
2 influence decisions by FDA regarding licensure of individual  
3 products, like SDP or fresh frozen plasma, donor retested.  
4 They can, however, provide a very informative frame of  
5 reference. Aubuchon and Birkmeyer, in 1994, published an  
6 analysis of the use of SD plasma, suggesting that the  
7 marginal cost-benefit of the use of this product was  
8 \$289,000 per quality adjusted life year saved. Most  
9 medically accepted interventions fall in the \$50,000 range  
10 or below. The critical parts of any cost-benefit analysis  
11 are the baseline assumptions. In the cited article the  
12 assumed rates of HCV and HIV transmission were roughly 10-  
13 and 3-fold higher respectively than current estimates from  
14 the NHLBI Retrovirus Epidemiology in Donors Study, the REDS  
15 study. The assumed marginal cost of SDP over FFP was \$20,  
16 while in Europe SDP is currently sold for 1.5 to 2 times the  
17 price of FFP in this country, for example, \$92 per unit in  
18 Austria. Accordingly, the \$289,000 estimate is undoubtedly  
19 a several fold underestimate of the actual cost per QALY in  
20 the use of SDP over FFP in 1998.

21 Finally, the cost-benefit analysis concluded that at a  
22 risk of 1 in 71 million for a hypothetical non-enveloped  
23 pathogen, with 50% infectivity and a 50% reduction in life  
24 expectancy, contamination a 4000 unit donor pool, any  
25 benefits of SDP over FFP for preventing HCV, HBV and HIV

1 would be lost.

2 An alternative to SDP is fresh frozen plasma, donor  
3 retested, which Dr. Holland has so nicely described. The  
4 concept is simple. A volunteer donor presents, donates, and  
5 is made from that donation. This component enters frozen  
6 quarantine for a minimum period exceeding the infectious  
7 seronegative window period for relevant transfusable  
8 viruses, and until the donor returns, is fully qualified and  
9 retested for incident infection. The initial unit of FFP-DR  
10 is released only when repeat testing and screening beyond  
11 the window estimates is negative, minimizing the risk of  
12 recognized transfusion transmissible infections.

13 Another advantage of FFP-DR that is obvious is that it  
14 is unpooled product which can expose only a single recipient  
15 to an unrecognized transfusion pathogen.

16 In addition, the cost of FFP-DR should be substantially  
17 lower since it needs no further manufacture, and need not  
18 leave the center where it is produced, incurring  
19 transportation costs. ABC member centers have been  
20 developing standard operating procedures and data management  
21 programs to support the production of FFP-DR as an  
22 alternative to SDP with its potential disadvantages. One  
23 such center's application for its licensure, in part, leads  
24 to your deliberations today.

25 With REDS estimates of infectious, seronegative window

1 durations for HIV -- let's see if that makes it easier for  
2 me to read.

3 DR. HOLLINGER: Yes. While we are waiting, let me ask  
4 the presenters, we have heard a lot of these numbers time  
5 and time again here. Let's not go over this again --

6 DR. HOLLAND: Yes, I am going to skip the numbers.

7 DR. HOLLINGER: -- so we can move forward because we  
8 have a lot of things still to do and a lot of things to talk  
9 about. So, keep is succinct. Let's hear the points and go  
10 from there.

11 DR. HOLLAND: You have heard the REDS estimates of the  
12 window period donation, and in light of the estimates that  
13 you have been provided, ABC would support a minimum  
14 quarantine duration of greater than or equal to 90 days for  
15 FFP-DR. Logistic considerations and the outdate for FFP at  
16 one year suggest that quarantine durations beyond 120 days  
17 would be difficult to implement. This is based on initial  
18 modeling by Dr. Jane Manitol at the Community Blood Center  
19 of Kansas City.

20 You have heard REDS data documenting current window  
21 period estimates, and the profound impact of repeat conation  
22 on the incidence of new infection of blood donors with known  
23 transfusion transmissible virus. Production of FFP-DR from  
24 necessarily repeat donors is an important assurance that it  
25 safe. One can argue from the REDS data that merely

1 producing FFP without a quarantine period, from greater than  
2 or equal to 3 time donors, will provide a substantial margin  
3 of safety to balance the viral inactivation process used for  
4 SDP when the risk of pooling is considered. My center and  
5 others are actually investigating this second alternative to  
6 SDP.

7 I am very excited that SD plasma is only the beginning  
8 of an era of increasing safety for plasma products. It  
9 starts with a virally inactivated but pooled product. It  
10 should be accompanied by the single donor alternative, FFP-  
11 DR, and by other creative approaches like the pedigreed  
12 donor, plasma screened for infectious agents by molecular  
13 technologies, and single unit viral inactivation  
14 technologies which are further in the future. Thank you for  
15 your attention.

16 DR. HOLLINGER: The next presenter is Michael Busch. I  
17 can take one more window period, Mike!

18 [Laughter]

19 **Presentation**

20 DR. BUSCH: We have a window for TTV! Just one quick  
21 comment on Dr. Horowitz's presentation. He added these two  
22 window periods, and that is incorrect. The overall exposure  
23 to seroconversion window period is inclusive of the latter  
24 portion of that, which is the infectious window period. So,  
25 it was inappropriate to add those two window periods.

1 [Slide]

2 I thin what we have heard in the last couple of days is  
3 that the risk of blood is exceedingly low, and what we are  
4 dealing with now is people, I think, pushing the envelope of  
5 risk to a level that obviously is measurement, and perhaps  
6 is beyond reason when we are dealing with the potential  
7 downside of some of these measures, not to mention the  
8 extraordinary cost ineffectiveness of these measures.

9 The pending licensure of SD FFP has led to the concern  
10 that there will be widespread pressure, for various reasons,  
11 to implement SD FFP and potentially replace available  
12 standard FFP with SD FFP. That concern, and a variety of  
13 industry issues, has led to the concept of collecting FFP  
14 and requiring that a donor return over a period of time and  
15 test negative at a subsequent time point, this so-called  
16 donor retested.

17 That is extraordinarily logistically difficult to do,  
18 and it is going to be extraordinarily expensive. It won't  
19 be money that the blood centers pay per se to the vendor,  
20 but it will be money that will be required to put into place  
21 the freezer inventory systems, the computer programs to  
22 monitor this, etc., and considering this, I don't think,  
23 personally, any of this is worthwhile.

24 One alternative approach that we considered was the  
25 potential that FFP -- again, one point I think was made is

1 that virtually all people exposed to FFP are concurrently  
2 getting cellular components which carry with them the risk  
3 estimates that we have been discussing, and reducing the  
4 fractional risk of one component that is often a minor  
5 subset of the total exposure is debatably useful. But one  
6 alternative to try to make this frozen component a safer  
7 component that the current standard FFP, rather than trying  
8 to inactivate the virus or, in essence, eliminate the window  
9 period through interdiction of seroconverting donor prior  
10 donations through inventory hold or quarantine, would be to  
11 selectively make FFP from a safer subset of our donors.

12 There is a variety of characteristics that we know  
13 identify safer donors, demographic characteristics, etc.,  
14 but one that is feasible and could be implemented, I think,  
15 with fairly minimal effort is to selectively prepare fresh  
16 frozen plasma components from multiple time repeat donors.  
17 I think included in your materials that were distributed  
18 there is a brief analysis of this, with fairly extensive  
19 tables. I am just going to show three tables that summarize  
20 the bottom line of this analysis.

21 [Slide]

22 What we looked at in the REDS study data set was the  
23 distribution of donations by frequency of donations among  
24 donors, and then the relative incidence for the various  
25 infections, given a history of donation patterns.

1           We looked at three different breakouts of the donor  
2 base. We looked at the cumulative number of donations that  
3 donors had given; the period of time that a donor had been  
4 donating, two years or less than two years; and the rate of  
5 blood donations, 3 or more donations per year, 1-3 or 1 or  
6 less per year.

7           Important from the practical perspective here is how  
8 far down the line of frequency of donations could we set a  
9 standard that would still allow us to obtain enough plasma  
10 to support the needs of our donors.

11           I will present only the data on number of donations  
12 because as the analysis that you received summarizes, there  
13 is lower risk associated with donors who have been giving  
14 for longer periods of time and with donors who give more  
15 frequently. But in a multivariate analysis the absolute  
16 cumulative number of donations is the only independent  
17 predictor of lower incidence, and it is also practically a  
18 much simpler parameter to track.

19           The bottom line here is that although only 23% of our  
20 donors, for example, give 6 or more donations, those donors,  
21 by virtue of giving regularly, account for approximately 50%  
22 of all blood. The group that gives 4-5 units account for  
23 21%. So just drawing a line here, requiring a history of  
24 greater than 3 donations, allows us to actually capture 80%,  
25 of the that are donations, to meet that criterion. As we

1 will see, if we draw a line here we are really at an  
2 extremely low incidence level.

3 [Slide]

4 So on this slide we will look at the incidence rates  
5 for the various viruses relative to these number of  
6 donations. The bottom line here is that for each virus,  
7 HCV, HIV and HTLV -- HBsAg is a little complex here because  
8 you are dealing with a marker that is transient, and the  
9 more frequently you give, actually, the more likely you are  
10 to pick up a person in the transient antigenemic window.  
11 So, I won't focus on that, although I am sure the risk  
12 issues bear true.

13 If you simply look at this column, here, which is the  
14 incidence rate, among repeat donors, if they have given only  
15 2 donations, the incidence for HIV is 13.6 per 100,000. It  
16 drops to 3.6 with 3, and then drops and stabilizes with 1.6  
17 beyond 4 donations. HIV, 9 to 3.5, to 2.1 and essentially  
18 again reaches an asymptotic level beyond 4, and HTLV drops  
19 from about 4 to a little less than 2. Each of these are  
20 highly significant correlations of lower incidence with more  
21 frequent history of donations.

22 [Slide]

23 What I have done on this slide is to actually calculate  
24 out what the comparison would be were we to exclusively  
25 collect FFP from greater than 4 time donors, versus an

1 estimated current composite donor incidence rate for these  
2 viruses. We know the incidence rate among our repeat donors  
3 overall and, actually, it is not as high as those numbers  
4 that were shown for the 2 time donors because a high  
5 proportion from the donations from repeat donors are coming  
6 from these very frequent donors. So, the overall incidence  
7 among repeat donors is shown here for each virus.

8       What we did, as Sue Stramer showed yesterday, was we  
9 used the relate incidence rate among first-time to repeat  
10 donors for HIV, which is measured using the "detuned" assay  
11 as a 2.4-fold increased risk to estimate the incidence among  
12 first-time donors to repeat donors, and then just basically  
13 represented the appropriate proportion of first-time to  
14 repeat donations to derive an estimated composite incidence  
15 for each virus, and then compare with that -- this is, in  
16 essence, the current incidence rate that applies to our  
17 standard FFP products. This would be the reduction in  
18 incidence rate that would be achieved if we were to  
19 exclusively collect FFP from multiple time repeat donors, in  
20 essence reducing the risk to about a third of the current  
21 level.

22       Clearly, none of these measures are absolute. This is  
23 one approach that I think would be extremely simple to  
24 implement, essentially zero cost, and in my opinion would  
25 take us to a safer product. I think the real concern here,

1 and my personal concern, is that FDA, or through industry  
2 competitive issues, moves to exclusively allow these safer,  
3 much more expensive products to be available, i.e., that  
4 they will delicense or disallow distribution of standard  
5 FFP, which is an extraordinarily safe and cost effective  
6 product. I think this approach would give us safer, but  
7 obviously probably not to the level of those others, but one  
8 that is practical. Thank you.

9 DR. HOLLINGER: Thank you, Michael. Girish Vyas has  
10 asked to present some information on hepatitis B immune  
11 globulin.

#### 12 Presentation

13 DR. VYAS: Girish Vyas, from University of California,  
14 San Francisco. I will be very brief. Can I have the first  
15 slide, please?

16 [Slide]

17 Liver transplants are done on 4000 patients per year in  
18 the United States, and 5-10% of them are transplanted for  
19 hepatitis B virus infection. The graph gets infected almost  
20 100% of the time, and one-year survival without any  
21 intervention is only 50%. Each liver transplant costs about  
22 \$260,000. So, loss of the graph and the patients, both are  
23 major concerns.

24 [Slide]

25 A group in Europe has actually done a study to show

1 that hepatitis B immune globulin, or HBIG, which is not  
2 licensed for intravenous administration but is an  
3 intramuscular product, and is actually diluted and given  
4 intravenously in a large dose, 40 mL. Administering 10,000  
5 IU per dose, the patients get 8 doses in the first week of  
6 liver transplant and then every month for an indefinite  
7 period of time. For as long as five years, hepatitis B  
8 immune globulin has been shown to be effective and the  
9 patient survival, as opposed to no treatment -- I am sorry,  
10 19% patients die despite therapy, and without therapy or no  
11 treatment virtually 80% of the patients would die. The cost  
12 of hepatitis B immune globulin, the intramuscular product as  
13 it is being used today is \$30,000 per year per patient.

14 What we are proposing to do is to have single donor  
15 FFP, donor retested product, prepared uniquely from a group  
16 of no more than 50 donors who are regularly coming to the  
17 blood bank for donation of their platelets. So, plasma  
18 would be derived from these individuals after they have been  
19 vaccinated with hepatitis B surface antigen. Hyperimmunized  
20 donors are screened, and then ascertain that they have high  
21 enough antibody titer to qualify at a set level of 10,000 IU  
22 per 80-90 mL unit of plasma for transfusion. This is a  
23 study that we are planning to do over the next five-year  
24 period.

25 The pilot study that has been done, and the study that

1 is done in Geneva, show that the product is safe, effective,  
2 and the failure rate in the Geneva study has been 8%, 1/12  
3 patients was lost in a 3.5-year follow up.

4 So, we are proposing to undertake a study for which we  
5 have an IND from FDA for donor retested plasma from a small  
6 group of donors who are pre-immunized, and these immunized  
7 donors, whose platelets are being transfused every month so  
8 we have clinical safety already built into the algorithm of  
9 donor selection. Let me make it clear. These donors are  
10 monthly donating their platelets. Plasma is coincidentally  
11 derived in the machine, 400 mL, in addition to the  
12 platelets. Every month these donors' fresh frozen plasma is  
13 stacked away in the freezer in small units of 80-90 mL so  
14 that 10,000 IU can be given to liver transplant patients.  
15 This plasma is quarantined for 3 months until the donor is  
16 retested and ascertained to be negative for all markers.

17 We believe that this product will be very safe and very  
18 effective for management of liver transplant patients. This  
19 is a very small group of patients at a very high risk and,  
20 therefore, this unique management has been proposed as a  
21 research project.

22 DR. HOLLINGER: Mr. Lamb, from American Red Cross.

23 **Presentation**

24 MR. LAMB: Thank you, Mr. Chairman. My name is  
25 Christopher Lamb. I am the Vice-President of Plasma

1 Operations with the American Red Cross.

2 The American Red Cross recognizes that both delayed  
3 release plasma and solvent detergent-treated plasma offer  
4 incremental increases in product safety for existing FFP.  
5 Pro and con arguments for both delayed and SD plasma have  
6 been articulated, but the issue should not be one versus the  
7 other but, rather, that both options, if licensed by the  
8 FDA, should be considered appropriate alternatives, and  
9 clinicians should make a decision based on their assessment  
10 of which product offers superior safety benefits.

11 There are a number of issues that have been discussed  
12 today. I will just quickly review five of them. One is the  
13 issue of new agents that may emerge. Obviously, if there is  
14 a new non-envelope virus for which there are inadequate  
15 neutralizing antibodies, a case under certain circumstances  
16 could be made for the delayed release product. If, however,  
17 there is an emerging envelope virus, such as HIV in the  
18 early '80s which would be inactivated by SD, this would be a  
19 preferred product.

20 Yesterday you heard a lot of discussion about window  
21 periods for HCV, HIV and HBV, with a 90-day retest period  
22 delayed plasma reduces the potential for window period  
23 donations. With solvent detergent window period donations  
24 should not be an issue, assuming a well validated  
25 manufacturing process operating under cGMPs.

1           There is the issue of product quality. Delayed plasma  
2 is essentially the same as FFP with presumed levels of  
3 plasma proteins and normal coagulation factors. SD is a  
4 sterile filtered product with defined product specs for  
5 plasma proteins and coagulation factors. In addition,  
6 solvent detergent plasma contains no red blood cells, red  
7 blood cell fragments, white cells, white cell fragments,  
8 bacteria or parasites.

9           Fourthly, there is the issue relating to HAV or  
10 parvovirus. Concern has been expressed about the pool size  
11 for solvent detergent-treated plasma and the risk of HAV in  
12 parvovirus B19 infection for that product. Dr. Horowitz has  
13 reviewed the presence of neutralizing antibodies to both  
14 hepatitis A and parvovirus B19, and that a patient receiving  
15 50 mL/kg of SD plasma could receive approximately 30 times  
16 more anti-HAV antibody than would be received for HAV  
17 prophylaxis.

18           Finally the issue of logistics, as you heard yesterday  
19 we discussed about the logistics of quarantining or  
20 retesting plasma for fractionation. The logistics  
21 associated with retesting recovered plasma are extremely  
22 difficult, not only in terms when a donor might return, but  
23 in terms of tracking systems and other mechanisms needed to  
24 ensure an adequately controlled product. The logistics for  
25 SD plasma require pooling a maximum of 2500 units by blood

1 type from plasma collected less than 15 hours after  
2 phlebotomy.

3 The current U.S. market for FFP is approximately 2.4  
4 million units. Processing 2.4 million units of SD plasma is  
5 a manufacturing scale-up issue. Processing 2.4 million  
6 units of delayed plasma is much more challenging.

7 The American Red Cross recognizes the advantages of  
8 both single donor plasma, donor retested and solvent  
9 detergent-treated plasma, and what we would suggest is that  
10 both are considered superior to FFP.

11 I would note parenthetically that in many Western  
12 European countries the policy is to use either quarantined  
13 plasma or delayed release plasma or a viral inactivated  
14 product, such as methylene blue or solvent detergent. The  
15 United States should consider following a similar model.

16 Finally, let me add in closing that the American Red  
17 Cross has an agreement with VITEX, the manufacturer of SD  
18 plasma, to provide volunteer plasma to VITEX, and we will  
19 provide universal access to patients, all blood centers and  
20 hospitals for distribution throughout the United States and  
21 Canada. This is consistent with our mission to ensure the  
22 delivery of the safest plasma products to meet patient  
23 needs. Thank you, Mr. Chairman.

24 DR. HOLLINGER: Thank you. The final presenter is Dr.  
25 Kleinman, for the AABB.



1 transfusion services and other association members.

2       Just to review where AABB has been on this issue, there  
3 was an ad hoc committee formed to comment on solvent  
4 detergent-treated plasma, and the report from this  
5 committee, entitled current status of solvent detergent-  
6 treated frozen plasma, was published in Transfusion in  
7 January of this year, volume XXXVIII. This article is, I  
8 guess, a scientific reference article that includes a  
9 thorough discussion of issues related to safety and  
10 availability, and a reprint of the article has been supplied  
11 for BPAC.

12       So, at the current time, AABB does not have a position  
13 on the relative safety of solvent detergent plasma versus  
14 single donor plasma, donor retested. We would like to  
15 remind the committee that plasma for transfusion as  
16 currently produced, that is, single donor FFP, is already a  
17 very safe biologic. The risk of transfusion-transmitted  
18 viral infection by standardly produced FFP is no higher than  
19 that for other routinely transfused single donor components,  
20 such as red cells and platelets, and because it is virtually  
21 cell free, FFP is not associated with the transmission of  
22 agents exclusive to white cells, such as HTLV-1 or 2, or  
23 cytomegalovirus.

24       So the AABB is committed to reviewing these issues,  
25 trying to compare the products and submitting its findings

1 to BPAC in the future.

2 DR. HOLLINGER: Thank you, Steve. Before we close the  
3 public hearing, is there anyone that would like to make any  
4 statements? If not, then I would like to have the questions  
5 presented to the committee, and then we will open it up for  
6 discussion and recommendations.

7 **Presentation of Questions**

8 DR. SILVERMAN: Again, I have reversed the order of the  
9 questions. It strikes me that this is a more logical order.

10 Does the committee concur in principle with a true  
11 quarantine hold period for an infectivity window period?

12 The second question falling out of that, what does the  
13 committee recommend, if anything at this time, as the  
14 minimum quarantine period for the release of previously  
15 donated units of fresh frozen plasma?

16 Once you have discussed this, then the next question,  
17 does the committee agree or does the committee think that  
18 pooled plasma, solvent detergent treated, and fresh frozen  
19 plasma, donor retested, are acceptable alternatives for  
20 those indications held in common, or to be held in common  
21 upon licensure between the two products?

22 **Open Committee Discussion and Recommendations**

23 DR. HOLLINGER: We will open it up for discussion by  
24 the committee members at this point. You can ask for  
25 clarifications from people who have presented before. Paul?

1 DR. MCCURDY: It is my recollection that yesterday a  
2 quarantine with retesting was suggested as the death knell  
3 of recovered plasma. Yet, today we are being told that  
4 essentially fresh frozen plasma, donor retested, which  
5 sounds to me like a quarantine hold retest, is something  
6 that is very desirable. I wonder if the difference between  
7 those two views might be reconciled by the proponents of the  
8 fresh frozen plasma retested.

9 DR. HOLLINGER: Does anyone want to respond that talked  
10 about this yesterday, particularly from perhaps the American  
11 Red Cross or one of the other organizations as to why there  
12 might be this perception of discrepancy?

13 DR. HOLLAND: Paul, there are two different things.  
14 What we are proposing is a single donor, fresh frozen  
15 plasma, donor retested which is to be used as it is. We  
16 believe for the needs of our community -- and we actually  
17 did this two years ago -- we could supply the community with  
18 this product.

19 Now, the recovered plasma issue is totally different.  
20 That material will go into some kind of further processing,  
21 microbial, viral inactivation. So I think they are really  
22 two different things. The fresh frozen plasma we are  
23 talking about is being used as it is, except after the  
24 quarantine period. It is not going to undergo any further  
25 inactivation. But recovered plasma, which goes into

1 derivatives, is going to undergo one or more viral or other  
2 microbial inactivation procedures. So, they really are  
3 different, and you have that safeguard on the ladder of  
4 these additional processes to kill any viruses that are in  
5 there.

6 DR. MCCURDY: It seems to me, however, that the  
7 logistics of the two are somewhat similar.

8 DR. KLEINMAN: Well, I think one difference is that FFP  
9 for transfusion probably constitutes only about 20% of the  
10 plasma collected by blood centers. The other 80% goes into  
11 recovered plasma. So, it may be possible to get 20% of the  
12 donors to come back and to have follow-up testing at 90  
13 days. I think it would be clearly impossible to get 100% of  
14 the donors to come back -- we know it is impossible, and be  
15 able to release the product. So, I think what you might see  
16 is whereas you could meet the supply of transfusable product  
17 through this proposal of donor retested, much of the plasma  
18 that goes into the recovered plasma market would have to be  
19 not utilized, and so you would have a real decrease in the  
20 amount of derivative available. So, I think it is just a  
21 question of the percentage of donors that you need to meet  
22 this market versus the recovered plasma derivative market.  
23 But the procedures are the same. I mean, the computer  
24 systems you have to have in place, etc., would be the same  
25 whether you applied it to 20% of the donors or 100%.

1 DR. KATZ: Just to make it a little bit more graphic,  
2 to do FFP-DR at my blood center, we need approximately a 50%  
3 increase in our freezer space. To do recovered plasma  
4 quarantine, we need 5 or 6 times as much, at 5 or 6 times  
5 the expense, with a substantial plurality at least of the  
6 product that goes into storage for the recovered quarantine  
7 being discarded because the donor didn't come back in that  
8 time frame.

9 MR. LAMB: As I mentioned in my remarks, I think it  
10 would be very challenging and unrealistic to assume that you  
11 could supply 2.4 million units of delayed release plasma to  
12 meet the U.S. market. So, while I think this can provide an  
13 option, I think it is something that is relatively limited  
14 in what it can do. That is why, from the American Red Cross  
15 perspective, we have opted to work with VITEX. We think  
16 this is logistically much easier to scale up and provide  
17 universal availability of this product to meet the U.S.  
18 need.

19 DR. HOLLINGER: Thank you. Any thoughts on the  
20 committee on the question about quarantine hold? Can there  
21 be in principle a true quarantine hold based on the window  
22 period that one is seeing and the ranges? There is concern  
23 right now that there is not any genomic amplification  
24 technology being used. Yes, Dr. Buchholz?

25 DR. BUCHHOLZ: Since the question, as I understand it

1 is really out of order, would it be appropriate to modify  
2 the question somewhat to identify what product we are  
3 talking about?

4 DR. HOLLINGER: We are talking about fresh frozen  
5 plasma.

6 DR. BUCHHOLZ: For consistency's sake?

7 DR. HOLLINGER: Yes, I think here they are talking  
8 about fresh frozen plasma.

9 DR. BUCHHOLZ: I understand that, but if the questions  
10 are presented in the transcript in this order, that may not  
11 be totally clear.

12 DR. SILVERMAN: I would like to clarify. This is with  
13 regard to fresh frozen plasma, donor retested.

14 DR. HOLLINGER: Who else would like to comment?  
15 Anyone? You don't have any comments? Let's then put it up  
16 for a vote. Does the committee concur in principle with a  
17 true quarantine hold for an infectivity window period? If  
18 you answer yes to this, then the question, I presume, would  
19 be, what would they recommend as a minimum quarantine period  
20 for release of previously donated units of fresh frozen  
21 plasma? So, the first part of it then has to do with does  
22 the committee concern in principle with a true quarantine  
23 hold for an infectivity window period?

24 All those that are in agreement with the question,  
25 raise your hand.

1 [Show of hands]

2 All those opposed?

3 [No response]

4 Abstaining?

5 [No response]

6 Dr. Buchholz?

7 DR. BUCHHOLZ: Concur.

8 DR. SMALLWOOD: The results of voting for the first  
9 part of the question, does the committee concur in principle  
10 with the true quarantine hold for the infectivity window  
11 period, and this is for fresh frozen plasma, donor retested  
12 --

13 DR. HOLLINGER: Delayed release.

14 DR. SMALLWOOD: The results of the voting, 12 yes  
15 votes. There were no no votes; no abstentions. The  
16 industry representative agreed with the yes votes.

17 DR. HOLLINGER: The second part of the question has to  
18 do with the minimum quarantine period for release of  
19 previously donated units of fresh frozen plasma. Comments?  
20 We have to have some time -- 90 days. This is not a yes or  
21 no question.

22 DR. BUCHHOLZ: Could I just be clear that this is being  
23 presented as an option, and not as this will be mandated,  
24 which I think might be an important distinction to make.

25 DR. HOLLINGER: So, some discussion. I think we

1 recognize that on several window periods we have seen there  
2 is a certain percentage of window period samples that will  
3 be beyond that period of time, a small percentage, perhaps  
4 5%, less than that, but a certain small percentage. Yes,  
5 Dr. Mitchell?

6 DR. MITCHELL: Yes, I would agree with the 90-day  
7 proposal. Although there is a small percentage of samples  
8 that would convert past the 90-day period, I think that from  
9 the presentations yesterday about the shorter window period  
10 or the period to infectivity, it appears to be much shorter,  
11 and even those that become positive, test positive later, it  
12 appears that the period of infectivity is closer to the time  
13 of testing positive. So, therefore, the window period  
14 would, in fact, be shorter. So, I would feel very  
15 comfortable in supporting a 90-day period.

16 DR. KOERPER: Let e be sure I am clear on this. This  
17 is a minimum hold of 90 days. So, if the donor returns in  
18 60 days, that doesn't count. If a donor returns any time  
19 after 90 days and is retested, then his unit could be  
20 released. He may not return until 240 days, in which case  
21 the plasma could potentially be held that long and then be  
22 tested and released. So, we are talking about a minimum  
23 hold of 90 days, not a maximum hold. So, I would concur  
24 with the 90 days.

25 DR. MCCURDY: I wonder if you might want to phrase it

1 as some confidence limit of the best estimate of the window  
2 period as of the present time. Right now, I think 90 days  
3 is reasonably close to that but I think within a year  
4 perhaps there will be pooled testing for hepatitis C RNA,  
5 which is the longest of the bunch, and the 90% confidence  
6 limits might go down, and at some time after that there is  
7 likely to be single donor unit testing using automated  
8 systems of one sort or another, and that might further drop  
9 the window period. If you put it in terms of confidence  
10 limits of the best current estimate, I think the FDA and  
11 their biostatisticians probably can look at the data and  
12 come up with an acceptable change if, indeed, it seems  
13 right.

14 MR. DUBIN: I agree with Dr. McCurdy. It builds in  
15 flexibility. It is the best estimate that we have seen and  
16 heard over recent days, and it also gives FDA staff the  
17 opportunity to be flexible with it. My kind of last comment  
18 is echoing your comment about we have seen enough windows.  
19 It is interesting to see the plasma wars play our right  
20 here.

21 DR. HOLLINGER: Do we have any information about the  
22 samples that had a delayed -- I mean, often a delayed  
23 seroconversion is related to the volume or the concentration  
24 of the virus the person gets in the first place, but there  
25 could be other things in other units that might make a

1 difference to that. But do we have any information about  
2 the concentration of virus that is reached in those  
3 individuals who have a delayed onset? Some had occurred  
4 after 90 days. What has been the pattern in those two  
5 patients particularly? What was the pattern of HCV RNA that  
6 developed? Was it slowly developed or rapidly developed?  
7 Did it go to the same levels, or what?

8 DR. BUSCH: Well, there is HCV and there is HIV. With  
9 HCV, the evidence, interestingly, is quite consistence  
10 relative to whether a person acquired HCV from blood  
11 transfusion or community acquired sources. In talking to  
12 Dr. Prince, at New York Blood Center, apparently animal data  
13 showed that the inoculum size has nothing to do with the  
14 incubation period. In other words, the seroconverting  
15 plasma donors who were probably infected by standard  
16 community routes, as well as transfusion recipients, we had  
17 virtually an identical period of high titer viremia. So,  
18 with HCV it seems to be much more consistent. It was about  
19 a week or two weeks post exposure. There was nasty, high  
20 level viremia that persisted at very high levels throughout  
21 that one-month-plus, probably 40-day high titer viremia  
22 phase prior to antibody. Also, the distribution from time  
23 to exposure to seroconversion was much more consistent for  
24 HCV than for HIV.

25 It was HIV where we had this delay tail in those two

1 delayed cases of seroconversion in the healthcare workers.  
2 Again, in those cases there were not samples available prior  
3 to test back and determine how long these people were  
4 viremic at previous time points. Both those people did  
5 develop acute syndrome in the week before they were tested  
6 and seroconverted. In other studies the acute syndrome is  
7 associated with this burst of viremia. In two published  
8 cases of similar delayed seroconversion, where they did have  
9 samples available, the individuals were only viremic  
10 immediately prior to seroconversion. So the delay seems to  
11 be not associated with persistent viremia.

12 DR. HOLLINGER: So, again, the patients that had a  
13 longer window period, the people who had window periods  
14 after the 90 days -- say, we were going to choose that --  
15 were there samples that were collected at the beginning in  
16 order to get that time, were they PCR positive --

17 DR. BUSCH: In the CDC study there are not samples.  
18 There are published reports of similar delayed  
19 seroconversions where samples were available, and all of the  
20 samples were negative by PCR until a several week period  
21 prior to seroconversion.

22 DR. HOLLINGER: So, these could actually be infections  
23 later on.

24 DR. BUSCH: Well, again, they were linked genetically  
25 to the source. It was a discrete needle stick. So, these

1 clearly were attributable to the exposure, but the suspicion  
2 is that they were not viremic until immediately prior to  
3 seroconversion. So, the virus was somehow being maintained  
4 in a very low level replication in the inoculation site or  
5 the draining lymphoid tissue, and they were almost certainly  
6 not infectious.

7 DR. HOLLINGER: But, of course, with sequences it  
8 depends on the genotype and other things.

9 DR. BUSCH: This is pretty rigorous. This is HIV  
10 envelope sequence --

11 DR. HOLLINGER: Okay. Thank you. That is helpful.  
12 Other questions regarding time periods?

13 DR. EPSTEIN: Blaine, if I could be permitted a  
14 comment? First, on this question we have chosen our words  
15 carefully. We said a quarantine hold for infectivity window  
16 period to address precisely the point that you are asking of  
17 Mike Busch, namely that the concern ought to be the time  
18 from infectivity to a marker.

19 Secondly, I think what would be helpful to the FDA is a  
20 sense from the committee whether it is enough to address a  
21 mean or a median window period as a basis for the  
22 quarantine, or whether we should be concerning ourselves  
23 with the upper confidence limits. If it is the upper  
24 confidence limits, is it 90% or 95% or 99%? Because, very  
25 clearly, there is a tradeoff here between approval criteria

1 which will make it practical to have these products linked  
2 to fairly short holds as opposed as a lack of practicality  
3 for very long holds. What we are playing with is a one-year  
4 dating, and that could be changed based on storage  
5 temperatures. But there fundamentally is this issue of  
6 longer periods providing increased confidence, but that  
7 these are marginal returns given the shape of the window  
8 period curve.

9         So, I think what we are really looking for is a sense  
10 of the committee, is a mean enough, or should we be looking  
11 at an upper confidence limit? And, if it is an upper  
12 confidence limit, where do we want to set ourselves? Is 90%  
13 adequate? And, that is against the background of what we  
14 feel is a reasonably safe product, namely FFP without a  
15 hold, and recognizing that no amount of hold is going to be  
16 perfect intervention. So, I think that is the framework in  
17 which Dr. Silverman presented. I think that is the context  
18 in which your remarks would be most helpful. You don't have  
19 to nail a date; you must have to give us a sense for what we  
20 are trying to achieve.

21         DR. MITCHELL: I believe, first of all, that this type  
22 of an approach, a quarantine and also selective donor, is  
23 the kind of thing to reduce the risk through selection of  
24 individuals who are at lower risk for having an infection in  
25 the first place. It is the type of an approach that should

1 be preferable.

2 I believe that the quarantine period should be an upper  
3 limit, probably in the range of 95-99% of the upper limit.  
4 I say that because I think it is important that we provide  
5 as much protection as possible. I don't think that 95-99%  
6 is unreasonable because it seemed to me from the  
7 presentations yesterday that most of the infectivity window  
8 period tends to be less than 60 days even for hepatitis C.  
9 So, I think that it would be reasonable for the 95-99%.

10 DR. STRONCEK: I think that if we are going to have a  
11 confidence interval that we will only catch half of the  
12 products it is probably not worth the trouble to do the  
13 quarantine. I think though that 90% would be adequate. I  
14 guess I heard Paul Holland -- I am not sure if I remember  
15 this right but I think Paul said that the 90-day quarantine  
16 that they are using in Sacramento would catch at least 90%  
17 of all window unit products, and I think it would be  
18 adequate.

19 I would be careful about arbitrarily saying 95 to 99.  
20 I would like to see that, but if that is going to create a  
21 situation where we have to hold plasma for 6 months and we  
22 only have 6 months to use it, we are not going to be able to  
23 have a practical system. So, I think 90% would be okay.  
24 But it might be nice if the FDA would come back with some  
25 modeling next time to show what each interval would mean as

1 far as a confidence interval hold on the plasma that would  
2 have to be held and what implication that would have for the  
3 supply.

4 DR. HOLLINGER: Do we have a confidence interval for  
5 HCV, not just the 90% you would capture but a confidence  
6 interval for that? Is Paul here? Well, anybody that would  
7 like to respond.

8 DR. HOLLAND: It depends on the virus, of course, and  
9 it depends upon the study. I think for HIV you have heard,  
10 at least for most of the cases except for the needle stick  
11 exposure, there were 2/50. So that exceeds your 95%  
12 confidence interval there.

13 For HCV, for the one series I showed you it was 94% for  
14 that series of 29. For Harvey Alter's series it would have  
15 been all of them when combined with ALT. So, that was 100%.

16 So it really depends upon the virus and what your  
17 criteria are. That is why I used the number of greater than  
18 90%, and, again to emphasize, we are saying a minimum of 90  
19 days. The odds are you are not going to get the donor back  
20 on the 90th day. The odds are you are going to start  
21 calling the donor on the 90th day and you will get him on  
22 the 100th day or the 120th day, or something like that. So,  
23 I really believe that it is sufficient to have the minimum  
24 90 days.

25 DR. MITCHELL: Could I ask a question? Is that the

1 infectivity period?

2 DR. HOLLAND: I based my whole thing on the total  
3 window. I believe the actual infectivity period is really  
4 less. So, I am taking a very conservative approach. I am  
5 saying the total window period, which includes that eclipse  
6 period which for hepatitis C is at least 2 or 3 weeks, for  
7 HIV even a greater proportion of the total window. So I  
8 think it is a very conservative way to look at that with the  
9 90 days.

10 DR. MITCHELL: Right, and that is why I am saying that  
11 if we have information about the 95% confidence interval for  
12 the infectivity period, I think that would be helpful.

13 DR. KLEINMAN: Just to echo what Paul has just said, it  
14 does depend on the virus. I guess Mike is going to show  
15 some HCV data which I think probably has the largest number  
16 of data points. But I would just like to mention HIV and  
17 hepatitis B. For HIV, the data on blood donors was derived  
18 in a way in which 95% confidence -- there are a number of  
19 statistical manipulations. I am not sure that you can  
20 directly calculate 95% confidence intervals, but clearly  
21 there are a lot of data points and the range is relatively  
22 narrow and, certainly, we know the infectivity period is not  
23 very long. So, i am sure 95% confidence would not exceed a  
24 matter of 30 or 40 days for infectivity.

25 But for hepatitis B there just isn't very much data. I

1 mean, the window period estimate of 56 days is based on 7  
2 cases. I think a 95% confidence interval is worthless  
3 there. So, I think you just have to be careful. If you  
4 attach for each virus confidence intervals, you have to  
5 recognize that there are some limitations for B.

6 DR. HOLLINGER: Thank you. Yes, Dr. Busch?

7 DR. BUSCH: I think with both HIV and HBV we have  
8 antigen assays, and the viremia prior to antigenemia is  
9 really quite brief, as we discussed yesterday. If you look  
10 not at the exposure data but at the extensive accruing work  
11 on duration of detectable viremia prior to antigenemia, it  
12 is really very consistent, very brief and diminishing in  
13 levels. With HCV, for which we don't have an antigen test,  
14 we have this very prolonged viremia.

15 [Slide]

16 This slide summarizes the distribution of time from  
17 transfusion to elevated ALT. We talked yesterday about the  
18 problem to seroconversion but we do ALT testing still. So,  
19 perhaps this is appropriate. This does include the exposure  
20 to seroconversion. So, you could probably subtract a couple  
21 of weeks from this because it takes a couple of weeks from  
22 exposure to viremia, and this is 113 cases so it is a pretty  
23 good population distribution.

24 You can see that at 90 days you are probably catching  
25 95% of these cases from exposure to elevated ALT. If you

1 were to back a couple of weeks from that for viremia, really  
2 I think you would be very safe.

3 DR. HOLLINGER: Thank you, Mike. Could you put up the  
4 question again, please? So, I suspect we could ask the  
5 question or at least take a vote on whether the committee  
6 recommends as a minimum quarantine period a level that  
7 exceeds 95% confidence intervals for the window period for a  
8 particular agent. We could start with something and have a  
9 vote on it, and see where we go. Does anybody want to make  
10 a comment first? Yes, Paul?

11 DR. MCCURDY: I still think that on the basis of those  
12 available data for hepatitis C, which has almost certainly  
13 the longest incubation period, you could say 95% confidence  
14 limits, if that is what the committee believes, and then let  
15 additional data with new tests come along that show that it  
16 is shorter and safer to do it for a shorter period, and we  
17 don't have to have this discussion again in a year.

18 [Laughter]

19 DR. HOLLINGER: All those in favor of that modification  
20 of the question, raise your hand.

21 [Show of hands]

22 All those opposed?

23 [No response]

24 Abstaining?

25 [No response]

1 Dr. Buchholz?

2 DR. BUCHHOLZ: Concur.

3 DR. SMALLWOOD: The question has been modified to  
4 identify a 95% confidence interval. The committee has voted  
5 unanimously to agree with this modification. There were 12  
6 yes votes; none no votes and no abstentions. The industry  
7 representative agreed with the yes votes.

8 DR. HOLLINGER: Okay, we will go to the next question,  
9 please. Does the committee agree that pooled plasma solvent  
10 detergent-treated and fresh frozen plasma, donor retested,  
11 are acceptable alternatives for those indications held in  
12 common, or to be held in common between the two products? I  
13 would like to open this up for discussion. Yes, Dr.  
14 Holmberg?

15 DR. HOLMBERG: Doe the VITEX people have any comments  
16 about the toxicity in pediatric patients?

17 DR. GROSSBERG: Howard Grossberg, with VITEX. In our  
18 clinical trials we have had about 20 kids treated -- I think  
19 there were 21 pediatric patients and 2 neonates -- no signs  
20 of toxicity; many of these patients were transfused multiple  
21 times. If we look at the European experience, in Norway,  
22 where solvent detergent is the only plasma available for  
23 transfusion. They have used in the pediatric cardiology  
24 service and there has been no evidence of any undue  
25 toxicity. We don't think there is any toxicity in

1 pediatrics or in massively transfused patients, which is the  
2 other 7 patients that we looked at. Of those patients  
3 getting more than 2 plasma volumes in exchange, there were  
4 100 such patients looked at in France, with no evidence of  
5 any toxicity. So, we are quite comfortable with the  
6 toxicity profile.

7 DR. MITCHELL: I also have a question, and you may have  
8 answered it. My question was how much of the solvent plasma  
9 remains in the solution after it has been treated?

10 DR. HOROWITZ: In anticipation of that question, I  
11 called our quality control people this morning. To date, 19  
12 lots have been made, fully passing all QC specifications.  
13 Our QC specifications for TMBP is 3 ppm and for and for  
14 TRITON N-100 is 3 ppm. The use concentration is 10,000 ppm.  
15 So it is well below the use concentration. Seventeen of the  
16 19 lots were undetectable with respect to both TMBP and  
17 TRITON. That means less than 2 ppm for TMBP and 1 ppm for  
18 TRITON, and 2 of the lots had 1 more ppm than that. So,  
19 17/19 were undetectable and each of 1 lots had 1 more ppm,  
20 just barely detectable.

21 DR. VYAS: On reflecting on the opinion of Stanley  
22 Prusiner that any pooled product has higher risk than single  
23 donor product, and when you prepare 2500 donor products, the  
24 risk is tremendously amplified for advantageous agents and  
25 well as for CJD, and I am quoting Stanley Prusiner.

1 DR. HOLLINGER: I guess the question from that would be  
2 whether there is a risk for CJD.

3 DR. VYAS: I think the risk is unknown. The rationale  
4 for withdrawal of the intravenous immune globulins or immune  
5 globulins in general will hold true for this product as  
6 well. That is my concern.

7 DR. HOLLINGER: I would like to get a feeling of the  
8 committee a little bit about Dr. Horowitz's single line  
9 which said that solvent detergent was safer than fresh  
10 frozen plasma, delayed release, which is safer than fresh  
11 frozen plasma. What does the group think? What is the  
12 feeling? Do you have thoughts about it, taking all the  
13 things into account that you have looked at? Is there a  
14 feeling among the group or do you think that they are  
15 comparable? Yes, Dr. Koerper?

16 DR. KOERPER: Well, I don't dispute Dr. Horowitz's data  
17 on the lipid envelope viruses, and I agree with it  
18 completely. It has been shown in hemophiliacs and other  
19 groups that solvent detergent does kill those viruses. But  
20 it doesn't kill other viruses, and it doesn't kill other  
21 agents that we don't even know about yet.

22 I feel like we are looking at HIV revisited.  
23 Hemophiliacs who were treated with cryoprecipitated in the  
24 early 1980s, even in San Francisco where we had a high rate  
25 of HIV infections, the rate of HIV in those patients in

1 those patients treated with single donor units of cryo was  
2 50% compared with the hemophiliacs who were treated with  
3 pooled plasma products of 95%. Therefore, I don't feel  
4 comfortable with going to a pooled product when we have a  
5 product like FFP that is a single donor product, and that is  
6 exceedingly safe at this time.

7 DR. HOLLINGER: Thank you.

8 DR. STRONCEK: Well, I share the concern about the  
9 pooled product. On the other hand, I think the solvent  
10 detergent FFP is safer than single donor FFP. I feel  
11 uncomfortable just offering plain FFP, the way we offer it,  
12 if solvent detergent pooled product is available. I think  
13 though that the quarantined or even the repeat donor FFP  
14 would be a reasonable alternative, and we just don't know at  
15 this time, in the long run, which of these three  
16 alternatives will be better.

17 DR. HOLLINGER: Could I get some information from  
18 someone in the audience? Most of the data that we are  
19 dealing with here in terms of risk has to do with people who  
20 have gotten whole blood. That is where most of the risk is.  
21 Now we are talking about fresh frozen plasma. That is a  
22 different group of people, and they may be sicker; they may  
23 not live as long. Some of the numbers that we have to put  
24 together may be less important. What is the denominator of  
25 people that receive fresh frozen plasma in this country? I

1 mean, if we look at whole blood, it has been reported --  
2 what? -- four million people receive whole blood, and  
3 perhaps around 3.5 units or so, 4 units a person? Those  
4 numbers may not be totally accurate, but some number like  
5 that. Can somebody give me some feeling about fresh frozen  
6 plasma? The ones where I use fresh frozen plasma are really  
7 sick people that are probably not going to live very long.  
8 They are people with liver disease, people with DIC and  
9 other things. That impacts a little bit about the potential  
10 of worrying about somebody getting a disease that is going  
11 to take 15, 20 years to cause a problem. I am now talking  
12 mostly about HCV. There are a lot of factors there. We  
13 have to take into account the percent that are going to  
14 become chronically infected. Then you have to take into  
15 account the ones that are actually going to get cirrheses.  
16 Even with whole blood, these numbers can get down to maybe  
17 two or six a year -- small numbers at the present time. I  
18 need to sort of get a feeling for fresh frozen plasma.  
19 Paul?

20 DR. HOLLAND: Yes, I will make two points. One, you  
21 have heard from data that most patients who get fresh frozen  
22 plasma, at least from one study at a large university  
23 hospital, 97% or people who got fresh frozen plasma also got  
24 other blood units. They got blood; they got packed cells.  
25 So, relatively few patients get only fresh frozen plasma.

1 There is, however, one group that gets a lot and they get it  
2 almost exclusively, and these are patients that undergo  
3 plasma exchanges for thrombotic thrombocytopenic purpura or  
4 the childhood equivalent, hemolytic uremic syndrome, or the  
5 combination of the two. These patients will get liters and  
6 liters of this material for days and days, and weeks, and  
7 sometimes months. They get exposed to a huge amount of this  
8 material, and they get it almost exclusively. So, I  
9 actually think a lot of the plasma, the 2.4 million units we  
10 are using a year, actually go to relatively few patients.  
11 They go to heavily transfused patients who either get many  
12 other cellular components or heavily plasma infused patients  
13 who get almost exclusively, if not only fresh frozen plasma.

14 DR. HOLLINGER: But do we know the numbers?

15 DR. HOLLAND: We don't know the numbers. Maybe  
16 somebody behind me has the numbers; I don't know the  
17 numbers.

18 DR. HOLLINGER: We are trying to make some decisions  
19 here about risk, and risk has to do not just -- I mean, who  
20 cares if you get hepatitis B if you don't become chronically  
21 infected, and the rate of fulminant hepatitis is extremely  
22 low. The rate of chronicity in immunocompetent patients is  
23 extremely low. So, sometimes we sort of forget a little bit  
24 about these things and worry about getting a disease that  
25 may not have any relevance whatsoever. So, I think we need

1 some numbers. Steve, do you want to comment?

2 DR. KLEINMAN: Back to your previous issue about the  
3 risk of the two products, and I want to express my personal  
4 view now, and that is that you are betting on an unknown  
5 event. If that unknown event is lipid envelope virus that  
6 enters the blood supply in the future, it would have been  
7 better to get solvent detergent plasma at that point because  
8 that lipid envelope virus would be destroyed and your risk  
9 would be zero. If you got the non-treated plasma, donor  
10 retested, that new lipid envelope virus could infect the  
11 recipient of that plasma.

12 On the other hand, if it is a non-lipid envelope virus  
13 or a prion, if those turn out to be transmissible, that  
14 enters the blood supply, then if you give donor retested  
15 plasma one recipient gets expose. If you get the pooled  
16 product, 2500 units going in and 2500 units going out may be  
17 transfused to 500 patients I don't know -- an average of 5  
18 units per patient, then 500 patients get exposed.

19 So, they are both theoretical risks with theoretical  
20 outcomes. To me, I guess, the decision goes on weighting  
21 those two possibilities in the future. It may be that the  
22 new agent has less than 100% transmissibility because of  
23 protective antibody we don't know. So, if there are no new  
24 agents entering the blood supply, then clearly solvent  
25 detergent plasma is safer than any other alternative. But

1 if there are new or unrecognized agents, then I think the  
2 framework is to think of those two possibilities and decide  
3 which one represents the situation you want to avoid.

4 DR. HOLLINGER: Thank you. Dr. Alter, do you want to  
5 tell us if you were misquoted or quoted correctly, or do you  
6 want to make another quote?

7 DR. ALTER: First, I don't want to go to a meeting  
8 without saying anything.

9 [Laughter]

10 Secondly, Steve gave me the option of going ahead of  
11 him and I said no, but he just said everything I was going  
12 to say.

13 [Laughter]

14 But I don't remember saying what I was quoted as saying  
15 but I probably said it.

16 [Laughter]

17 And I agree with myself --

18 [Laughter]

19 -- but Bernie made a very potent point today. Although  
20 I have been very fearful going from an almost safe product  
21 into a pooled product which has a theoretical scary point,  
22 the same point that Steve just made, I have a great fear of  
23 doing that should a new agent emerge and we are suddenly  
24 faced with the same fear that the hemophilia people have  
25 had. On the other hand, Bernie made a very potent point in

1 that if we look at our history, our history is that the  
2 deferred testing would have had no benefit for HIV because  
3 we had no test. It would have had no benefit for HCV where  
4 solvent detergent basically would have prevented all those  
5 cases. So, given our history, solvent detergent is a better  
6 method than the deferred testing process, but what we don't  
7 know is the future. That is the point that Steve Kleinman  
8 made. We are betting whether the next agent is going to be  
9 inactivatable or not. If it is not, we have hit a disaster;  
10 if it is, we are safe. So, that is the argument and nobody  
11 knows that answer. So, I think people have to make their  
12 own judgments and I think it is good to have both options  
13 available.

14 DR. HOLLINGER: Thank you, Harvey. Yes, please?

15 DR. TANKERSLY: Dr. Tankersly. I just want to make one  
16 quick comment.

17 DR. HOLLINGER: Yes, Don?

18 DR. TANKERSLY: That is, the fear of pooling -- it  
19 really is immaterial if the product is pooled in a tank and  
20 virally inactivated or whether it is pooled in a patient,  
21 and if, for example, a TTP patient receives many, many units  
22 of individual fresh frozen plasma, unless they are from a  
23 dedicated donor, that donor is also going to have this  
24 increased risk of exposure as well. So, the factor is  
25 probably much less than 1 in 2500, if that is the pool size.

1 DR. KOERPER: Another group of patients that receives  
2 FFP repeatedly -- speaking from a pediatric perspective --  
3 is children with clotting factor deficiencies for which we  
4 don't have a treated product, such as Factor V deficiency,  
5 etc. Those children receive plasma products once or twice a  
6 week, depending on their factor level and how much it takes  
7 to prophylax them. If each time they are treated it is with  
8 a pooled product of 2500 donors, and then 4 days later they  
9 get another pool of 2500 different donors, and 4 days later  
10 another pool of 2500 different donors, the donor exposure  
11 increases exponentially. That is why I just cannot support  
12 this. Also, we don't know that the next agent is going to  
13 be a lipid enveloped virus that would be eliminated by  
14 solvent detergent treatment. So, again, I am fearful of  
15 this issue or this notion that pooling is not increasing the  
16 exposure risk.

17 MR. DUBIN: Well, I appreciate your comments, and that  
18 is part of what I wanted to say next because in response to  
19 what you asked, Blaine, these are young patients who have a  
20 fairly good outlook for a fairly lengthy existence and HCV  
21 is a serious issue for them.

22 I also appreciated your comments, in the 1980s, had we  
23 all been warned earlier, many of us would have chosen  
24 cryoprecipitate. Some of us had big enough families to  
25 choose donors -- there was a whole series of options that

1 could have been presented which would have greatly changed  
2 the equation.

3 And a side bar to Dr. Horowitz, had you guys been  
4 subjected to product liability, solvent detergent would have  
5 come on-line earlier, Bernie, and that is our opinion. But  
6 that is a side bar to a comment you made up there.

7 But more important than that, what I want to say is I  
8 sit here sometimes and I am awed by the level that we have  
9 attained. I mean, when Dr. Busch gets up there and  
10 demonstrates what we know about HCV, this is incredible  
11 stuff. You know, for someone who is not trained like a lot  
12 of you, and in a medical school environment, constantly  
13 surrounded by people who have that level, I am struck by how  
14 incredible it is that we have made this progress.

15 But I think there is another side to this that comes  
16 from us. I think we accept this wonderful progress but we  
17 kind of focus, and we keep celebrating the fact that HIV,  
18 HCV, HBV -- we have made all these grounds but I see on most  
19 of the issues we talk about, that is what we are focused on,  
20 and we got hit once before by something nobody saw coming.  
21 It feels like Murphy's law in some way, from our  
22 perspective, we are going to get hit again, meaning us i the  
23 bigger "us". If that hit is a non-lipid envelope virus we  
24 have a real problem on our hands. We are talking about  
25 having to damage control so we don't end up with another

1 10,000 casualties.

2 I think that is what the Institute of Medicine report  
3 pointed out; that is the changes we have tried to make in  
4 the committee, and what FDA is really trying to move  
5 towards. And, I don't want to forget that in this kind of  
6 enjoyment of the progress, and I don't want to downplay the  
7 progress for a moment because it is really phenomenal what  
8 we are able to put on the screen and understand about  
9 hepatitis and HIV. But I don't want to lose that focus, and  
10 I am very concerned. Those are the patients I was going to  
11 identify because they do have a potential good life, and we  
12 are at 95% in severe hemophilia for HCV. In fact, I think  
13 once the AIDS epidemic plays out more you will see HCV again  
14 be the lead killer of people with severe hemophilia, which  
15 we approached in the mid-1970s. I think it is important  
16 that we understand that there are still things that even in  
17 all of our advanced knowledge that can hit us up the side of  
18 the head. So, I share your concerns especially about those  
19 patients being put on a pooled product.

20 DR. LINDEN: Actually, I have a question for Dr. Alter.  
21 I am also very nervous about the whole concept of using a  
22 pooled product when it isn't necessary, and I heard some  
23 conflicting statements by the speakers. I am concerned  
24 about hepatitis A, since we know there has been transmission  
25 from solvent detergent products, and Dr. Horowitz sort of

1 said there is going to be protective antibodies, and Dr.  
2 Holland said, no, these antibodies aren't really going to be  
3 protective. What is your opinion on the hepatitis A  
4 question?

5 DR. ALTER: I am not sure I have one. I mean, we use  
6 immunoglobulin for hepatitis A. We have used it for many  
7 years, knowing, however, that it attenuated infection; it  
8 doesn't prevent infection. So, in that sense, Paul's data  
9 is not totally surprising, that even though you can  
10 demonstrate large amounts of antibody it might not be  
11 totally neutralizing. Therefore, one could get infected.  
12 You would expect infection to be mild and very transient,  
13 but it looks like there have been some cases where it was  
14 more than that.

15 DR. LINDEN: Thank you.

16 DR. PEHTA: I am Joan Pehta, from VITEX. Just a  
17 comment on the recipients who would receive a product from  
18 2500 donors and then the next day product from a different  
19 2500 donors, it is a lot released product. So, the  
20 likelihood of different lots being infused into the patient  
21 on different days decreases because it is a lot released  
22 product.

23 DR. KOERPER: But when you factor that over a lifetime,  
24 it is 2500 to whatever X.

25 DR. HOLLINGER: Yes, Dr. Busch?

1 DR. BUSCH: Just one comment, coming back to my  
2 suggestion of collecting FFP from multiple repeat donors, I  
3 think we have seen historically that as new agents have  
4 become appreciated, their prevalence, when we are dealing  
5 with a new agent that hasn't been screened for, is much  
6 higher in the same groups who have always been infected. If  
7 we can focus our collections on safer donors, I think that  
8 will have an impact on unknown agents downstream as well as  
9 the known agents through reduction in the window period.

10 DR. HOLLINGER: When you look at people who are  
11 seroconverting even in the plasma group, you wonder. I  
12 mean, here are people who are coming frequently and, yet, we  
13 are finding that they are seroconverting to HIV, HCV or  
14 something. So, there is something missing in those  
15 individuals who are being asked questions presumably each  
16 time they come in and donate. The ones who are getting the  
17 disease are, clearly, high risk --

18 DR. BUSCH: Right, they are getting infected through  
19 risk exposure --

20 DR. HOLLINGER: Sure.

21 DR. BUSCH: What we haven't seen in the plasma sector  
22 is the rates among first-time donors --

23 DR. HOLLINGER: Yes.

24 DR. BUSCH: In the blood sector we can clearly show the  
25 drop in risk factors as well as incidence associated with

1 conversion from a first time to a repeat and serially in  
2 repeat donations.

3 DR. HOLLINGER: Thank you. Steve?

4 DR. KLEINMAN: Just to follow up on Mike's comment and  
5 point out to the committee again the difference between what  
6 we might be able to accomplish for FFP versus red cell or  
7 platelet transfusion, FFP really is made from approximately  
8 20% of the units we collect right now to support the  
9 transfusion support. Therefore, within the range of  
10 acceptable donors because we obviously do screen everyone,  
11 and if you don't meet the acceptability criteria you can't  
12 donate whole blood. So, there is no red cell, platelet of  
13 plasma. But within the range of acceptable donors, the  
14 strategy is to pick up people who have characteristics that  
15 suggest they are less likely to get window period infection  
16 than the general blood donor population. Since we are only  
17 trying to pick out a fraction of those people, maybe 30%,  
18 40%, 50%, to be the pool from which to make the 20% FFP, I  
19 think it is doable. I don't know how logistically difficult  
20 it would be, but we can gain an additional margin of safety  
21 by donor selection criteria within the realm of donors who  
22 have already gone through the eligibility criteria and  
23 passed.

24 MR. GILSHER: Ron Gilsher, Oklahoma Blood Institute.  
25 First a question to Dr. Horowitz and then a comment. The

1 first question relates to a statement that was made to me  
2 recently by a physician in France, who told me that the pool  
3 size for the SD plasma is significantly smaller than the  
4 2500 pool size here, in the United States. Is that true? I  
5 was told that it was 500.

6 DR. HOROWITZ: There are three manufacturing sites in  
7 Europe, and the determination of pool size differs among  
8 those three sites. You are correct, the pool size in France  
9 is smaller. They limit themselves to pheresis plasma and  
10 approximately 100 donors. With respect to both Germany and  
11 Austria for the other two sites, they are essentially the  
12 same as we are.

13 MR. GILSHER: Thank you. That could reflect on some of  
14 the rates of infections, either positively or negatively.

15 The second comment that I wanted to make is, in fact,  
16 with respect to having a different kind of FFP. Our blood  
17 center, as I think some in this room are aware, has utilized  
18 fresh frozen plasma by apheresis technology for over 10  
19 years. What I want to point out, especially to Mr. Lamb, is  
20 that when we look at the amount of FFP required, we can  
21 reduce that by a factor of 1/3 because the product that we  
22 collect for transfusion purposes is equal to 3 of the whole  
23 blood derived. So, in fact, it is doable and we are, in  
24 fact, doing it.

25 The donor pool happens also to be a pedigree donor

1 pool, that is, only donors who are frequent repeat. So,  
2 when you look at prevalent infections which would be first-  
3 time donor against incidence infections which would be  
4 seroconversion, the rate in that pool is less than 1/100th  
5 of what we see in our first-time donors. We have looked at  
6 that, and that would be a donor who is out at 6 donations or  
7 longer, really fitting very nicely with the data that Dr.  
8 Busch reported.

9 DR. HOLLINGER: Thank you. I am going to bring this  
10 question then to the committee to vote on. The question,  
11 again, is does the committee agree that pooled plasma,  
12 solvent detergent treated, and fresh frozen plasma, donor  
13 retested, are acceptable alternatives for those indications  
14 that are held in common, or to be held in common between the  
15 two products? Yes, please, Dr. Mitchell.

16 DR. MITCHELL: I guess another question is whether we  
17 want to talk about fresh frozen plasma as a viable  
18 alternative. Do we want to add that as part of the  
19 question?

20 DR. HOLLINGER: I think we will hold it back for right  
21 now. Yes?

22 DR. HOLMBERG: Also, we have heard a lot about the  
23 repeat donor. So, here we are only talking about the two  
24 products. I also look at this question and, you know,  
25 acceptable alternatives for the indications, I mean, are we

1 talking about the indication of use? It still is a  
2 clinician's decision what product he or she uses. So, you  
3 know, competition may be good and a clinician may choose not  
4 to use the solvent detergent because of the pool effect  
5 here. I am uneasy about the way it is worded.

6 DR. HOLLINGER: Help me out a little bit here, Jay. My  
7 understanding is that the solvent detergent is a licensed  
8 product -- it is not licensed yet? Okay. Well, then that  
9 is an issue. But if it will be, then it can be used. So  
10 the issue then is whether one feels that the fresh frozen  
11 plasma, donor retested, is an acceptable alternative. I  
12 think that is the way I sort of viewed this as a question  
13 here, whether either one could be used, and they both have  
14 their advantages and disadvantages as has been discussed  
15 here today. Many of the questions and concerns are  
16 theoretical but potential, and one should not downplay those  
17 in any way. But that is sort of the way I see the question.  
18 Right now we just don't have enough information yet in this  
19 country, although there is some information from outside.  
20 Yes, Paul?

21 DR. MCCURDY: I would like to make a couple of  
22 comments. I almost decided not to, but one of them is that  
23 2500 donors in a pool is considerably different than 60,000  
24 or 100,000 or 400,000 which are some of the larger pools we  
25 have heard about from one source or another. This is a

1 significant improvement, I think, in those areas. It should  
2 not be too difficult for the user that is going to be using  
3 this over a relatively short period of time to watch lots  
4 and be sure that they get the same lot. Unfortunately  
5 perhaps, these products are dispensed from pharmacies who  
6 are apparently not used to keeping track of lots the way  
7 blood banks are. Each unit, of course, is a separate lot.

8         None of these products we are talking about have  
9 absolute safety. They all have problems. My own feeling is  
10 that they are alternatives. They are alternatives don't  
11 solve all of our problems, but I think they do provide some  
12 improvement in safety over simple fresh frozen plasma the  
13 way it has been done for four years, or whatever.

14         DR. HOLLINGER: And I would clearly agree with that  
15 last statement, yes. You have the question posed, all those  
16 that are in favor, in agreement with the question, raise  
17 your hand.

18         DR. KOERPER: Excuse me, can I point out that you are  
19 asking us to vote all or nothing? You are asking us to vote  
20 on both of these products or none of these products. There  
21 are some of us who could vote in favor of one of the two  
22 products, and I would like to propose that you separate the  
23 vote.

24         DR. HOLLINGER: Jay?

25         DR. EPSTEIN: I think the confusion that is arising

1 here is we are not asking you whether each of these products  
2 is licensable. FFP is, after all, licensed and the addition  
3 of a quarantine could only be seen as extending an existing  
4 product label. Furthermore, with respect to the solvent  
5 detergent plasma, the question whether we should ever  
6 consider it for licensure was dealt with, I think, more than  
7 a year ago through extensive scientific workshops and a  
8 meeting of the BPAC, and there was closure reached that it  
9 was licensable.

10 What we are now asking is whether FDA, as a regulatory  
11 agency, should be taking a position on relative indications  
12 or preference in use. We are suggesting that the FDA, in  
13 looking at this issue, has decided that it should remain  
14 neutral on that point, and by approving each of its products  
15 with its label for similar indications, simply leave it up  
16 to treaters to decide which they prefer based on their  
17 knowledge of the relative risks and benefits. So, we are  
18 really asking do you agree with FDA that FDA should stay out  
19 of the arena of superiority claims with respect to these  
20 products, and that we should stay out of the arena of  
21 deciding that either of them is not licensable. Should we  
22 simply make them available for clinicians to choose based on  
23 accurate labeling? That is what we are asking you.

24 DR. HOLLINGER: So, I would like to go ahead and vote  
25 on the question as it is stated right now. If the question

1 doesn't pass, then we will go to another vote. So, the  
2 question is does the committee agree, as Jay has said, that  
3 these are alternatives that could be accepted by physicians  
4 out in practice at the present time, with our present  
5 knowledge, for the indications that are held in common, or  
6 to be held in common between the two products? All those  
7 that agree with that, raise your hand.

8 [Show of hands]

9 All those opposed?

10 [Show of hands]

11 Abstaining?

12 [No response]

13 DR. HOLLINGER: Dr. Buchholz?

14 DR. BUCHHOLZ: I have to disqualify myself from voting  
15 since I am actively involved in clinical trial testing of  
16 various agents that are single unit viral inactivation. So,  
17 I will abstain.

18 DR. HOLLINGER: If you would like to, I think you need  
19 to put in something about your feelings at this time because  
20 I think that should be registered in the minutes.

21 DR. BUCHHOLZ: I wouldn't want to.

22 DR. KOERPER: As I stated before, my concern is with  
23 the donor exposure with the pooled product. I think there  
24 are other methodologies that are being developed that will  
25 allow for viral attenuation of individual donated units of

1 plasma. My concern, when someone stated that the clinician  
2 can choose, is that there is no guarantee that my local  
3 blood bank, for instance, is going to make all three  
4 products available. My blood bank may say, okay, we are  
5 going to ship all of our plasma off and it is all going to  
6 come back as solvent detergent treated and, therefore, I  
7 don't have a choice. The choice is out there but it may not  
8 be at my particular hospital.

9 DR. HOLLINGER: That is a good point. Anybody have a  
10 thought on that, of how this might play out? Are blood  
11 banks going to have available various products or not?

12 MR. LAMB: The current plan for distribution -- the  
13 American Red Cross has an agreement with VITEX where it will  
14 be providing the plasma to VITEX and the product will be  
15 returned back to us. We have a commitment to make it  
16 available to all blood centers, and the presumption is that  
17 they will decide and what volume they would like, over what  
18 period of time, and so we are not in any way trying to  
19 restrict choice, but it would be available to all blood  
20 banks in the United States.

21 DR. HOLLINGER: Okay. Dr. Katz?

22 DR. KATZ: I will tell you what I am doing at my blood  
23 center, and I think some of my friends in the audience would  
24 agree I am not too far out on the edge so I suspect it is  
25 pretty similar to what other centers are going to do, at

1 least among the independents. We are talking to our  
2 hospitals. We are talking to the heavy plasma users and  
3 asking them what they want. Here are the two alternatives,  
4 actually three products that will be available. Tell us  
5 what you are going to use under what circumstances so we can  
6 plan inventory, and it is our intention to have what the  
7 clinicians ask for.

8 DR. HOLLINGER: Thank you.

9 DR. HOLMBERG: I would just add I think that is the  
10 beauty of this country, that we have a pluristic blood  
11 approach.

12 DR. HOLLINGER: Yes, final comment.

13 MR. DUBIN: I want to ditto what you were saying. I  
14 don't want to be repetitive but I do want to say that we  
15 were subjected in the '80s many times to various treatment  
16 centers saying this is our product right now; this is what  
17 you are going to use.

18 I think what you said, Jay, presupposes that clinicians  
19 are well educated always about the products. That is  
20 certainly not our history, and we see clinicians at varying  
21 degrees of understanding. When you are talking about pooled  
22 products and risk, we see a lot of clinicians that don't  
23 understand all that still in small communities, treating  
24 people with hemophilia, individual doctors.

25 So, I think what I want to close with is that there is

1 an awful lot of assumptions on the table about how this will  
2 play out that I want to raise serious questions about  
3 because I don't think those assumptions are necessarily  
4 correct, and I think that is the important thing that I want  
5 to leave it with.

6 DR. HOLLINGER: Thank you. Please, Linda?

7 DR. SMALLWOOD: The results of voting on the question,  
8 and I will just read it so it will be clear in the record,  
9 the question reads: does the committee agree that pooled  
10 plasma, solvent detergent treated, and fresh frozen plasma,  
11 donor retested, are acceptable alternatives for those  
12 indications held, or to be held in common between the two  
13 products? The results of voting were 9 yes votes, 3 no  
14 votes, no abstentions and the industry representative  
15 excused himself from comment.

16 DR. HOLLINGER: Thank you. The final presentations are  
17 on the FDA proposal for donor deferrals related to  
18 xenotransplantation. The presentation of information will  
19 be by Dr. Dayton.

20 **FDA Proposal for Donor Deferrals**  
21 **Related to Xenotransplantation**

22 DR. DAYTON: I am Andrew Dayton, Division of  
23 Transfusion-Transmitted Diseases.

24 The topic of this talk is the deferral of  
25 xenotransplant recipients and their close contacts. This

1 talk is a summary of the December 17, 1997 meeting of the  
2 FDA subcommittee on xenotransplantation, and it is also a  
3 summary of a two-day HHS-sponsored workshop on developing  
4 U.S. public health policy in xenotransplantation, which was  
5 held in January of this year.

6 [Slide]

7 Let me start with a definition of xenotransplantation.  
8 It includes the transplantation of living cells or whole  
9 organs between species. It is important to point out that  
10 xenotransplantation includes materials that are encapsulated  
11 and/or used in ex vivo perfusion. I will go into what that  
12 means in a little while. Xenotransplantation does not  
13 include transplantation of non-living, processed biological  
14 products or materials from animals, such as porcine heart  
15 valves which are fixed with glutaraldehyde, for instance.

16 [Slide]

17 I have brought a couple of slides from Kathy Zoon's  
18 presentation at the HHS workshop. This is to give you an  
19 idea of how xenotransplantation is basically regulated.  
20 Animal cells, tissues, and all organs intended for  
21 therapeutic use in humans are subject to regulation by the  
22 FDA under the Public Health Service Act and the Federal  
23 Food, Drug and Cosmetic Act. Therefore, xenografts must be  
24 used under an IND application, and sponsors are encouraged  
25 to meet with the FDA staff in the pre-IND phase.

1 [Slide]

2 A notice and comment is being developed this year for  
3 the regulation of all xenotransplants as biologics. There  
4 are certain additional regulatory requirements of note which  
5 are going to focus on procurement and screening of animals  
6 which are used as donors. It is going to include discussing  
7 of issues post-transplant infectious disease monitoring of  
8 patients. It is also going to involve recommendations for  
9 archiving of biological specimens. This is going to be very  
10 important if something does happen and we have to go back  
11 and figure out what has happened. Also, it will involve  
12 participation in a national registry so that, again, if  
13 there should be a newly emerged pathogen which becomes a  
14 problem we should be well poised to do the epidemiology on  
15 it.

16 [Slide]

17 Let me give you a little idea of some of the current  
18 clinical trials in xenotransplantation, just the areas they  
19 basically cover. The donor species are mostly pig in a lot  
20 of the recent trials. Liver failure is being treated with  
21 hepatocytes, with whole liver transplants, with transgenic  
22 liver transplants. These are what is being planned.

23 Diabetes can be treated with implants of pancreatic  
24 islet cells. Neuronal cells are hoped to be transplanted  
25 successfully for Parkinson's and Huntington's chorea.

1           There are baboon bone marrow trials for HIV, and for  
2 refractory pain in terminal cancer, some cow cells are being  
3 encapsulated and implanted intrathecally. Not mentioned on  
4 this slide, but there have been maybe 150 pig skin grafts  
5 for burn victims.

6           [Slide]

7           Just to summarize some of the ethical considerations  
8 which are peculiar to xenotransplantation, xenotransplants  
9 have an obvious potential benefit to the recipients. The  
10 benefits are unproven for xenotransplantation but there is a  
11 severe shortage of donors for allotransplantations.

12           The risks to the recipient, though largely unknown, can  
13 be reasonably assumed by the recipient. This is the way  
14 traditionally play out in medicine but with  
15 xenotransplantation the risks to society and close contacts  
16 of the recipient are also unknown, but aren't readily  
17 assumable by the recipient under the standard practice of  
18 informed consent. So if I need a baboon heart transplant,  
19 sure, I can sign myself up for all of the consequences, but  
20 can I sign my spouse up or my children or co-workers?

21           So, as a corollary to this, informed consent will  
22 probably have to take a somewhat different form for  
23 xenotransplantation, and it may resemble a lifetime contract  
24 involving monitoring and possible quarantine.

25           [Slide]

1           It is useful, when addressing issues of  
2 xenotransplantation, to consider some notable categories of  
3 xenotransplants, and these, of course, are not mutually  
4 exclusive. They can be considered as either permanent, long  
5 term, which is what we would think of as a transplant heart  
6 that we hope will stay there for ever, or temporary, as a  
7 bridge pending allograft donation.

8           Another set of categories is to look at xenotransplants  
9 as being internal or external, and a typical example of  
10 external would be an ex vivo perfusion device. For example,  
11 Circe is a company that has an external perfusion device for  
12 liver failure and it consists of hepatocytes on the opposite  
13 side of a membrane barrier from the perfused blood. It can  
14 perfuse the blood through the equipment. It acts like a  
15 liver. So, that is an example of an external  
16 xenotransplant.

17           Finally, unencapsulated or encapsulated are the  
18 important categorizations. The unencapsulated, of course,  
19 we typically think of where you just stick cells or an organ  
20 right into a human. But the encapsulated ones maintain some  
21 kind of physical barrier between the graft and the host.  
22 Again, you can have an external encapsulated version such as  
23 the perfusion equipment I just described, or you can have  
24 internal encapsulated cells, such as the intrathecal  
25 implants I mentioned earlier, and those were cells used for

1 pain. A couple of companies have different encapsulation  
2 methodologies for pancreatic islet cells.

3 [Slide]

4 There are some particular differences between  
5 allotransplantation and xenotransplantation which, again,  
6 are worthy of note. As far as the transmission of  
7 infectious agents, the human spectrum of agents in  
8 allotransplantation is fairly well known at this point.  
9 That is not to say there are not new emerging agents, as we  
10 have all seen, but compared to the xenograft spectrum -- the  
11 human spectrum is fairly well understood but the xenograft  
12 spectrum is largely unknown. There are agents that are  
13 known to infect both species but much less is known about  
14 this situation.

15 In terms of supply and demand, the human demand vastly  
16 exceeds the supply. So, the allocation of human organs is  
17 really an allocation of a rare national resources. The  
18 xenograft supply may greatly exceed the demand. Presumably  
19 if we choose the donor correctly, we will have a very large  
20 source and supply won't be limiting.

21 Finally, in terms of effectiveness, it is known that  
22 human organ transplantation, allotransplantation works. It  
23 is not known if xenograft transplantation works.

24 [Slide]

25 There are theoretical issues which help illuminate the

1 general risks involved in xenotransplantation. Viral  
2 zoonoses may be more likely to occur in xenotransplantation  
3 of transgenic material than xenotransplantation or  
4 unmodified material. What I am referring to here is that  
5 there is a large body of work on making pigs, for instance,  
6 safer donors by transgenic methods.

7       If I can give you a little digression and give you an  
8 idea of the kinds of problems we could get into, the first  
9 problem you run into with a xenotransplant is hyperacute  
10 rejection. This is largely directed by complement fixing  
11 natural antibodies which are directed to a sugar on the  
12 surface of pig plasma membranes, alpha-gal, which is not  
13 present on human plasma membranes. Now, alpha-gal is absent  
14 in humans, as I said, and is present in pigs and most other  
15 non-primates. So, it depends on whether you are a New World  
16 or Old World monkey. Alpha-gal also is a target of  
17 retroviruses of other species. Our natural antibodies  
18 against alpha-gal gives a natural immunity to such  
19 retroviruses which bring the alpha-gal components with them.  
20 This natural immunity is a significant barrier, and there  
21 are retroviruses which can replicate in human cells but  
22 could never get past that barrier.

23       Now, pigs are being genetically modified to eliminate  
24 alpha-gal, or to reduce it by various methods, with the idea  
25 of eliminating hyperacute rejection. That is great for

1 inhibiting hyperacute rejection, but that is not good for  
2 inhibiting retroviruses.

3       To give you a related example, transgenic pigs express  
4 human complement regulators. As I mentioned, hyperacute  
5 rejection involves complement fixation. Transgenic pigs  
6 expressing human complement regulators, such as CD55, CD46  
7 and CD59, are being designed to inhibit the hyperacute  
8 rejection, but you should note that CD46 is a human measles  
9 virus receptor, and human CD55 is the receptor for Echo and  
10 Coxsackie B viruses. So, if you are putting a graft into a  
11 human, well, you may be just begging for a new form of virus  
12 to emerge which can utilize these receptors in the pig and  
13 may be brought in by the graft and that could be  
14 devastating. So, there are a lot of very dangerous biology  
15 going on here.

16       Another major theoretical concern is that recipients of  
17 xenografts are immunosuppressed. So this is another  
18 phenomenon which begs the development of dangerous pathogens  
19 or new pathogens, if nothing else.

20       Finally, tissues in a xenograft situation are in  
21 prolonged and intimate contact. This is not necessarily  
22 true for the encapsulated versions of xenografts but we  
23 should remember that encapsulation systems can and do break  
24 down.

25       [Slide]

1           Again to address zenosoonoses of concern at a  
2 conceptual level, we should realize that there are agents  
3 that normally affect both organisms. I am particularly  
4 thinking of pigs and humans but basically humans and any  
5 other possible donor.

6           First, there is toxoplasmosis, and known agents can be  
7 readily detected by screening. You have to also worry about  
8 agents that are sufficiently similar to normal viruses that  
9 they can cross species barriers under favorable conditions.  
10 The perfect example of this would be pig endogenous  
11 retroviruses, the discovery of which really precipitated  
12 this discussion that we are having today. I will discuss  
13 that in a little bit more detail in a moment.

14           We also have to worry about opportunistic infections  
15 arising from immunosuppression, and the immunosuppression is  
16 likely to be severe for xenotransplantation and this can  
17 foster infection by normally non-pathogenic agents.

18           We have to be very worried about agents that can  
19 recombine with host agents, and I will mention a few  
20 examples of that in a minute. Finally, we have to worry  
21 about reactivation of latent agents in the graft that may  
22 lead to graft failure.

23           In fact, along these lines, I would like to point out a  
24 very interesting finding, presented by David Onions at the  
25 workshop, in January. At the end of this talk he showed an

1 electron micrograph of a dog tissue sample, and it was  
2 heavily infected with a virus that looked by electron  
3 microscopy to be very similar to African swine fever virus.  
4 It should be pointed out that the source of this, this dog,  
5 came from a veterinarian-monitored herd that had been closed  
6 for 20 years, and this agent had never been seen before in  
7 these dogs. It is still being researched as to what it is.  
8 And, it only appears when the dogs are treated with a  
9 particular anti-inflammatory agent. He didn't happen to  
10 mention which one. But this is a very stellar example of  
11 the dangers you can get into going into the unknown, as we  
12 are with xenotransplantation.

13 [Slide]

14 To give you some examples of cross species viruses, DNA  
15 viruses, for instance, canine parvovirus. Originally this  
16 was non-infectious in cats but since its original finding it  
17 has become mutated for greater infectivity in both dogs and  
18 cats.

19 Other cross species examples -- the herpesviruses are  
20 CMV, SA8, herpes B. In RNA viruses, influenza and here the  
21 reservoir is in wild birds. And, human and avian viruses  
22 can infect pigs and lead to gene reassortment. You have  
23 Ebola and Marburg if you really want to get paranoid.

24 [Slide]

25 Now, for retroviruses you have xenotropic murine

1 leukemia viruses, largely an experimental finding but they  
2 will infect human cells in tissue culture. Simian foamy  
3 virus is another retrovirus that can infect humans. SIV is  
4 a very good example of the kinds of problems you can get  
5 into. SIV has been introduced at least six times from Sooty  
6 Mangaby populations to humans to give you HIV-2.

7       In fact, this is a rather interesting case and what may  
8 be happening here is that SIV from Sooty Mangaby is fairly  
9 frequently infecting the human populations. In these  
10 regions of Africa many monkeys are kept as pets, much as we  
11 keep cats and dogs as house pets, and some of these strains  
12 of SIV that have been found in certain regions are very  
13 closely related to non-pathogenic strains of HIV-2 found in  
14 the same regions. It would seem that what happens in HIV-2  
15 is that the normal introduction of SIV into the human  
16 populations is an HIV-2 which is non-pathogenic, and  
17 possibly by dirty needle practices these non-pathogenic HIV-  
18 2s have been transmitted from human to human, to human, and  
19 have subsequently become pathogenic.

20       On the other hand, HIV-1, which presumably comes from  
21 chimpanzees, it would seem that all of the direct  
22 transmissions there are probably pathogenic.

23       Finally, with pig endogenous retroviruses, it has been  
24 documented that they can infect human cells in tissue  
25 culture.

1 [Slide]

2 To briefly, in a sentence or two, summarize  
3 retrovirology, retroviral elements are present in all  
4 vertebrates. Recent proviruses, recent on an evolutionary  
5 time scale, which are not defective or at least for which  
6 there are non-defective members, and which still make virus  
7 have an erratic species distribution. They are absent in  
8 humans but they are present in many other vertebrates. A  
9 particular concern here are pigs, mice, cats -- I don't  
10 think we are thinking of pheasants as donors -- but baboons  
11 and others. And, it is not unusual for these recent  
12 proviruses to be able to infect cells of other species.

13 [Slide]

14 Now, the PERVs, or pig endogenous retroviruses, are  
15 probably recently introduced. There are about 20-30 copies  
16 of these in the pig genome. At least 4 different viruses  
17 have been described. I believe at least 2 of those are  
18 known to have replicative ability in human cells. Yes,  
19 these 2 subgroups can infect human cells in tissue culture.  
20 Generally, however, these experiments require prolonged  
21 intimate exposure of the tissues. Basically, it has to be  
22 done by co-culture. The pig viruses that get into the human  
23 cells are slowly replicating. They are not likely to be  
24 pathogenic via horizontal transmission, either via normal  
25 horizontal transmission, which is in everyday interactions,

1 or even in parenteral horizontal transmission.

2 [Slide]

3 In reference to the summary in the xenotransplantation  
4 advisory subcommittee meeting in December of last year,  
5 reports of PERV replication in human cells had caused a hold  
6 to be placed on xenotransplant trials until assays were  
7 instituted.

8 A major question is what assays are appropriate. The  
9 most advanced assays -- this is all being worked out as we  
10 speak and nothing is finalized, but the most advanced assays  
11 are RNA PCR and DNA PCR. Co-culture assays are also being  
12 devised.

13 Probably somewhat fewer than 200 patients have been  
14 exposed to pig xenotransplants. This would include about  
15 150 pig skin transplants for burn victims. As of December,  
16 approximately 20% of these 200 have been tested for PERV and  
17 all those tested are so far negative. But, again, this is  
18 an emerging story. We haven't validated all the assays. We  
19 don't know how well they are working. They look good to  
20 begin with but we are still collecting data and figuring out  
21 what is going on.

22 [Slide]

23 To summarize, again, the same xenotransplantation  
24 advisory subcommittee meeting, there are many potential  
25 risks to recipients. The risks from PERV are probably

1 minimal to zero, almost certainly minimal to zero for both  
2 the patient and even less, if you can get less than zero,  
3 for the population.

4       There was a lack of a clear consensus on the correct  
5 course to take, but the general feeling was that trials  
6 should now proceed with caution, using careful monitoring.  
7 So, the numbers of patients which are soon going to be  
8 introduced into the population are not going to be huge,  
9 presumably, in the next couple of years.

10       Despite minimal risks, however, xenograft recipients  
11 and their close contacts should be deferred from giving  
12 blood, according to the committee. The logic was that if  
13 problems should develop -- it is unlikely they will develop  
14 but if they should develop, this is where it is going to be  
15 a disaster if you let it into the blood supply.

16       Current policy would be relevant and covers the small  
17 numbers of people in limited preliminary trials, and we  
18 should remember that the policy can certainly be modified or  
19 relaxed, if need be, at a future date if clinical practice  
20 advances to the point where large numbers of xenorecipients  
21 are represented in the potential donor population. I think  
22 it was clear that the xenograft transplant subcommittee  
23 recommended that we err on the side of caution.

24       So, that concludes what I have to say. What do we do?  
25 Do we have a reading of the questions?

1 DR. HOLLINGER: Yes, I would like to have the questions  
2 read and then we can open it up. There are two questions we  
3 have to deal with, one and two. We are going to ignore  
4 number three.

#### 5 Presentation of Questions

6 DR. DAYTON: Number one, should the definition of close  
7 contacts of xenograft recipients include sexual partners and  
8 others with whom a participant participates in activities  
9 that result in intimate exchange of bodily fluids?

10 The second question is, should the definition of close  
11 contacts of xenograft recipients include household members  
12 not otherwise identified as participating in activities that  
13 result in intimate exchange of bodily fluids?

#### 14 Committee Discussion and Recommendations

15 DR. STRONCEK: First of all, the first thing you said  
16 is theoretical, and I think based on that theory, we should  
17 defer recipients of xenotransplants. On the other hand, you  
18 know, speaking for donors, I have had a lot of contact with  
19 donors, not only blood but bone marrow donors, and donors  
20 feel like it is their right to donate. They like to donate.  
21 They gain satisfaction from donating. When I have  
22 arbitrarily had to tell them that either the FDA or the  
23 National Bone Marrow Program says that you can't donate, but  
24 it is for an arbitrary reason and there is no scientific  
25 data, people get upset and they feel that their life has

1 been affected for the worse.

2 So, I think it is wrong for us just to be arbitrary and  
3 say for just purely theoretical reasons contacts of  
4 xenograft transplant recipients can[']t donate.

5 DR. MITCHELL: First of all, I have a question of the  
6 speaker about the number of people involved and the rate of  
7 increase in the number of people who have xenografts. Can  
8 you give me some sense of, for example, during last year  
9 about how many of these 200 people got xenografts?

10 DR. DAYTON: I don't know the exact history on the  
11 timing. I know that in the last couple of years there have  
12 been 200, and there are many different types. I would  
13 assume that in the upcoming clinical trials we are talking  
14 about the low hundreds. Maybe there is somebody else here  
15 who has that information.

16 DR. MITCHELL: Are you talking about maybe 100 a year,  
17 200 a year, or something like that?

18 DR. DAYTON: I would think something like that in the  
19 next couple of years. I wouldn't expect to see it in the  
20 thousands.

21 DR. HOLLINGER: As you know, there is a real need. For  
22 example, I can tell you on the liver side the interest is  
23 growing for attempting to insert human genes into the eggs  
24 of pigs. Usually about 5/1000 times these are successful.  
25 Then they would be placed in the sow. The pigs, as they

1 would go on, there would be less problems of rejection in  
2 those particular pigs and, therefore, they could be used for  
3 liver transplants, for example. That is because in last  
4 year there were some 7,800 people who were on the transplant  
5 list. Only 3400 or 3500 transplants were done. Half the  
6 people on the transplant list died without receiving a  
7 liver. That is not going to get better. The supply is  
8 certainly not going to exceed the demand, and those numbers  
9 are just sort of escalating like that, the numbers of donors  
10 that we have and the numbers that are required. So, there  
11 is going to be real pressure, at least from that one  
12 standpoint alone, and that is part of the issue that one has  
13 to deal with here.

14 DR. LINDEN: I have a question about the implications  
15 of this. If we say yes, does that mean that the donor  
16 questionnaire is going to have to be amended so that you  
17 have to affirmatively ask have you ever had sex or lives  
18 with, whatever, with someone who has had something from an  
19 animal?

20 [Laughter]

21 That is my concern about what it is going to  
22 necessitate the blood banks doing in terms of donor  
23 questioning.

24 DR. DAYTON: Well, yes, there is going to have to be a  
25 question to that effect. Of course, that opens up all sorts

1 of issues about are you going to track down everything you  
2 want, and the answer is, no, you are not going to track down  
3 everything you want but you are going to have your best shot  
4 at it. The alternative would be not to ask the question.

5 DR. STRONCEK: Has FDA taken a position on household  
6 and sexual contacts of people who have gotten human gene  
7 therapy using retroviral vectors?

8 DR. DAYTON: I couldn't hear the question.

9 DR. STRONCEK: Have you thought about deferring people  
10 that have had contacts with people that have had gene  
11 therapy with retroviral vectors?

12 DR. DAYTON: I don't think we do. Again, with  
13 retroviral vectors, they are highly purified. You have to  
14 worry about the source. I don't actually know the answer to  
15 that.

16 DR. EPSTEIN: Well, the human retroviral vectors are  
17 designed so that they are not replication competent. In the  
18 studies that have been done, as you know, there has been  
19 monitoring to look for any reversions or recombinations but  
20 so far there have been none. So, it is not quite the same  
21 situation. We are dealing there with a very well defined  
22 agent in the transfection. Here what we are worried about  
23 are agents whose behavior we may not know, and we may not  
24 know what agent we are dealing with until some time later.

25 DR. DAYTON: You might have another mad cow disease or

1 something -- I mean, things can get so bizarre.

2 DR. KHABBAZ: I think it is not fair to compare it to  
3 the situation in the UK with variant CGD because your slide  
4 three here, actually, outlined very nicely the approach that  
5 is going to be taken and that xenotransplants in the next  
6 few years are going to be under IND, and there are some  
7 pretty well defined regulatory requirements. There is going  
8 to be a national registry for recipients, monitoring of  
9 patients -- yes, it is the unknown but we know quite a bit  
10 about viral and other infections of animals. So, there is  
11 going to be some close monitoring, and also the screening of  
12 animals, etc.

13 I think the question is not whether xenotransplant  
14 recipients can be donors. My understanding was that that is  
15 going to be deferred. It is the contact, and then how do we  
16 define contact? Do we want to go beyond the recipient to  
17 sexual contacts or more broadly? Isn't that the question?

18 DR. DAYTON: Yes. Certainly, that is in question two -  
19 - well, in both questions.

20 DR. HOLLINGER: Realizing that sexual transmission of  
21 hepatitis C is extremely low, at least in my opinion, are  
22 the blood banks excluding sexual contacts of patients with  
23 hepatitis C? And the answer is no. So, there we are  
24 dealing with at least a known potential risk, albeit low.  
25 That is an issue that was brought up last time. I think it

1 will obviously be up for discussion again in the future, but  
2 now we are talking about something much more potentially  
3 theoretical.

4 DR. KHABBAZ: And my comment regarding the U.K.  
5 situation, it was uncontrolled -- you know, it just  
6 happened, whereas, here it is being done quite a bit of  
7 regulatory scrutiny, appropriately so.

8 DR. DAYTON: I only referred to that as an example of  
9 how bizarre and unusual biological systems can be.

10 DR. HOLLINGER: Yes, Dr. Bianco?

11 DR. BIANCO: I would like to say something, but first I  
12 would like to request from FDA when such proposals come, if  
13 there was a little bit of notice we would have prepared some  
14 data and some statements about them that would be more  
15 sophisticated than what I am going to say now. So, we would  
16 love to have some advance notice.

17 I think there are two issues that I would like to  
18 consider. One, the donor questionnaire has about 35  
19 questions, or something. In New York, actually, to make it  
20 easier we have combined them into about 20. But we ask the  
21 same things, we combine them in the same questions. We have  
22 4 questions about CJD. Ultimately, when we look at the  
23 results that were published last February, a year ago  
24 February, from the REDS study on surveys of donors, that  
25 study showed that 1.9% of the people who responded would

1 have been deferred at the site of donation by the questions  
2 that they put in the survey. In part, this was interpreted  
3 because they were not comfortable, but in part I think they  
4 get confused. They have a lot of questions. We ask them,  
5 and there was discussion here, about behavior that they had  
6 21 years ago when, really, the window that we are trying to  
7 focus on is a few weeks for certain behaviors that are high  
8 risk.

9         So, I would suggest that we don't make the  
10 questionnaire more complicated than it is. I think there is  
11 another approach that could achieve the same thing. It is  
12 very exciting for somebody to have a xenotransplant. It is  
13 something very important for the patient, for the family.  
14 What if it was part of informed consent, part of the whole  
15 discussion? The donor, his family, everybody should know  
16 that they should donate blood, that they should not do  
17 certain things because of the risks.

18         Your presentation was very illuminating. I learned a  
19 lot. But if we could focus on that instead of on a  
20 population focus that is very hard to achieve with the  
21 sensitivity and specificity that we desire in terms of the  
22 questionnaire. That is food for thought.

23         DR. DAYTON: If I could answer that, it is part of the  
24 informed consent.

25         DR. DODD: Roger Dodd, American Red Cross. I am sorry,

1 I wasn't really paying full attention to your presentation,  
2 but are recipients of xenotransplants advised to protect  
3 their sexual partners? Because, I mean, we are one step  
4 away from this --

5 DR. DAYTON: As far as I know, they are not told to,  
6 say, use condoms etc. But I may be wrong on that. Does  
7 somebody really have an answer to that? I know part of the  
8 informed consent is being careful about this sort of thing,  
9 but I don't know how far it goes actually.

10 DR. DODD: My point is that the sexual partner is far  
11 more at risk than the recipient is going to be, and we need  
12 to do this logically. I don't think we should jump to  
13 blood recipients of sexual partners of somebody -- a further  
14 sexual partner and has a pig liver implanted. You know, if  
15 that really is a risk to the sexual partners and the sexual  
16 partners need to be protected --

17 DR. DAYTON: The regs on that are still being revised,  
18 I believe.

19 DR. DODD: Okay, thank you.

20 MR. DUBIN: It seems to me there are a couple of things  
21 out there. First of all, it sounded like they really didn't  
22 have a chance to prepare but Celso said something  
23 interesting and I would sure like to see what the informed  
24 consent looks like. Then Roger added something that I also  
25 think is important. If we see the informed consent we would

1 know if we are getting in front of ourselves. It doesn't  
2 seem like we are prepared to do this at this point.

3 DR. HOLLINGER: Any other comments?

4 MS. GREGORY: Kay Gregory, from AABB. I really just  
5 want to endorse Celso's comments as well. First of all, if  
6 we had a little advance warning we might have something more  
7 valuable to say and, secondly, we are concerned about the  
8 donor questionnaire and how complicated that is getting, and  
9 that needs careful attention.

10 DR. HOLLINGER: Thank you. Yes, Dr. Koerper?

11 DR. KOERPER: Given that we are feeling that we don't  
12 have complete information here, I would like to move that we  
13 table this discussion until the next meeting.

14 MR. DUBIN: I certainly second that motion.

15 DR. HOLLINGER: It has been moved and seconded that we  
16 table. Do we have questions? Yes, Dr. Mitchell?

17 DR. MITCHELL: The question is whether we will have  
18 more information at the next meeting. I am not sure that we  
19 will have much more information at the next meeting.

20 The other issue that I have is what is under  
21 consideration for xenotransplantation? Are the heart valves  
22 from pigs part of xenotransplantation? Should they be, or  
23 should they not be? It is a very complex issue but, again,  
24 I am not sure --

25 DR. DAYTON: Was there a question?

1 DR. MITCHELL: Well, there are two questions. One, are  
2 they considering having heart valve transplants from other  
3 animals considered under this xenotransplantation?

4 DR. DAYTON: If they are like the porcine heart valves,  
5 they are fixed in glutaraldehyde and they don't have any  
6 infectious agents -- they are dead.

7 DR. HOLLINGER: Is CJD destroyed by glutaraldehyde?

8 DR. DAYTON: I don't know. Do we have a CJD expert  
9 here? You can say theoretically it should be, but it  
10 doesn't mean anything until you actually have the data.

11 Xenograft recipients are clearly counseled not to  
12 donate blood or semen, or anything like that. That is  
13 clear.

14 DR. HOLLINGER: But I think the point that Dr. Bianco  
15 is making is, is the spouse counseled also not to have sex  
16 perhaps or that there is a potential risk of transmission?

17 DR. DAYTON: The spouses are counseled that there is a  
18 potential risk. I don't know if they are counseled to  
19 specifically have safe sex.

20 DR. HOLLINGER: Well, we have a motion to table for now  
21 for lack of information, and it was seconded. If there is  
22 no further discussion among the committee, I would like to  
23 put it to a vote. All those in favor of tabling this at  
24 this time, raise your hand.

25 [Show of hands]

1 All those opposed?

2 [One hand raised]

3 Would you like to make a comment?

4 DR. MITCHELL: Yes, I believe that this is a  
5 potentially serious issue. I believe that if we delay it --  
6 let me see, I think that we should take precautions now. I  
7 believe if we delay it there is not going to be much  
8 information that we are going to be able to receive with  
9 which to make the decision, and so I think it should be made  
10 as soon as possible.

11 DR. HOLLINGER: I didn't ask Dr. Buchholz. Dr.  
12 Buchholz?

13 DR. BUCHHOLZ: Concur.

14 DR. SMALLWOOD: The results of voting to table response  
15 to these questions, there were 10 yes votes, 1 no vote and  
16 the industry rep agreed with the yes votes.

17 DR. HOLLINGER: Okay. I want to thank again the FDA,  
18 and the committee members for putting in a lot of time, and  
19 the people in the audience for this meeting. Dr. Epstein  
20 has a comment first.

21 DR. EPSTEIN: I would just like to draw the attention  
22 of the members to the fact that there was a draft guidance  
23 document placed in your mailer. Although we will commit to  
24 bring the issue back, I think it might be helpful if members  
25 had comments on the draft document, FDA would appreciate

1 receiving those.

2 DR. HOLLINGER: On the xenotransplantation?

3 DR. EPSTEIN: Right, on xenotransplant recipients and  
4 partner deferral. To address Dr. Bianco, as part of our  
5 good guidance practice, we will be making a proposal  
6 available for public comment.

7 DR. HOLLINGER: Thank you very much. The meeting is  
8 adjourned.


9 [Whereupon, at 3:17 p.m., the proceedings were  
10 concluded.]

11

- - -

**C E R T I F I C A T E**

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Alice Toigo", is written above a horizontal line. The signature is fluid and includes a long horizontal stroke at the end of the word "Toigo".

**ALICE TOIGO**