

UNITED STATES OF AMERICA

+++++

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+++++

PUBLIC HEALTH SERVICE

+++++

FOOD AND DRUG ADMINISTRATION

+++++

CENTER FOR BIOLOGICS EVALUATION
RESEARCH

+++++

BIOLOGICAL RESPONSE MODIFIERS
ADVISORY COMMITTEE

MEETING

+++++

TUESDAY
MARCH 24, 1998

The meeting took place in Plaza II and III,
Doubletree Hotel, Rockville, MD at 8:30 a.m., Julie M.
Vose, M.D., Chair, presiding.

PRESENT:

JULIE M. VOSE, M.D., Chair
GAIL DAPOLITO, Executive Secretary
W. FRENCH ANDERSON, M.D., Member
HUGH AUCHINCLOSS, JR., M.D., Member
ELLIN R. BERMAN, M.D., Member
VIRGINIA C. BROUDY, M.D., Member
PAMELA M. HARTIGAN, Ph.D., Member

RICHARD HONG, M.D., Member
MICHAEL S. KATZ, Patient Representative
EUGENIE S. KLEINERMAN, M.D., Member
KATHERINE E. KNOWLES, Consumer Representative
ABBEY S. MEYERS, Consumer Representative (by phone)

PRESENT (cont'd)

WILLIAM M. O'FALLON, Ph.D., Member
JONATHAN SILVER, M.D., Member
ALTON FLOYD, Ph.D., Temporary non-voting Member
PATRICIA KEEGAN, M.D., FDA Representative
CAROLE B. MILLER, M.D., FDA Representative
JAY P. SIEGEL, M.D., FDA Representative
KAREN WEISS, M.D., FDA Representative

EDA BLOOM, Ph.D., FDA Speaker
JUDITH KASSIS, Ph.D., FDA Speaker
STEPHEN LITWIN, M.D., FDA Speaker
EDWARD MAX, M.D., FDA Speaker
PHILIP NOGUCHI, M.D., FDA Speaker
JAMES BERENSON, M.D., Sponsor Representative
CINDY JACOBS, Ph.D., M.D., Sponsor Representative

MONIKA KRIEGER, Ph.D., Sponsor Representative

ALSO PRESENT:

KEN ANDERSON, M.D.
KEITH STEWART, M.D.
JOE TARNOWSKI, Ph.D.

BOB VESCIO, M.D.
MIKE WHITE, Ph.D.

A-G-E-N-D-A

OPENING AND ADMINISTRATIVE REMARKS

Executive Secretary Gail Dapolito 4
Chairperson Julie Vose 7

OPEN COMMITTEE DISCUSSION: Premarketing Approval
Application BP94-001/03, for Ceprate SC System,
CellPro Incorporated

Presentation by CellPro, Inc.

I. Introduction

Monika Krieger, Ph.D. 7
Director, Regulatory Affairs, CellPro

II. Description of PCR Assay for Detection of

Tumor Cells Results of Phase I/II Study
James Berenson, M.D. 9
Chief of Medical Oncology, West Los
Angeles VA Medical Center

III. Results of Phase III Study

Additional Tumor Purging Results

Cindy Jacobs, Ph.D., M.D. 17
Vice-President, Clinical Research CellPro

FDA Perspective

I. Introduction

Stephen Litwin, M.D., OTRR, CBER . . . 67

II. Description of PCR-Based Myeloma Cell Assay

Edward Max, M.D., OTRR, CBER 75

III. Safety and Efficacy

Stephen Litwin, M.D. 87

Overview of Research Programs Laboratory of Cellular

Immunology Laboratory of Developmental Biology

Philip Noguchi, M.D.167
Division Director, Cellular and Gene Therapies

Eda Bloom, Ph.D.170
Lab Chief, Laboratory of Cellular Immunology

Judith Kassis, Ph.D.
Laboratory of Developmental Biology

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:38 a.m.)

3 MS. DAPOLITO: Good morning. I would like

4 to welcome Dr. Vose to the 22nd meeting of the

5 Biological Response Modifiers Advisory Committee. I

6 am Gail Dapolito, and I am the designated federal

7 official for today's proceedings. I would like to

8 begin by introducing the committee members and

9 consultants seated on our panel today. I will begin

10 on my left. Dr. Mike O'Fallon, Mayo Clinic; Dr.

11 Jonathan Silvers is here from the National Institutes

12 of Health; Dr. Virginia Broudy, University of

13 Washington, School of Medicine; our industry

14 representative, Dr. Alton Floyd of Trigon

15 Technologies; Dr. Eugenie Kleinerman, MD Anderson

16 Cancer Center; Dr. Richard Hong, University of

17 Vermont; our Chair, Dr. Julie Vose, University of

18 Nebraska; Dr. Ellin Berman, Memorial Sloan-Kettering

19 Cancer Center; Dr. Pamela Hartigan, VA Medical Center,
20 Westhaven; Dr. Hugh Auchincloss, Harvard Medical
21 School; Ms. Katherine Knowles, Health Information
22 Network. Ms. Knowles has graciously accommodated our
23 very last minute request to join us as a consumer
24 representative today. We thank her very much. Next
25 is our patient representative, Mr. Michael Katz,

1 International Myeloma Foundation, and Dr. French

2 Anderson, University of California.

3 The Center for Biologics Evaluation and

4 Research is represented today by Dr. Patricia Keegan,

5 Dr. Karen Weiss, and Dr. Jay Siegel, who will join us

6 later.

7 Dr. Vose, with your permission, I will now

8 read the conflict of interest statement. The

9 following announcement is made part of the public

10 record to preclude even the appearance of a conflict

11 of interest at this meeting. Pursuant to the

12 authority granted under the Committee charter, the

13 Commissioner of FDA has appointed Ms. Katherine

14 Knowles as a temporary voting consumer representative,

15 and Dr. Alton Floyd as a temporary non-voting industry

16 representative. In addition, the Director of the

17 Center for Biologics Evaluation and Research has

18 appointed the following individuals as temporary

19 voting members: Mr. Michael Katz and Dr. Jonathan
20 Silver. Based on the agenda made available, it has
21 been determined that all financial interests in firms
22 regulated by the Center for Biologics Evaluation and
23 Research, which have been reported by the
24 participating members and consultants as of this date
25 present no potential for an appearance of a conflict

1 of interest at this meeting with the following

2 notations to preclude even the appearance of a

3 conflict of interest.

4 Mr. Michael Katz, a patient

5 representative, reported that he is a volunteer member

6 of the Executive Board of Directors of the

7 International Myeloma Foundation, a non-profit

8 organization. The International Myeloma Foundation is

9 being reimbursed by CellPro for the production costs

10 of an informational booklet on bone marrow

11 transplantation. Mr. Katz participated in a previous

12 meeting between the IMF and a representative from

13 CellPro regarding bone marrow transplantation. Mr.

14 Katz received no personal remuneration for attending

15 this meeting.

16 Dr. Carol Miller has recused herself from

17 today's Committee discussions. The following members

18 and consultant have no interests to disclose: Dr.

- 19 French Anderson, Dr. Hugh Auchincloss, Dr. Ellin
- 20 Berman, Dr. Virginia Broudy, Dr. Alton Floyd, Dr.
- 21 Pamela Hartigan, Dr. Richard Hong, Dr. Eugenie
- 22 Kleinerman, Ms. Katherine Knowles, Ms. Abbey Meyers,
- 23 Dr. Michael O'Fallon, Dr. Jonathan Silver, and Dr.
- 24 Julie Vose. In the event that the discussions involve
- 25 other products or firms not already on the agenda for

1 which the FDA participants have a financial interest,
2 the participants are aware of the need to exclude
3 themselves from such involvement and their exclusion
4 will be noted for the public record. I turn it over
5 to you, Dr. Vose.

6 CHAIRPERSON VOSE: Thank you. I'd like to
7 welcome everyone to the hearings today. And I think
8 that we will go ahead and -- is there anyone today
9 that would like to come forward for the open public
10 comment? Not seeing anyone at this time, we will go
11 ahead with the hearings today. First would be to
12 initiate the discussion on the pre-market approval for
13 Ceprate, CellPro Incorporated. And we will go ahead
14 with the presentation by CellPro.

15 DR. KRIEGER: Good morning. On behalf of
16 everyone at CellPro, I would like to thank the FDA for
17 inviting us to speak this morning and present the
18 results of the Phase III Study. This study was

19 designed to expand the label indication for the
20 CellPro Ceparate SC stem cell concentration system to
21 include the use of peripheral blood.
22 The first slide here shows the instrument,
23 the CellPro instrument system with the disposables
24 attached to it. This system is currently in use at
25 300 medical centers around the world and more than

1 6,000 patients have been treated with cells that have
2 been selected using the CellPro system.

3 Our presentation today will be divided
4 into three parts. Dr. James Berenson, Professor of
5 Medicine at UCLA will present the first part. He will
6 discuss the Phase I/II study, which was the basis for
7 our Phase III trial. He will present the development
8 of the tumor detection assay which was used in our
9 study as well as the clinical trial results from the
10 Phase I/II study.

11 Then Dr. Cindy Jacobs from CellPro, our
12 Vice President of Clinical Research, will present the
13 results of the Phase III study, and she will also
14 summarize the literature on additional tumor purging
15 results using the Ceprate SC in patients with multiple
16 myeloma, breast cancer, and lymphoma.

17 After the presentation, if there are
18 questions, we have a number of people with us prepared

19 to answer questions. We have four clinical
20 investigators with us who were part of the Phase III
21 trail; Dr. Berenson, Dr. Vescio, Dr. Stewart, and Dr.
22 Anderson. We also have two statisticians with us who
23 can answer questions; Dr. Mike White of CellPro and
24 Dr. Brent Blumenstein of the Fred Hutchinson. In
25 terms of questions on the PCR assay, we have some

1 additional people; Dr. Jerry Radich from Fred
2 Hutchinson, Dr. Amy Ross from MRD Diagnostics. And
3 then if there are questions on the clinical trials,
4 there are, of course, those of us from CellPro,
5 including Dr. Jacobs, Dr. Sing, and myself.

6 Okay, I would like to now turn the meeting
7 over to Dr. Berenson.

8 DR. BERENSON: Good morning. Multiple
9 myeloma is a bone marrow-based malignancy
10 characterized by monoclonal plasma cells, these fried
11 egg-appearing cells in this patient's bone marrow.
12 This is the second most common hematological
13 malignancy with 15,000 new cases per year. Although
14 a variety of different chemotherapeutic and biologics
15 have been tried with varying response rates. none of
16 these have translated to cure with a median survival
17 of about 30 months. The use of high-dose therapy
18 followed by either allogeneic or autologous bone

19 marrow support has a higher response rate than the
20 conventional regimens. Whether it leads to an
21 improvement in survival was not clear until the
22 publication of a recent randomized study from the
23 French Intergroup, in which 200 patients were
24 randomized to either receive conventional therapy or
25 conventional therapy followed by high-dose with

1 Melphalan and TBI followed by in this case autologous
2 bone marrow transplant. In this study published in
3 the New England Journal of Medicine, there was an
4 improvement in overall survival as shown here in the
5 high-dose arm compared to the conventional arm, and a
6 recent update of this study presented to the ASH
7 Meeting in San Diego in December continues to show the
8 improvement in overall survival in the arm that
9 underwent the high-dose followed by bone marrow
10 support.

11 Although one thinks of myeloma as a bone
12 marrow-based disease, there is evidence for peripheral
13 blood involvement in most if not all patients, the
14 most specific of which is based on molecular
15 techniques developed in our laboratory and others. So
16 that, therefore, it becomes not only of biologic, but
17 possibly clinical importance to determine where in B
18 cell differentiation this tumor may begin. In fact,

19 we know that CD34 is expressed on the pluripotent stem
20 cell, and cells selected for this in the peripheral
21 blood are capable of engrafting patients following
22 myeloablative chemotherapy. However, we know that
23 this antigen is also expressed on early B cells in
24 this cascade. So, therefore, it became important to
25 determine if any CD34 expressing cells were also part

1 of the malignant clone.

2 Now we are fortunate in multiple myelomas
3 to have an excellent marker for the tumor, and that is
4 based on the antibody that is produced by those
5 monoclonal plasma cells and the specificity resides in
6 the variable region, the amino terminal portion of the
7 molecule which gives rise to its antigen recognition
8 specificity. Three parts of that portion of the
9 molecule called hyper-variable regions are the areas
10 of the molecule with directly contact antigen. These
11 have also been called complementarity determining
12 regions.

13 Thus, a molecular marker is possible in
14 myeloma to determine malignancy based on the sequence
15 at the gene level which gives rise to that portion of
16 the molecule. Now the variable region is made up of
17 50 different genes which have been divided into 7
18 different families. Thus, one can develop a set of

19 primers here in the 5 prime region in the leader part,
20 and then in the 3 prime region, one can develop a set
21 of primers based on C-gamma for IgG-producing myeloma
22 or C-alpha for IgA producing myeloma. And using PCR
23 technique on RNA turned into DNA by reverse
24 transcriptase, one can obtain the sequence of this
25 portion of the molecule.

1 Now importantly, those CDR's are again

2 very specific in terms of determining malignancy, and

3 that is because they are the portions of the molecule

4 which bind to antigen. The CDR3 region is not only

5 made of a short stretch of nucleotides called

6 diversity segment, but also is accompanied by the

7 addition of non-germ line nucleotides called end-

8 region addition. So it has additional specificity.

9 Thus, by obtaining that sequence that is expressing

10 that part of the molecule, one has an excellent

11 molecular marker.

12 Here is an example of one of the sequences

13 that we obtained. In fact, this was one of the first

14 ones many years ago, and this is what we called WAD#1,

15 the patient sequence, and this is the most homologous

16 germ line sequence called V1DP5. You can see in most

17 parts of the molecule, there is identity between the

18 patient sequence and the germ line sequence indicated

19 by the dots. But in those regions that bind to
20 antigen by that process of somatic mutation, so the
21 final antibody produced can more avidly bind to
22 antigen, there are differences, again called somatic
23 mutations. So this becomes an excellent specific
24 tumor marker that we can develop primers for to detect
25 malignant cells in the CDR1 and an accompanied 3 prime

1 primer encompassing that CDR3, and if you recall, that
2 consists of that D segment plus the addition of non-
3 germ line nucleotides. Thus, the combination of the
4 CDR1 and the CDR3, pairs of those primers, becomes
5 very specific at detecting only malignant cells in
6 mixed population.

7 So in order to first answer the question
8 of whether CD34 was expressed onto any malignant
9 cells, CD34 cells were first enriched using the
10 Cephate device followed by flow sorting using a
11 Cephate antibody against CD34. And using patient-
12 specific primers as I showed were generated in a
13 similar manner to the previous slide, we could not
14 find any amplified PCR product in the CD34 purified
15 population, and yet the bone marrow from that same
16 patient containing the tumor cells diluted out even
17 10,000-fold, we were able to find amplified product,
18 suggesting indeed that CD34 was not expressed on the

19 malignant clone.

20 So this then provided the rationale for

21 our Phase II study in which patients with advanced

22 myeloma underwent high-dose chemotherapy followed by

23 the use of autologous CD34 selected peripheral blood

24 stem cells. The rationale, of course, the bone marrow

25 has a lot of tumor and the blood has a little tumor,

1 and theoretically selecting for CD34 will reduced the
2 tumor burden that will be given back to the patient
3 following the myeloablative chemotherapy.

4 The regimen used is outlined here. We
5 mobilized stem cells using intravenous
6 cyclophosphamide, four days of oral prednisone, and
7 daily injections of G-CSF. The stem cells were
8 collected and then selected using the Ceprate device
9 and stored away. Patients were then given high doses
10 of Busulfan at 15/kg and cyclophosphamide at 120/kg.
11 They then received back the stem cells, which again
12 were CD34 selected. GM-CSF was used to enhance
13 recovery. And in this particular Phase II trial, we
14 used interferon and dexamethasone for one year post-
15 transplant as maintenance treatment.

16 Now the tumor detection assay developed by
17 Bob Vescio in our group is outlined in the next few
18 slides. Again, primers were made that were

19 complimentary to the unique regions in the CDRs. We
20 employed a 60-cycle PCR using our sample DNA, in this
21 case either CD34 selected or unselected autograft
22 material, which was serially diluted in normal, in
23 this case, placental DNA to a final concentration
24 containing the worth of 100,000 cells of DNA, which is
25 .6 micrograms. And then the products were

1 electrophoresed and stained appropriately.

2 Now the quantitative nature of the assay
3 was because we actually did serial replicates at each
4 of these dilutions. I will outline this briefly for
5 you. This is in cartoon form, of course. In this
6 case, LK for leukophoresis DNA in the undiluted
7 sample, there is only leukophoresis DNA. With serial
8 dilution in placental DNA, we have, of course, less
9 and less of our sample DNA and more and more of our
10 normal DNA. And as you see out here, very little
11 sample DNA and much normal DNA. So theoretically, a
12 tumor containing sample, all of these reactions would
13 be possible. All of these may be positive. And slowly
14 this number would become zero out of 5. And then one
15 can convert this to a percentage contamination in the
16 undiluted sample using Poisson distribution analysis.
17 And this is based on the fact that we can detect one
18 copy of the target DNA, in this case that tumor-

19 specific immunoglobulin gene primer pairs, in our PCR
20 tube. Again, as I showed you, five replicates done at
21 each serial dilution. And then we can use the old
22 computer using Poisson distribution analysis to
23 determine the percentage of tumor in the undiluted
24 sample.
25 And here is an example of one of the

1 patients actually from the Phase III trial, and here
2 we are looking at post mobilization chemotherapy
3 peripheral blood mononuclear cells. In part A here is
4 the undiluted blood, and we serially dilute this out.
5 So you can see the reactions using patient-specific
6 primers. All five of these are positive, five of
7 these, et cetera. And as we move out here slowly,
8 these become negative. And again one then can convert
9 using Poisson distribution analysis to determine the
10 percentage of tumor in the undiluted sample and
11 multiply that by the amount of leukaphoresis cells
12 obtained and obtain a number of tumor cells per
13 kilogram in the leukaphoresis product and then in the
14 CD34 selected absorbed product.
15 These are the results from the clinical
16 samples from the Phase II trial in which 18 patients
17 were analyzed. 11 out of the 18 contain tumor in the
18 unselected fraction as you see here, ranging from

19 about 4,000/kg to a high of 2 million. Following CD34
20 selection in the absorbed fraction, the tumor cell
21 numbers were reduced below the level of sensitivity of
22 this assay, which is 1:700,000 normal cells in the 8
23 cases you see. In the three in which we could obtain
24 numbers, you can see they range from 83 to a high of
25 2,000, with a log reduction -- in most cases, we

1 couldn't give a quantitative number. It was a greater
2 than because we couldn't obtain any tumor cells in the
3 CD34 selected fraction. In the three cases that we
4 could, there was approximately a 3 log reduction in
5 tumor burden following CD34 selection.

6 Now despite that excellent reduction in
7 tumor burden, there was very quick engraftment in that
8 neutrophil recovery by a median of 12-days occurs, and
9 platelets to 20,000 and 50,000 occurred at 12 and 13
10 days respectively. Importantly in this Phase II
11 study, we also establish a threshold dose of CD34
12 required, and that was 2 million cells per kilogram.

13 In patients who received less than that threshold
14 dose, there was a delay in neutrophil recovery and the
15 patients had a delay in platelet recovery as well and
16 required, as you see here, more red cell transfusions
17 and platelet transfusions. This established our goal
18 for a threshold dose of CD34 for the Phase III trial,

19 which will now be presented by Dr. Cindy Jacobs.

20 Thank you.

21 DR. JACOBS: For the Phase III study, I

22 will first present the study design and then the

23 patient characteristics and processing results, next

24 the primary and secondary endpoints, and the long-term

25 follow-up results at one year post-transplant.

1 This is a controlled Phase III study
2 randomizing patients to either have their stem cells
3 CD34 selected using the Ceprate system or unselected.
4 The 15 participating sites are listed here in order of
5 accrual, with UCLA, Toronto Hospital, Dana Farber
6 Cancer Institute, Washington University, and the
7 University of South Florida occurring the majority of
8 the patients.

9 This shows the scheme of treatment.
10 Patients were registered if they had a diagnosis of
11 multiple myeloma and stable or responsive disease
12 after 3 cycles of chemotherapy. They were excluded if
13 they had progressive disease or greater than 3 months
14 of ablative therapy or a total of greater than six
15 months of chemotherapy. All patients were mobilized
16 using cytoxin, prednisone, and G-CSF. The patients
17 were randomized just prior to starting leukaphoresis
18 and PBPC collection to have their stem cells either

19 Ceprate selected or unselected using standard
20 procedures. All patients received high-dose
21 chemotherapy consisting of Busulfan and cytoxin, and
22 then either received their CD34 selected stem cells or
23 their unselected stem cells. All patients received
24 GM-CSF post-transplant. The primary study period was
25 six months, and we have annual follow-up that is

1 ongoing.

2 There were two primary study objectives,
3 one for safety and one for efficacy. The safety
4 objective was to demonstrate equivalent neutrophil
5 engraftment for both arms. The efficacy objective was
6 to demonstrate a reduction in tumor cells in the
7 Ceprate selected arm.

8 There were four main secondary safety
9 endpoints; time to neutrophil engraftment, time to
10 platelet engraftment, percent of patients with
11 infections, and overall survival at 6 months. There
12 were a number of other secondary endpoints. The first
13 six listed here were additional secondary safety
14 endpoints. The next three listed are additional
15 secondary efficacy endpoints. And the last two were
16 long-term follow-up endpoints.

17 I would like to now show the patient
18 characteristics and processing results. There were

19 134 patients that were registered on the study. Three
20 of those patients were not randomized to the study.
21 So of the 131 patients registered and randomized onto
22 the study, 67 were in the CD34 selected arm and 64
23 were in the unselected arm.
24 Of the 131 patients randomized, all were
25 infused except for one patient. This patient had

1 inadequate mobilization of stem cells. This patient
2 ended up withdrawing consent for transplant on this
3 study. So there were 130 patients that were infused.

4 This is a summary of the demographic
5 characteristics. The arms were comparable for the
6 demographic characteristics except for one, gender.
7 There were more females in the CD34 selected arm than
8 in the unselected arm. For the other parameters --
9 race, age, weight, and years since first diagnosis --
10 the arms were comparable. Although not shown here,
11 the arms were also comparable for other disease
12 characteristics as well as prior cancer-related
13 therapy.

14 Of the 40 various laboratory parameters
15 that we measured at baseline and at randomization, all
16 were comparable between the arms except for four, and
17 they are listed here. For the white blood cell count
18 and platelet count, the CD34 selected arm had

19 significantly lower counts in the unselected arm. For
20 the median white blood cell count, the CD34 selected
21 arm had approximately 16,000 compared to 26,000 with
22 a P value of .04. The median platelet count for the
23 CD34 selected arm was 110,000 versus 152,000, with a
24 P value of less than .01.
25 The CD4/CD8 ratio was also significantly

1 lower in the CD34 selected arm compared to the
2 unselected arm with a P value of .03. And the number
3 of patients that had reactivity to CMV were higher in
4 the CD34 selected arm than in the unselected arm with
5 a P value of .01.

6 This is intended to keep you awake. For
7 the first two parameters, the clinical relevance of
8 this will be discussed later. For the latter two
9 parameters, the clinical relevance is unknown at this
10 point. However, the overall trend for these four
11 parameters was in favor of the unselected arm.

12 Before going over the processing results,
13 I would like to briefly go over each step in each of
14 the arms. For the CD34 selected arm, the product to
15 start is incubated with the CD34 antibody. Then the
16 cells are processed using the Ceprate system yielding
17 a CD34-enriched product and a CD34-depleted product.

18 For the CD34-enriched product, it is cryo-

19 preserved until transplant was thawed just prior to
20 infusion. For the unselected arm, the product to
21 start was cryopreserved until transplant and then
22 thawed just prior to infusion.

23 Let's first look at the number of
24 leukophoresis. The majority of the patients required
25 two leukophoreses. However, if you look at the

1 overall general distribution, you can see there were
2 a few less patients in the CD34 selected arm requiring
3 two and a few more requiring three and four when
4 compared to the unselected arm. This overall
5 distribution was statistically significant.

6 So we did additional analyses to look at
7 factors influencing the number of leukophoresis and
8 there were two important factors. First of all, this
9 study required that a minimum of two leukophoreses
10 were obtained, and leukophoresing continued until all
11 patients had 5×10^8 nucleated cells per kilogram.
12 For the CD34 selected arm, there was an additional
13 criterion for post-processing to have at least 4×10^6
14 nucleated cells per kilogram. This is really based on
15 achieving a minimum of 50 percent CD34 cells, so the
16 minimum target of 2×10^6 CD34 cells per kilogram would
17 be achieved. 18 of the 67 patients or 27 percent of
18 the patients required an additional leukophoresis

19 because of this criterion. However, this is where,
20 again, the baseline differences between the arms also
21 appeared. As with the WBC or the hematologic values
22 at randomization, which occurred just prior to
23 leukapheresis, the trend toward -- there was a trend
24 toward the CD34 selected arm requiring an additional
25 leukapheresis than the unselected arm to at least

1 achieve the first criterion of 5×10^8 nucleated cells
2 per kilogram in the peripheral blood product. So both
3 of these played a factor.

4 This slide summarizes the processing
5 results so you can see the median of 2 leukophoreses
6 in both arms with overlapping ranges. The number of
7 CD34 cells at the various processing steps are here.
8 Both arms were comparable for the number of CD34 cells
9 in the initial product with the median of 10×10^6 CD34
10 cells per kilogram in the CD34 selected arm and 8.7 in
11 the unselected arm. The overall yield in this trial
12 was 60 percent. So in the enriched product, the
13 median CD34 cells infused was 5.4×10^6 per kilogram
14 compared to the 8.7×10^6 for the unselected arm.

15 Let's now review the primary safety and
16 efficacy endpoints. The primary safety endpoint was
17 successful neutrophil engraftment on or by day 14.
18 For the CD34 selected arm, 94 percent of the patients

19 achieved this and 100 percent in the unselected arm.

20 Of the four patients who did not achieve neutrophil

21 engraftment by day 14, they are listed here. Three of

22 those patients engrafted on day 15. One of the

23 patients was not infused, and this is the patient you

24 saw previously that had inadequate mobilization and

25 withdrew consent for transplant on the study. Since

1 this was an intent to treat analysis, this patient was
2 considered a failure to engraft. The intent to treat
3 analysis for the study did show that the arms were
4 equivalent in successful neutrophil engraftment.

5 For the primary efficacy endpoint, an
6 attempt was made for all patients to obtain a clonal
7 immunoglobulin sequence and then to assess the number
8 of tumor cells in the initial PBPC product. To that
9 end, 42 percent of the patients in the CD34 selected
10 arm and 30 percent in the unselected arm did have
11 their clonal immunoglobulin sequence obtained. Thus,
12 for those patients, we could look at the number of
13 tumor cells in the initial PBPC product. The median
14 number of tumor cells in the CD34 selected arm was 2.6
15 million. For the unselected arm, it was 2.3 million.
16 Both of these parameters were comparable between the
17 arms prior to processing.

18 The reasons that a clonal immunoglobulin

19 sequence were not obtained are listed here. Although
20 the reasons varied, the arms were comparable for the
21 various reasons that a clonal immunoglobulin sequence
22 was not obtained.

23 In looking at the Ceprate arm then prior
24 to and after processing, in the initial PBPC product,
25 the median, as you saw before, of tumor cells was 2.6

1 million with a range of zero to 363 million tumor
2 cells. In the CD34 enriched product, the median was
3 zero. This is mainly because the majority of the
4 patients had no detectable tumor cells. The range
5 still showed zero to 1.2 million tumor cells. In the
6 CD34 depleted product, the median number of tumor
7 cells was approximately 9 million with the range of
8 zero to 375 million similar to the PBPC product.

9 If you now take these numbers for each
10 individual patient and calculate the log depletion of
11 tumor cells for before to after processing, the median
12 log of tumor cells was 3.1. The range was 1.6 to 6
13 logs of tumor cell depletion. For those patients that
14 had no detectable tumor cells in their enriched
15 product, we calculated for each patient the lower
16 limit of detection and considered there was residual
17 tumor cell and used that value to calculate the log
18 depletion. So the log depletion is underestimated for

19 those patients.

20 We also, of the 28 patients -- there were

21 four patients who had no detectable tumor cells in the

22 initial PBPC product and no detectable tumor cells

23 after processing, and those four patients are not in

24 this analysis.

25 We did a couple of analyses looking at

1 mass balance of tumor cells. This figure represents
2 one of them. We looked at linear regression, taking
3 the number of tumor cells in the enriched product plus
4 the number of tumor cells in the depleted product and
5 compared it to the number of tumor cells in the
6 initial PBPC product. This shows the linear
7 regression line. One would expect the intercept of 0
8 and a slope of 1. The slope of this line was 1.02 and
9 an r coefficient of .98.

10 I would like to now review the main
11 secondary safety results. Based on neutrophil
12 engraftment, the median day was 12 in both arms with
13 overlapping ranges. Based on platelet engraftment,
14 the median was day 11 in the CD34 selected arm versus
15 day 9 in the unselected arm. This two-day difference
16 was statistically significant. I think you can better
17 see it in the Kaplan Meier curve, where you are
18 looking at the CD34 selected arm in the solid line and

19 the unselected arm in the dash line. You can see

20 there is a slight delay here.

21 In this protocol, we had prospectively

22 planned to do an analysis looking for factors

23 influencing engraftment just as we had done in the

24 previous Phase III trial. And as we had done in the

25 previous Phase III trial, we looked at various

1 clinical variables and processing variables and did a
2 multi-variate analysis looking for which factors were
3 the most significant in influencing platelet
4 engraftment and platelet recovery. Platelet
5 engraftment is platelet transfusion independence.
6 Platelet recovery is platelet transfusion independence
7 and a platelet count of greater than 20,000. The
8 analysis for both of these is the same, so they will
9 be presented together.

10 The multivariate analysis showed two
11 significant factors, CD34 cells per kilogram in the
12 infused product and the platelet count at
13 randomization. Since we had already put in the trial
14 to look at all engraftment, we did the same analysis
15 for neutrophil engraftment as well. Only one factor
16 came out in neutrophil engraftment and that was CD34
17 cells per kilogram in the infused product.

18 Now we were already sensitive to the

19 number of CD34 cells because in the previous Phase
20 I/II trial, we already knew that the target should be
21 2×10^6 CD34 cells per kilogram. So we did look at the
22 population of patients, regardless of arm in this
23 study, evaluating that. For the 130 patients, five
24 patients we did not have CD34 values. So 108 patients
25 here received greater than 2×10^6 CD34 cells. The

1 remaining 17 patients had less than 2×10^6 CD34 cells.

2 And you can see here the significant delay in platelet

3 engraftment. Of the 17 patients that received less

4 than 2×10^6 , there were 10 in the Ceprate arm and 7

5 in the unselected arm.

6 We then looked at comparing the arms for the 108

7 patients with greater than 2×10^6 , and there was no

8 significant difference in time to platelet

9 engraftment.

10 We also looked at adjusting for CD34 cells

11 per kilogram and platelet count at randomization. If

12 you also adjust for those factors, there is no

13 significant difference between the arms.

14 Incidence of infections was a main

15 secondary safety endpoint. As you can see here, the

16 number of patients with at least one infection from

17 day zero to day 100 was comparable between the arms.

18 Also out to day 6 months was comparable. Severe or

19 life-threatening infections from day zero to day 100

20 were comparable and out to 6 months were also

21 comparable.

22 The main secondary endpoint of survival is

23 actually shown here as the number of deaths. There

24 were only 7 deaths that occurred six months post-

25 transplant. Two in the CD34 selected arm, 5 in the

1 unselected arm. The two in the CD34 selected arm died
2 of underlying malignancy. Of the five in the
3 unselected, one died of infection, one of VOD, and the
4 other three of underlying malignancy. So the arms
5 were comparable.

6 Let's now look at the other secondary
7 safety results. Number of transfusions were evaluated
8 to day 100. Platelet transfusion events, the median
9 was 3 in the CD34 selected arm versus 2. This was
10 statistically significant. Since platelet transfusion
11 independence was a criteria for platelet engraftment
12 and recovery, we looked at the number of platelet
13 transfusion events for those patients greater than 2
14 x 10⁶, and there was no significant difference between
15 the arms. We also adjusted for platelet count at
16 randomization. And if you adjust for platelet count
17 at randomization, again there is no significant
18 difference between the arms for platelet transfusion

19 events. There was no difference between the arms as
20 far as red blood cell units given.

21 The incidence of bleeding events were
22 comparable between the arms. There were no new
23 bleeding events reported after day 100.

24 The immunity reconstitution was evaluated
25 in this study for all patients looking at

1 immunophenotyping and serum quantitative
2 immunoglobulin levels. We also looked at lymphocyte
3 proliferation and viral serologies in a subgroup of
4 patients. For cell-mediated immunity, there were no
5 differences between the arms at baseline, day 100 or
6 6 months visits for total lymphocytes, CD3 cells, CD8,
7 and CD56 cells. There was also no difference between
8 the arms at baseline day 100 and 6 months for PHA ConA
9 and tetanus for the lymphocyte proliferation assays.
10 There was a significant difference in CD4 counts at
11 day 100 and 6 months. For example, at day 100, the
12 median CD34 count in the selected arm was 211 compared
13 to 298 in the unselected arm. The relevance of this
14 significance is unknown.

15 For humoral immunities, there were no
16 differences between the arms for the quantitative
17 immunoglobulin levels assessed or reestablishment of
18 antibody reactivity to various viruses. If you look

19 at late infections, there were no differences between
20 the arms in incidence of infections after day 100 to
21 the 6-month visit for the arms, and there were no
22 differences between the arms in the type of late
23 infections either.

24 Let's look at the secondary efficacy
25 results. We looked at the number of tumor cells

1 infused not only for the Ceprate selected arm but the
2 unselected arm for those patients that we obtained a
3 clonal immunoglobulin sequence. You have seen these
4 numbers before with the median of zero. The
5 unselected arm, the median was 2.3 million, and this
6 was significant.

7 Here we see the number of patients that
8 had no detectable tumor cells in the initial
9 leukophoresis prior to processing or in the initial
10 product. There were four patients in the selected arm
11 that had no detectable tumor cells or 14 percent, and
12 also 4 in the unselected arm. There was no
13 significant difference between the arms prior to
14 processing. After processing, 54 percent of the
15 patients had no detectable tumor cells and this was
16 significantly different.

17 Incidence of infusional toxicities were
18 also evaluated. The incidence of hypertension was

19 significantly lower in the CD34 selected arm compared
20 to the unselected arm. The incidence of bradycardia
21 was also lower in the CD34 selected arm compared to
22 the unselected and approached significance. There was
23 no difference in heart block or atrial arrhythmias.
24 So the reduction in hypertension and bradycardia is
25 similar to our previous approval for bone marrow.

1 Let's look at the long-term follow-up
2 results. We looked at hematologic values at 6 months
3 for ANC, platelet counts of less than 50,000,
4 hemoglobin of less than 10 grams, and there was no
5 difference. The arms were comparable. We had one
6 patient in the CD34 selected arm that received the
7 back-up depleted product at day 119 for platelet count
8 of less than 20,000. This patient was platelet
9 transfusion independent. And even after infusion of
10 the back-up, platelet counts remained below 20,000.

11 Although the curve is immature, this is a
12 Kaplan Meier for overall survival, and there is no
13 difference between the arms. And again, although the
14 curve is immature, this is the progression pre-
15 survival for the selected arm and the unselected arm
16 and there is no difference between the arms at this
17 point.

18 So in summary, this study successfully met

19 the primary safety and efficacy endpoints, the safety
20 being successful neutrophil engraftment by day 14 and
21 the efficacy for a significant reduction of tumor
22 cells in the Ceprate arm. There were no statistically
23 significant differences between the arms for time to
24 neutrophil engraftment, incidence of infections, and
25 overall survival. However, there was a significant

1 difference in median time to platelet engraftment,
2 which was 11 in the Ceprate arm and 9 days in the
3 unselected arm. When evaluating this further, the
4 time to platelet engraftment and the number of
5 platelet transfusion events were influenced by the
6 number of CD34 cells infused and the platelet count
7 at randomization. There were no significant treatment
8 arm effects after adjusting for these factors and
9 there were also no significant differences if you
10 evaluate patients who received at least 2×10^6 CD34
11 cells per kilogram.

12 There were no statistical significant
13 differences between the arms in the number of other
14 secondary safety endpoints. Some of them I have not
15 presented like days of hospitalization and incidence
16 of adverse events.

17 The secondary efficacy endpoints, there
18 was a reduction in the number of tumor cells infused.

19 I didn't present this, but there was a median 74-fold
20 enrichment of CD34 cells and a reduced proportion of
21 patients with hypertension post-infusion.
22 I would now like to just briefly summarize
23 the additional tumor purging results from other
24 investigative studies that are published using the
25 Ceprate system. In your briefing document, we have

1 presented results from the Phase I/II multiple myeloma
2 study that Dr. Berenson showed you. We also went back
3 and looked at the breast cancer purging in the
4 previous Phase I/II study from the prior approval.
5 Since these data are presented in the publications, I
6 am not going to present it separately.

7 Let's just first go to the publications
8 looking at tumor purging for multiple myeloma
9 patients. Of the studies, three of them looked at log
10 tumor depletion. The Schiller publication does
11 contain some of the Phase I/II data. This showed a
12 range of 2.5 to greater than 4.5 log tumor depletion.

13 Looking at the number of contaminated products purged
14 below detection after the Ceprate system, it was 63
15 percent, 140, or 100 percent depending on the samples.

16 Of the publications looking at tumor
17 purging for patients with breast cancer, both
18 peripheral blood and bone marrow have been evaluated.

19 The log depletion, the median was 2 with a range of 1
20 to greater than 4 for both bone marrow and peripheral
21 blood. For the number of contaminated products purged
22 below detection, it was 18 for bone marrow and 67
23 percent here for peripheral blood and 100 percent here
24 in the Vogel article.
25 This slide shows tumor purging for

1 patients with non-Hodgkin's lymphoma, again evaluating
2 both peripheral blood and bone marrow. There were two
3 publications that actually quantitated the log tumor
4 depletion showing a range from 1 to 3 logs of tumor
5 depletion. For the number of contaminated products
6 purged below detection, there was 89 percent, 60, 80,
7 and 75 percent.

8 Thus, based on the Phase I/II study, the
9 Phase III study, and the additional investigative
10 studies in the literature, CellPro would like to
11 expand our indication to include peripheral blood
12 progenitor cells in addition to the autologous bone
13 marrow. We would like to include a selection of
14 peripheral blood results in greater than 100-fold or
15 two log reduction in the number of tumor cells present
16 in the autograft. And we will also recommend for
17 peripheral blood that at least 2×10^6 CD34 cells per
18 kilogram be collected after selection. That is it.

19 CHAIRPERSON VOSE: Thank you, Dr. Jacobs.

20 I would just like to announce that Abbey Meyers, the
21 consumer representative, is joining us by phone before
22 we start into questions. And I would like to open it
23 up for questions of the sponsor from the committee.
24 I guess we can't turn up the lights apparently, so we
25 are in the dark. They are permanently dim. Dr.

1 Auchincloss?

2 DR. AUCHINCLOSS: Can I come back to Dr.

3 Jacobs, and in particular to your comparison between

4 the number of tumor cells before and after processing.

5 The slope of the curve that you showed us was 1 or

6 essentially 1, but there are individual points,

7 obviously, that are well outside of the line. And in

8 particular, you have at least two that appear to have

9 105 cells prior to processing and then 108 cells

10 after. What does that say about the accuracy of the

11 assay?

12 DR. JACOBS: You mean at -- there were no

13 patients that actually had more tumor cells after

14 processing. There were three outliers there, but none

15 of the patients had a total of more tumor cells after

16 processing.

17 DR. AUCHINCLOSS: No. I am not saying in

18 the product that you infused, but when you calculate

19 the number in the enriched plus depleted, there were
20 108 cells calculated, whereas there were 105 cells in
21 the original product. How did you gain three logs
22 worth of cells?

23 DR. JACOBS: Mike, do you know? I am not
24 quite sure I am understanding. Heather, can you put
25 the slide with the linear regression line? If you

1 would just put it in the middle of the carousel, I can

2 get it to that slide. I am not quite sure -- keep

3 going forward just a little bit. There you go.

4 DR. AUCHINCLOSS: So if you just focus on

5 those two points in the upper left-hand area, you

6 originally start with a calculation that says that you

7 have 105 tumor cells. And that is -- at the end, it

8 measures out to 108 tumor cells in your combined

9 enriched and depleted product. And my concern is what

10 does this say about the accuracy of the individual

11 numbers that you have if you can go from 105 to 108

12 cells in one preparation?

13 DR. VESCIO: Well, my name is Dr. Vescio,

14 and I am one -- I guess the person that oversaw the

15 tumor quantitation part of the trial. And I think as

16 with any assay that one develops, there is going to be

17 some variability. And we did -- as was submitted to

18 the Board, we did an initial set of experiments to

19 verify the accuracy of the assay and we found that in
20 general the assay was accurate within a half a log or
21 actually in the majority of cases, less than half a
22 log, 0.2 logs by the standard deviation. Obviously
23 these points here, there was more variability than one
24 would have -- than that would have suggested. But
25 again, it is just a matter of the variability between

1 the assays. These are all done by hand and there is
2 going to be, as expected, some variability. But if
3 you look at overall, the results were very comparable
4 and what one would expect comparing tumor burden in
5 the combined enriched and depleted product versus the
6 leukophoresis product itself. So I think obviously
7 one did not create tumor cells out of air. But I
8 think it just shows that at least just for these two
9 cases, there was a little bit of variability in the
10 assay.

11 DR. AUCHINCLOSS: Well, as I understand
12 it, the central issue here is the degree of accuracy
13 in the assay.

14 DR. VESCIO: Sure.

15 DR. AUCHINCLOSS: And for you to suggest
16 that it is accurate to within half a log I guess
17 doesn't fit with a picture that says -- there are two
18 patients up there at least, and actually there are

19 several others -- but there are two at least there

20 where it is not accurate within 103.

21 DR. JACOBS: Actually, with this analysis,

22 if you are adding enriched and depleted, that half log

23 variability for both would probably be a full log of

24 variation.

25 DR. VESCIO: And I think that if -- you

1 know, again, there is always possibility for some
2 error. We could only run the samples once. And I
3 think that if one looked at, again, when the assay was
4 verified, all the results were done there and those
5 were done in quadruplicate. And in those particular
6 cases, the assay variability was less than a half a
7 log. We also did some controls looking at beta-actin
8 quantitatively and also in all of those cases, the
9 variability was less than a half a log. So I think
10 although I agree in these particular two examples out
11 of the numerous cases that were done in assay, those
12 fell outside of the variability that one would have
13 seen. But I think the majority of the patients
14 followed what one would have expected. And for this
15 very complicated assay, I think that in general it --
16 one would expect the variability to be balanced on
17 both ends, and I am not sure that it really would have
18 influenced the results.

19 CHAIRPERSON VOSE: Dr. Silver?

20 DR. SILVER: I'm not sure I understand

21 fully the details of the collection process, but the

22 essence of my question is the enriched product

23 contains maybe 50 percent or 20 percent or some

24 percentage of CD34 negative cells which are not

25 selected against in this process -- contaminating the

1 enriched population. Is there any reason that you
2 would not expect the number of tumor cells in that
3 half of the cells which are not CD34 positive to be
4 depleted if half of the enriched product is basically
5 a random sampling of the non-CD34 cells, and that
6 includes the tumor cells, then wouldn't you expect
7 about half of the product to contain the original
8 number of tumor cells?

9 DR. VESCIO: That is a very good point and
10 in fact that is basically what is seen. Really there
11 was some additional elimination of tumor cells. But
12 if you actually look at the percent contamination
13 within the product, it is not that dramatic. It is
14 maybe a half log or maybe a log less in tumor cells
15 within the 34-enriched product. But the effectiveness
16 of the separation procedure is primarily by reducing
17 the total quantity of cells that one gives back to the
18 patient, since one gives back to the patient two logs

19 less of autograft cells with the CD34 selection. So

20 I think when we measure actually tumor within the

21 product, the percent contamination of the enriched

22 product is only about as one would have expected,

23 about a half to one log less by that procedure.

24 DR. JACOBS: If you look at the Ceprate

25 procedure, what we look at is probably about a two log

1 depletion due to the loss of the contaminating cells
2 while you are purifying or you are selecting the CD34
3 cells. And a log of 3 for the tumor purging -- I
4 mean, there may be some additional tumor purging
5 depending on the type of tumor cell going through
6 actually easier in the wash in the depleted. I don't
7 know, Jim, if you have anything more to add from the
8 Phase I/II study and what you have seen in tumor
9 purging.

10 DR. SILVER: Could you clarify, do you wash
11 the column before you elute or do you just collect the
12 cells that are CD34 positive and then elute them?

13 DR. JACOBS: You wash or you elute the
14 column as far as the depleted cells. And then to get
15 the CD34 selected cells off, there is a little
16 mechanical stir bar that gently washes the cells off
17 when you put them, RPMI in this case, to the column.

18 DR. BERENSON: Well, I think the only

19 comment I would make is that the sensitivity of the
20 assay is about where the tumor contamination is. You
21 saw in several cases that the unselected product,
22 approximately 20 percent in both the Phase II and
23 Phase III, did not contain tumor and that is why we
24 had to put greater than signs in those patients that
25 went completely to negative. But obviously we can't

1 tell you the exact number. But again, your point is
2 well taken that we are going from approximately 1
3 percent positive to 80 percent positive or 99 percent
4 negative to approximately 20 percent negative, which
5 is less than a log per se. But again, we have more
6 than two logs simply by cell number. The additional
7 close to approaching the log approximates the three
8 logs that Dr. Vescio found, very close to what we
9 would expect. But I would not argue against that the
10 column itself could add additional stickiness in terms
11 of possibly the cell sticking on. We don't know. I
12 mean, that really has not been looked into. But three
13 logs is pretty much what we would expect and that is
14 what we got.

15 DR. SILVER: I thought two logs is what you
16 would expect from your selecting 1 percent of the
17 cells?

18 DR. BERENSON: No, that is incorrect. As

19 you know -- as Dr. Jacobs presented, we do actually
20 give back less than 1 percent of the cells. And in
21 addition, we go from 99 percent, if you will, of CD34
22 negative to approximately 30 percent CD34 negative.
23 So adding that additional approximated log, we would
24 expect about 2.2 logs, 2.3 plus .7, about 3 logs,
25 which is what we got.

1 DR. O'FALLON: Well, just let me comment

2 on this figure. I find it hard to believe that the

3 correlation coefficient is as high as you are quoting.

4 It seems unlikely to be .98 with those two points that

5 we have already attended to being that far away from

6 the line.

7 DR. WHITE: I am Mike White from CellPro.

8 I don't know what to say, Dr. O'Fallon. We ran the

9 analysis in SAS. This analysis is not meant to be

10 predictive. This is meant to be a descriptive

11 analysis of what is going on. We aren't trying to

12 suggest that there is any sort of prediction attached

13 to the regression. It was a zero intercept model

14 where we are only estimating the slope of the

15 regression. And as Dr. Jacobs presented, the slope

16 was essentially one. We have also done other analyses

17 look at the ratio of the number of tumor cells in the

18 PBPC product to the sum of the number in the depleted

19 and enriched product. Again, in this case when you
20 look at the log ratio, you would expect it to be zero.
21 We tested it to see if it was significantly different
22 from zero. It was not significantly different from
23 zero. That is not to say that it is equal to zero,
24 but simply that we did not see any trend in the data
25 for loss of tumor cells in the depleted plus the

1 enriched product. And that is really all we were
2 trying to show there. Not so much is the slope 1, but
3 is there any trend toward loss of cells. And that is
4 what we were trying to get at in these analyses.

5 CHAIRPERSON VOSE: Dr. Berman?

6 DR. BERMAN: Is the claim that there is
7 reduced toxicity from the separated product, that is
8 just based on the fact that there is less DMSO? It is
9 a 7.5 percent versus 10 percent, right?

10 DR. JACOBS: Also there was a reduction in
11 the volume of infusate also that made a difference as
12 well.

13 CHAIRPERSON VOSE: Mr. Katz?

14 MR. KATZ: The four patients that had a
15 higher percentage of tumor cells in the purified
16 product, does it follow that it is still better for
17 them to have that product infused versus the original?

18 DR. JACOBS: If you take the percent and

19 then you multiply it by the number of nucleated cells
20 in the infused product, two of those four patients had
21 the 1.6 log depletion, the other one was close to 2
22 logs, and the other two were greater than 2 logs. So
23 even what you give back is still going to be roughly
24 1.5 to 2.5 logs even for those patients.

25 MR. KATZ: Thank you.

1 CHAIRPERSON VOSE: Just to get back to the
2 MRD assay for a minute. The CDR3 assay is such a
3 difficult assay to perform it so individualized for
4 patients, and there is a wide variety, as you have
5 shown, for patients that couldn't be amplified or
6 other reasons that in the end you end up with a fairly
7 small number of patients that could actually be used
8 for that. So do you want to comment on that relative
9 to the small number of patients that could actually be
10 used for your analysis?

11 DR. JACOBS: Actually, one of the problems
12 that we had was the criteria -- the inclusion
13 criteria. The patients had to have responsive or
14 stable disease after a minimum of three cycles of
15 chemotherapy. The bone marrow sample for which the
16 sequence was attempted to be obtained was right at
17 baseline just prior to going on to the study. So in
18 many of the patients, obviously, with responsive

19 disease, it was more difficult to obtain the clonal
20 sequence in those patients. I think we would have
21 gotten a greater percentage of patients if we had had
22 like de novo patients, where on their initial
23 diagnosis we were able to obtain a bone marrow sample.
24 So we were at a little bit of a disadvantage in this
25 study.

1 DR. BERENSON: Julie, in the Phase II
2 trial, we actually obtained bone marrow earlier on.
3 So in that trial in the patients we attempted, we
4 could actually obtain sequences with primers that were
5 usable in about 70 percent of the cases. And you saw
6 the data was quite consistent with Phase III.
7 Obviously that wasn't a blinded kind of trial because
8 it didn't come under FDA jurisdiction.

9 DR. JACOBS: Actually, that was a heated
10 discussion with the investigators as well because they
11 wanted to use -- if they had a bone marrow sample from
12 diagnosis, to use it. But we were very conservative
13 and we said no because then we could have injected
14 bias into the number of patients from arm versus the
15 other that could have had their clonal sequence. So
16 we were very strict on it had to be the bone marrow
17 sample at the time that the patient was registered and
18 had informed consent.

19 CHAIRPERSON VOSE: Well, I think that is

20 the correct thing to do. It is just a little

21 concerning that in the end we only ended up with a

22 fairly small number of patients that could be

23 analyzed, even though that was the crux of it.

24 DR. JACOBS: Right.

25 CHAIRPERSON VOSE: Additional questions

1 for the sponsor? Dr. Auchincloss?

2 DR. AUCHINCLOSS: The FDA document that we
3 are going to be looking at a little bit later on talks
4 about three patients in whom -- these are 008, 009,
5 and 0013 -- in whom the reduction in total nucleated
6 cells was approximately equal to the reduction in
7 tumor cells. I just want your comment on those three
8 patients that we will be hearing about. Does that
9 imply that tumor cells were, in fact, sticking to the
10 column there?

11 DR. JACOBS: Well, we don't have any
12 evidence for that. I mean, we haven't looked at that.
13 What was the site number? The first two numbers on
14 the patient --

15 DR. AUCHINCLOSS: They are all from 2600
16 or 260.

17 DR. JACOBS: Okay. Actually, those
18 patients were from a Dana Farber, and there was

19 nothing that was -- I mean, Ken, if you would like to

20 comment as far as processing results for those

21 patients abnormal. I think those patients were just

22 -- two of them were the ones that were on the lower

23 end of tumor purging, 1.5 to 2.5 logs.

24 DR. AUCHINCLOSS: And again, I am just

25 asking because I assume we will hear about these

1 later. I wanted to hear any comments. But again,
2 does it suggest to you that there is some variability
3 in the assay?

4 DR. JACOBS: Well, I mean, again I think
5 we realize the variability of the assay is at least
6 half a log.

7 DR. AUCHINCLOSS: And one other question
8 for you. That is in your progression free survival
9 curve, have you made any effort to determine whether
10 those who have not shown progression free -- sorry --
11 those who have shown progression free survival came
12 from the group that got zero tumor in the --

13 DR. JACOBS: No. Right now there is no
14 correlation although the numbers are small. We will
15 be looking at that, but there is none at this point.

16 DR. AUCHINCLOSS: Is it fair to say,
17 therefore, that you have no evidence that this
18 procedure of tumor cell depletion does a patient any

19 good?

20 DR. JACOBS: At this point, no, this study
21 wasn't designed to show that obviously with the
22 numbers.

23 DR. AUCHINCLOSS: I understand that.

24 DR. JACOBS: But obviously the principle
25 is giving back tumor cells is not good. And we do

1 have plans to follow this study for long-term,
2 although we may or may not see a significant
3 difference with the number of patients that we have in
4 this study.

5 DR. AUCHINCLOSS: Well, there is -- yes,

6 I agree with you. There is sort of a general sense
7 that it is probably not good to give back tumor cells.

8 That seems like a reasonable supposition. On the

9 other hand, but putting tumor depletion in your

10 labeling, there is an implication, I think, that you

11 are doing somebody some good. Is that your feeling?

12 DR. JACOBS: Well, I mean when this was

13 originally discussed as a primary efficacy endpoint,

14 it came from the BRMAC meetings that happened in 1994

15 that at least if there were no safety issues that

16 depleting tumor cells would be an endpoint for a

17 pivotal study.

18 DR. AUCHINCLOSS: I am familiar with the

19 meeting that you are referring to. Also, that meeting
20 suggested that it would be tumor specific. Do you
21 agree with that point also made by the committee at
22 that point?

23 DR. JACOBS: Right now our Phase I/II and
24 Phase III, that is why we chose multiple myelomas
25 because of the specificity of the assay, so we could

1 show proof of principle. The only other publications
2 that we have were with breast cancer and non-Hodgkin's
3 lymphoma.

4 DR. AUCHINCLOSS: My only point being that
5 if one simply took the word of the committee in 1994,
6 you wouldn't label, based on the current trial, for
7 more than multiple myeloma.

8 CHAIRPERSON VOSE: Dr. Silver.

9 DR. SILVER: One other question about the
10 assay, the PCR assay. Probably the major concern is
11 that you might be missing some cells -- that the assay
12 might not be sensitive to a single positive cell. Was
13 the assay tested for its ability to detect a single
14 copy of DNA on more than just one of the primer pairs
15 because all of the primer pairs are different for each
16 patient?

17 DR. VESCIO: I think because of the --
18 there is no gold standard for getting a sample of say

19 myeloma bone marrow in these patients, particularly
20 patients that have been treated for three or four
21 months. There is no way of having a standard that one
22 could insure and be absolutely positive that one could
23 detect just one copy of tumor cell DNA within a tube.
24 However, in the majority of cases -- actually, in the
25 case when we compared tumor contamination within the

1 bone marrow, we initially looked at tumor
2 contamination in that original bone marrow specimen,
3 and it was consistent with the amount of contamination
4 that one found on the cytopins of those same bone
5 marrow specimens. So one could then assume that,
6 indeed, we were able to detect one copy of the target
7 gene. We have also subsequently quantified the
8 presence of the beta-actin gene within cells from
9 various patients and did indeed show in those three
10 patients from the leukophoresis and the 34-enriched
11 specimens, that we were able to in all of those cases
12 detect one copy of the beta-actin gene by the assay
13 itself. So I think there is no way of absolutely
14 proving in an individual patient that one could detect
15 one copy per gene. In this particular analysis, it is
16 a relative comparison anyway. And so if by some
17 chance there are some patients where the detection is
18 less than one or we need more than one copy of the

19 gene per cell, it should not influence the results,
20 because again it is a relative comparison. The
21 absolute numbers may be a little bit off in those
22 cases if that occurs. But the percent of tumor log
23 depletion would not have been changed by the
24 sensitivity of the assay.
25 DR. SILVER: Since you cloned the gene for

1 each patient and sequenced it, you could have done a
2 reconstruction experiment where you dope in a certain
3 number of molecules of the clone target into a pool of
4 .6 micrograms of genomic DNA or placental DNA and see
5 what the sensitivity of the assay is. Was that done
6 on --

7 DR. VESCIO: We did not do that. You can
8 -- that can be done. The problem with even that
9 particular technique is that it is different to dope
10 genomic DNA with plasma DNA, which may be more easily
11 amplifiable than the actual genomic DNA. I think
12 genomic DNA is more difficult to amplify in the first
13 place. So some groups have now subsequently looked at
14 that, but again I think the results looking at the
15 original tumor contamination within that primary bone
16 marrow specimen was pretty convincing that we were,
17 indeed, able to detect one copy of the target cell
18 within a PCR tube.

19 DR. BERENSON: One thing to reemphasize is
20 that we, as part of the validation, looked at, as you
21 know, multiple bone marrows as well as cell lines.
22 And certainly we were accurate using three different
23 techs who were blinded within this .5. In most cases,
24 it was within .2 or .3. So to somehow argue that this
25 assay is more off than that would be, I think,

1 misleading, given the fact that we have taken fresh
2 bone marrows from myeloma patients where we knew the
3 percent plasma cells as well as tumor cell lines.
4 Somehow you would have to argue not only is the assay
5 inaccurate, but that it is biased somehow toward the
6 CD34 arm, which I think that is a lot to fathom.

7 DR. SILVER: One last relating question.
8 When you see a significant or a major drop in the
9 percent of tumor cells in the enriched product, one
10 concern is that you are getting a false -- and you
11 don't see any tumor cells -- the concern is that you
12 are getting a false negative to say something
13 inhibiting the PCR or the sensitivity of the PCR down
14 to one molecule in those assays. Did you do any
15 mixing experiments where you mixed a sample from the
16 enriched cells in which you couldn't detect tumor with
17 DNA from cells in which you knew there was tumor
18 present or tumor DNA present to see if you inhibited

19 the ability to detect a small number of copies.

20 DR. BERENSON: Well, I think the beta-

21 actin really is against what you say. That Dr. Vescio

22 has run beta-actin primers on several different

23 patients and indeed has shown an accuracy of the assay

24 for detecting DNA to within .5, in fact in most cases

25 it was within .2 to .3 logs. I don't know, Bob, if

1 you have another comment.

2 DR. VESCIO: Yes. I think one of the
3 things to insure that indeed these results were
4 reproducible, one of the additional mechanisms and
5 procedures that we did to insure that the results were
6 reproducible is that we always processed the 34-
7 enriched specimen and the leukophoresis specimen and
8 some bone marrow specimens that were diluted to a
9 point where the bone marrow became at the limits of
10 detectability, and those were all processed at the
11 same time in the same PCR machine. So, again, we
12 showed in those cases the results -- the bone marrow
13 controls were consistent to what one would have
14 expected. And again, the 34-enriched and the
15 leukophoresis products were processed at the same time
16 in the same machine, and we had this beta-actin
17 control that was always positive in the enriched
18 specimen and also in the leukophoresis specimen to

19 show that the DNA was, in fact, qualitatively
20 sufficient for the procedure itself. So I think with
21 all those controls, we feel very confident of the
22 results that were obtained.

23 CHAIRPERSON VOSE: Dr. O'Fallon?

24 DR. O'FALLON: Three questions. Was
25 randomization of the patients stratified in any way?

1 I couldn't detect that from reading your description.

2 DR. JACOBS: The patients were stratified
3 for age greater than 55 and less than 55.

4 DR. O'FALLON: Okay.

5 DR. JACOBS: That was the only
6 stratification that we used.

7 DR. O'FALLON: Were they stratified within
8 the individual centers, then, separately?

9 DR. JACOBS: Yes.

10 DR. O'FALLON: Okay. Several of the
11 centers had very small numbers of participants. Did
12 any of the analyses change if you analyzed only those
13 centers which had more than --

14 DR. JACOBS: No. There was no variation or
15 no site effect that we could see when we did those
16 analyses.

17 DR. O'FALLON: Okay, the last question.
18 One of your -- well, your primary safety endpoint is

19 based on a type of analysis which is somewhat unusual
20 in terms of you are specifying a hypothesis of a 12
21 percent reduction in responsiveness in the one group.
22 You have four subjects that did not engraft according
23 to schedule, and you quote a P value of .019. Since
24 the numbers were small enough, I thought I could try
25 to replicate that P value, but I was unsuccessful.

1 Perhaps you could describe to me a little bit what you
2 did there.

3 DR. WHITE: Mike White from CellPro. The
4 reference for that analysis is the Blackwelder paper
5 on demonstrating equivalents. Rather than specifying
6 your standard null hypothesis, you specify a null
7 hypothesis that a difference exists. The difference
8 we specified was that the proportion of patients with
9 successful neutrophil engraftment in the selected arm
10 was at least 12 percent less than in the unselected
11 arm. When we did the analysis, we used that as our
12 null hypothesis. I used the normal approximation to
13 the binomial. The analysis we reported was the
14 analysis without adjustment for continuity. I also
15 did an analysis adjusting for continuity and also used
16 -- I looked at other alternatives. I wanted to say
17 that I used an exact binomial test, but I did not use
18 an exact binomial test on that one. That would be

19 pretty tough to do. But the P values were pretty
20 consistent. It is a tough analysis to do because of
21 the non-null hypothesis. You can't go to things like
22 Fisher's exact test, obviously. And I agree the
23 numbers were small. We are in the tail of the
24 binomial. I tried to replicate it with other analyses
25 and came up with a consistent result.

1 CHAIRPERSON VOSE: Dr. Siegel?

2 DR. SIEGEL: Yes. Just as a point of

3 information or perhaps a couple on a couple of issues

4 recently, we certainly accept and encourage the idea

5 of a null hypotheses with pre-specified differences.

6 The primary endpoint, though, as you referred to it is

7 not one that the Agency considers appropriate as a

8 measure of neutrophil engraftment, and that is

9 consistent with advice and discussion of this

10 committee. We prefer not to look primarily and do not

11 look primarily at engraftment by day 14, but rather at

12 time to engraftment analyses, which are more sensitive

13 to across the board shifts of a couple of days, which

14 might be of interest. And also of important secondary

15 interest at percent who have engrafted or failed to

16 engraft at later time points, 30 days or so, as a sign

17 of possible tailing in the distribution, where some

18 therapies may actually increase the number of

19 engraftment failures. Those issues were discussed
20 with the company. All of those things were measured
21 as secondary endpoints, so we didn't wind up getting
22 into loggerheads specifically on that issue. You have
23 heard all those endpoints presented and we will hear
24 more about them.

25 I also wanted to say regarding Dr.

1 Silver's question just to add in that -- since I don't
2 think it was mentioned -- that there is implicit in
3 the limiting dilution analysis an individual test by
4 test assessment of whether in fact the probe has the
5 sensitivity for a single copy of DNA. When you do a
6 limiting dilution analysis and you look at how the
7 percents of positivity drop off from dilution to
8 dilution, if you have sensitivity down to a single
9 cell, you will see a distribution that is a function
10 of the Poisson distribution and of the actual
11 frequency. And if you have a less sensitive test, you
12 may see -- you will see a different pattern of fall-
13 off as you dilute the cell number out. It is not a
14 highly sensitive assessment given the numbers of
15 replications in this, but it is not a highly sensitive
16 assessment of whether there is a single copy
17 sensitivity. But it does provide some evidence -- in
18 this case, some positive evidence that there was that

19 level of sensitivity.

20 CHAIRPERSON VOSE: Additional questions?

21 Mr. Katz?

22 MR. KATZ: Yes. I have two technical

23 clarifications I wanted to ask about. One, has there

24 been any look at the different disease types of the

25 various patients -- you know, IgA, IgG, light

1 chain/heavy chain? Is there any reason to expect that
2 that would make a difference in how effective it would
3 be?

4 DR. JACOBS: Actually, no, that was not
5 done. I don't know if you would expect any
6 differences. I would rather have --

7 DR. BERENSON: Well, I think the problem,
8 Mike, of course is there is not enough patients to
9 answer that particular question. I don't know the
10 exact number that were IgA and IgG on that CD34
11 selected. Do you offhand, Bob? It is probably, if I
12 could guess, approximately two-thirds were IgG and
13 one-third are IgA. But we just don't have those
14 numbers. It is too small.

15 MR. KATZ: Well, I guess the question
16 would be that some other therapies seem to be
17 different, whether it is Kappa or lambda or light
18 chain or God knows what.

19 DR. BERENSON: Depending on whose trial

20 you read.

21 MR. KATZ: What?

22 DR. BERENSON: I don't know, Ken, if you

23 have a comment on this. But there is a lot of

24 variability of results. For example, with Interferon,

25 some trials suggesting differences as you have

1 suggested with different isotypes. Other trials do
2 not suggest that. Ken, do you want to make a comment
3 on that?

4 DR. ANDERSON: Yes. I would just echo what
5 Jim has said. I think that this trial was not
6 designed to test the efficacy of high dose therapy
7 versus conventional therapy or subgroup analysis
8 within that. The efficacy endpoint, as you heard, was
9 tumor cell depletion.

10 MR. KATZ: Well, I guess this is a
11 technical question, though. Is there any reason to
12 believe that the different -- is isotypes the word --
13 that different types would make any difference in the
14 effectiveness of the selection technology?

15 DR. ANDERSON: No, there is none. I think
16 to get right to your point, if you look at B cell
17 lineage differentiation or myeloma, the malignant B
18 cell, and you look at CD34 antigen expression, it does

19 not vary, Mike, with the subtype of myeloma.

20 MR. KATZ: Okay. One other technical
21 clarification. This has been tested with a trial that
22 uses busulfan and cytoxin as the transplant regimen.

23 That is, in fact, the minority of transplants that are
24 done in the country, right, or in the world? Most
25 transplants are done with melphalan or possibly with

1 TBI. Is there any reason to question what this -- how
2 this might behave in patients undergoing those
3 regimens?

4 DR. BERENSON: Well, that has actually
5 been looked at by the IBMTR, and there is no
6 difference in outcome. Obviously that is not a
7 randomized group, but at least in their registry, the
8 IBMTR has looked at different regimens and shown no
9 difference, whether it is BUCY, TBI-containing, or
10 melphalan. So it does not seem to influence outcome.
11 Obviously that is registry data and fraught with all
12 of those problems, but that is, I guess, about the
13 best we have. We certainly have no randomized data
14 comparing BUCY to melphalan or TBI.

15 MR. KATZ: Well, I am not asking the
16 question in terms of the effectiveness of the
17 transplant. What I am asking is that presumably this
18 is going to be approved or the proposal is to approve

19 it for any of these regimens to be used as a purging
20 technique with any of the regimens. So the question
21 is would you have any reason to believe that
22 engraftment might be different or that there might be
23 other issues with people that have different
24 myeloablation?
25 DR. BERENSON: Myeloablative chemotherapy?

1 Is that what you are asking, Mike?

2 MR. KATZ: Yes.

3 DR. BERENSON: I have no reason to expect
4 that given all the other trials that have been done by
5 CellPro. I don't know if Ken or Keith has any other
6 comments.

7 DR. ANDERSON: I would just echo those
8 comments. As Jim showed you all, the only randomized
9 trial in myeloma, and we are fortunate to have one
10 that showed a benefit for high-dose therapy versus
11 conventional therapy, is from France. And by chance
12 it had TBI and melphalan as the ablative regimen.

13 There are four other randomized trials; one in the
14 United States, one in Scandinavia, one in England, and
15 one in Spain which will be using different ablative
16 regimens and in some cases some chemotherapy only,
17 which are asking the high-dose versus conventional
18 therapy question and will be available within the next

19 several years. But for today, the issue is that in
20 this trial, great care was taken to make sure there
21 were no differences in the conventional chemotherapy
22 and the intensity that was received or the ablative
23 regimen that was given. So that prior to the two-arm
24 experiment, if you will, the prior therapy, including
25 ablation, was not significantly different in the two

1 groups in order to allow a fair comparison.

2 CHAIRPERSON VOSE: I think -- I was just
3 going to say that I agree with your comment that I
4 think there is a concern about TBI especially
5 containing regimens and highly selected cell products.

6 There is some data of concern about that area and I
7 think we do have to keep that in consideration in the
8 labeling. So I think that is a concern.

9 DR. JACOBS: In the final clinical report
10 that we submitted to the FDA, we did a literature
11 search of publications, looking at then the time to
12 neutrophil and time to platelet engraftment, which is
13 very comparable to what we see in the Phase III study.
14 Obviously a variety of regimens were used, not only
15 for multiple myeloma but for breast cancer and
16 lymphoma. So there is a consistent pattern as far as
17 time to neutrophil and platelet recovery.

18 The other point is that the type of

19 regimen is usually specified by the investigators, and
20 we do have a Phase III randomized trial in Europe and
21 their preference was melphalan and TBI. So it really
22 is -- the trial here was not to look really at a
23 specific regimen, but just at the unselected and
24 selected infusion.

25 CHAIRPERSON VOSE: Dr. Berman?

1 DR. BERMAN: Did you break down your
2 groups in terms of stage of disease at diagnosis? I
3 am particularly questioning why four patients weren't
4 able to be mobilized correctly? Were those people
5 with advanced stage disease at the start?

6 DR. JACOBS: There was actually only one
7 patient that -- there was only one patient that didn't
8 mobilize adequately. The other three patients were
9 randomized. One had progressive disease that was
10 found during baseline assessment. One patient elected
11 not to go to transplant on this study but had an
12 allogeneic transplant. And one patient actually died
13 of sepsis during the mobilization prior to being
14 randomized.

15 DR. BERMAN: But just to get back to the
16 question of staging of disease in the two arms, did
17 you look at that? Were they comparable?

18 DR. JACOBS: Yes, they were comparable.

19 CHAIRPERSON VOSE: Dr. Hartigan?

20 DR. HARTIGAN: In your looking at the
21 baseline factors that were responsible for the time to
22 platelet engraftment, you found that CD4 counts and
23 platelet count at baseline were significantly related.
24 Did you look at the interaction between the two?

25 DR. WHITE: Which interaction are you

1 talking about? The interaction between platelet count
2 at randomization and CD34 count infused?

3 DR. HARTIGAN: Yes.

4 DR. WHITE: Yes, we did. There was no
5 interaction between them. We have also done some
6 further investigation of what is happening with these
7 two factors, and we have looked at patients who took
8 a longer time to engraft and ranked their CD34 count
9 as well as their platelet count. What happens is that
10 they really are somewhat independent in their effect
11 on time to engraftment.

12 CHAIRPERSON VOSE: Additional questions?

13 I had one. Jim, you mentioned that the patients had
14 Interferon maintenance post-transplant, is that
15 correct?

16 DR. JACOBS: Not in the Phase III.

17 DR. BERENSON: That was true in Phase II.

18 CHAIRPERSON VOSE: In Phase II, but not

19 this study?

20 DR. JACOBS: No.

21 DR. BERENSON: Yes, Julie. In the Phase
22 II, we did employ Interferon, which was also done in
23 the French Intergroup. Most of us these days are a
24 little disconcerted using it based on some recent
25 randomized trials that you are aware of. So we did

1 not use any maintenance in this Phase III.

2 CHAIRPERSON VOSE: In the Phase II study,
3 was there any difficulty as far as toxicity for the
4 patients outside what would normally be expected?

5 DR. BERENSON: In the Phase II study?

6 CHAIRPERSON VOSE: Phase II.

7 DR. BERENSON: There was difficulty for
8 them to tolerate the Interferon definitely, both
9 count-wise as well as constitutional symptom-wise.
10 But that has been the experience of others using
11 unselected transplants as well. And again, Keith or
12 Ken, if you have other comments.

13 DR. ANDERSON: I would just echo that
14 there is no standard maintenance therapy now in
15 myeloma. The large meta-analysis that has been done
16 looking at Interferon really has not shown an
17 overwhelming effect for its utility. In the
18 randomized trial of conventional therapy versus

19 standard therapy that is just about finished accrual
20 in the United States, we are randomizing responding
21 patients who get either conventional or high-dose
22 therapy to Interferon or not, hoping once and for all
23 to answer this question. But since there wasn't a
24 standard at the time the Phase III was designed, there
25 was no maintenance built into this trial.

1 CHAIRPERSON VOSE: Okay. Thank you.

2 Additional questions or comments? Okay. Why don't we
3 take a 10-minute break, and then we will resume with
4 the FDA's perspective. Thank you.

5 (Whereupon, at 10:07 a.m. off the record
6 until 10:23 a.m.)

7 CHAIRPERSON VOSE: If everyone can take
8 their seats. We would like to go ahead with the FDA
9 perspective, and Dr. Litwin will present that.

10 DR. LITWIN: Thank you for the music. I am
11 Dr. Stephen Litwin, and I will open the CBER review.
12 This is a supplement to an existing license device,
13 the Ceprate SC stem cell selectional concentration
14 system. The sponsor, as you very well know, is
15 CellPro. The product essentially are a collection of
16 CD34 selected cells selected from autologous
17 peripheral blood which will be hematologically re-
18 engrafted after myeloablative chemotherapy in patients

19 with multiple myeloma.

20 This was a highly interactive review, and

21 I would just like to briefly mention the contributions

22 of Wendy Shores, who looked at the transferability of

23 the device for peripheral blood as opposed to bone

24 marrow, for which it is licensed, and some other

25 aspects of yields and such. And Dr. Edward Max, who

1 will very shortly present a critical review of the PCR
2 technique. Also, Dr. Gupta, who did all the
3 statistical analyses for us.

4 The current package insert indication for
5 the device is for CD34 selection of autologous bone
6 marrow. The clinical indication is a lowered
7 incidence of DMSO infusion-associated complications,
8 mostly cardiorespiratory. And there was a caveat in
9 the current indication that the infusion of less than
10 1.2 million CD34 cells per kilogram is associated with
11 delayed platelet engraftment.

12 The proposed indication is that the
13 labeling be extended from autologous bone marrow to
14 autologous bone marrow and peripheral stem cells. The
15 clinical benefit would have as additionally a 100-fold
16 reduction, that is a 2 log or greater reduction in the
17 number of tumor cells present in the hematologic
18 autograft. And to the caveat about a minimum number

19 of CD34 for bone marrow is added a further labeling

20 that the infusion of less than 2 million CD34 positive

21 cells per kilogram of peripheral blood cells or cells

22 collected from peripheral blood is associated with

23 delayed or slower platelet engraftment.

24 A few words about the CBER review. Rather

25 than repeat a lot of the descriptive elements which

1 Dr. Jacobs has so carefully presented, the CBER review
2 will focus after a very short descriptive two slides
3 on three elements; the collection and the processing
4 of the cells and the impact that that has had on
5 various outcomes, the efficacy analysis, which Ed Max
6 and myself will present, and finally the safety
7 analysis, which will mainly focus on engraftment.

8 A few words about the experimental design.

9 131 multiple myeloma patients were enrolled. All of
10 them had to have a diagnosis of intermediate or high
11 cell mass. All of them had to lack progressive
12 disease at any time during their course. And all of
13 them had to have had no more than three months prior
14 alkylator chemotherapy. After mobilization, they were
15 randomized in a balanced fashion. The Ceprate
16 selected arm patients numbering 67 underwent
17 leukapheresis and followed on the same day by the
18 processing through the Ceprate device. The control

19 arm of 64 subjects simply underwent leukapheresis, and
20 the two underlined cell products here are the ones
21 that were infused into the patients. After high-dose
22 chemotherapy and the autologous transplant, safety was
23 followed for the first 100 days, particularly post-
24 transplant. The two periods of time of major interest
25 to CBER were the collection and the processing of the

1 autologous cells during which time the efficacy was
2 determined by examining a subset, that is the 28
3 patients out of the 67 or 66 in the Ceprate selected
4 arm, for whom an immunoglobulin sequence could be
5 developed. And the second safety period, that is the
6 period 100 days post-transplant.

7 The efficacy endpoint was reduction by 2
8 logs or more of tumor cells in the infusate after the
9 Ceprate selection. And the safety endpoint was
10 comparable neutrophil and platelet engraftment.

11 The next six slides deal with the cell
12 collection and processing. You should keep in mind,
13 first of all, that the guidelines for the collection
14 and the end of collection of cells for the two arms
15 differed. And secondly, that the amount of CD34
16 progenitor cells mobilized from the blood in
17 individual patients in the selected arm varied
18 greatly, and both of these had an impact on the

19 outcomes.

20 There were two leukophoreses minimum

21 requirement. The first criterion or guideline applied

22 both to the selected and the unselected arms, and that

23 was that there was a requirement that a minimum of 5

24 x 10⁸ total nucleated cells per kilogram be in the

25 leukophoresis referred to as the PBPC product. The

1 second criterion applied only to the selected arm. It
2 required a minimum of 4×10^6 total nucleated cells
3 per kilogram in the Ceprate selected, that is the
4 enriched product. The assumption was that half of
5 these cells would be CD34 positive, and therefore
6 every patient in the selected arm would receive at
7 least 2 million CD34 positive cells. This did not
8 work out in every case.

9 Looking at the leukophoreses to begin
10 with, there were more leukophoreses in the selected
11 arm, 3.0 mean, with a standard error of the mean of
12 0.2, as opposed to the unselected arm, in which they
13 were 2.3 with a standard error of the mean of 0.1.
14 The median was the same and the range was slightly
15 longer for the selected arm.

16 It would be anticipated that the total
17 nucleated cells per kilogram would also be increased
18 in the selected arm because there were an increased

19 number of leukophoreses. This is not as marked as one

20 would have anticipated, but the trend is present.

21 The next slide looks -- oh, I am sorry.

22 This is the slide you have seen already but in

23 different colors. This is a distribution of the

24 leukophoreses. You can see that 50 percent of the

25 control patients required no more than 2

1 leukophoreses, whereas 36 percent of the selected arm
2 patients were able to be satisfied with two
3 leukophoresis. And this difference, that is, a
4 requirement for more leukophoreses in the selected
5 arm, is present at all points of the distribution.

6 This looks at the progenitor cells, the
7 CD34 cells that were collected. If we look at the
8 upper portion of this, you can see that three analyses
9 can be done. If we just look at the comparison of the
10 leukophoresis product, there were more CD34 positive
11 cells collected in the selected arm, presumably
12 because of the increased number of leukophoreses, 14.3
13 million, as opposed to the control or unselected arm,
14 11.7. If on the other hand we look at the two steps
15 that each patient in the selected arm underwent, that
16 is, the leukophoresis and then the Ceprate selection,
17 there was a reduction of about 50 percent of the CD34
18 cells lost during the selection procedure. And the

19 number of infused enriched product, that is, Ceprate
20 selected cells, was 7.1 million. And finally we can
21 compare in the darkened boxes the number of actually
22 infused CD34 positive cells. There were less in the
23 selected arm, 7.1 million per kilogram of body weight,
24 as opposed to the unselected arm, 11.7. It should be
25 noted that these numbers, the numbers of infusate CD34

1 cells, are relatively high for autologous transplants.

2 The same analysis can be made using the
3 data for CFU per kilogram. I won't go into this
4 except to say that the trend is in the same direction,
5 but the data are much less dramatic. The reasons for
6 this may be that the CD34 measurements were done at a
7 central site, whereas the CFU measurements were done
8 at individual sites and the assay is known to be
9 highly variable.

10 The third impact of the cell collection
11 strategy was that there were 14 percent of the
12 patients or 17 patients who received less than 2
13 million CD34 cells per kilogram. They are listed
14 here. There were 10 in the selected arm and 7 in the
15 unselected arm. The numbers of CD34 infused are shown.

16 If we look at the first column under selected for ANC
17 engraftment, keeping in mind that the median day to
18 ANC engraftment is 12 days, it is possible that there

19 is some prolongation. But this is -- it is not
20 possible to statistically analyze this. If we look at
21 platelet recovery, there are four patients who had
22 delayed platelet recovery, that is, longer than 20
23 days, which is beyond the 95th percentile of the
24 patients in the unselected group. Three of the four
25 patients are in the selected arm and one in the

1 unselected arm. And the same three patients who had
2 a delayed platelet recovery, when examined by platelet
3 count -- and these are platelet counts at the 6-month
4 visit after transplantation -- the same three patients
5 continued to have platelet numbers that were
6 substantially less than would be predicted for the
7 remainder of the group. The last patient actually was
8 the only patient in the study group who could be
9 considered as an engraftment failure for platelets.
10 This was an individual who continued to have platelets
11 under 20,000 after transplantation, and on day 119 was
12 given the depleted fraction, that is, the pass-through
13 fraction, which was used as a back-up in the case of
14 engraftment failure. And this patient at 180 days
15 still continued to have low platelet counts. In the
16 unselected arm, the single patient seems to have a
17 normal platelet level.

18 In summary, then, the impact of the

19 collection processing strategy on the outcomes were
20 three-fold. There were more leukophoreses and more
21 total nucleated cells collected from selected arm
22 patients. On the other hand, there were less CD34
23 cells infused into these selected arm patients,
24 presumably due to loss during the selection process.
25 And there were 17 patients who were infused with less

1 than 2 million CD34 positive cells, a limited number
2 of whom, 4 exactly, had delayed platelet recovery.

3 I am going to introduce now Dr. Ed Max,
4 who is going to give us a critical review of the
5 assay.

6 DR. MAX: I'm using the slides rather than
7 the video. I am just going to go through our analysis
8 of the PCR assay, which forms an essential element to
9 the efficacy determination in this trial. As Dr.
10 Berenson mentioned, the PCR provides a potential for
11 a unique assay for the patient's own myeloma because
12 the multiple myeloma cell will have an immunoglobulin
13 rearrangement involving a V, a D, and a J. So if a
14 sense primer is positioned within the V region and an
15 anti-sense primer positioned within the D/J region,
16 then one can develop an assay that would be specific
17 for the patient's immunoglobulin gene. The sponsor
18 reports one multiple myeloma cell per 100,000

19 nucleated cell sensitivity of the assay, and the
20 strategy for quantification was to make successive
21 dilutions of a half log starting with 100,000 cells
22 and use five duplicates at each dilution, and then to
23 calculate the number of myeloma cells in the starting
24 population by Poisson statistics based on the number
25 of the five duplicate PCR amplifications that were

1 positive at each dilution.

2 I am going to very briefly go through the
3 kind of data that was submitted to us so you can see
4 the kind of nitty gritty of the assay. This just
5 represents an individual patient's immunoglobulin
6 gene. Here is the sense primer. Here is the anti-
7 sense primer. For each patient, the germ line gene
8 corresponding to the myeloma gene was looked at in
9 comparison to the myeloma sequence and the design of
10 the primers included an effort to maximize the somatic
11 mutation in the primer so that the primers would have
12 less likelihood of amplifying a product of the same
13 immunoglobulin V region germ line.

14 The DNA samples for the various cell
15 samples accumulated during the trial were encoded with
16 letters here chosen by a random code. And we are
17 going to look at the results for one patient, the
18 first patient in the trial, where the leukophoresis

19 was coded with a V and the CD34 enriched sample was

20 encoded with a P.

21 This just shows that for each patient

22 there was an attempt to optimize the conditions of

23 amplification and a particular amplification protocol

24 was chosen. I am showing you now the protocol for an

25 individual gel, where you can see the P sample was

1 dilution A, which is undiluted sample representing DNA
2 from 100,000 cells. Five replicates here -- here is
3 dilution B and here is dilution C. As mentioned, each
4 sample had a beta-actin control, an internal control
5 for the DNA integrity. We will come back to that
6 because we had some concerns about that control. Here
7 is the V sample and bone marrow samples were run as a
8 positive control.

9 I am going to show you the actual
10 appearance of the gel. Here is a sample of five
11 replicates. Four for this P sample were detected at
12 the starting dilution, and then here is the next
13 dilution where it went down to 2 and then to zero.

14 These gel lanes were observed by three
15 independent observers who identified the number of
16 lanes in each dilution which were positive, and those
17 observers generally agreed with each other and most of
18 the time agreed with us. We looked at every lane of

19 every gel submitted for the CD34 enriched and the
20 starting PBPC product and samples of the CD34 depleted
21 product.
22 The number of positive wells at each
23 dilution was then entered into a Poisson statistical
24 analysis program called DIL-SOLVE. Here are the
25 numbers. I am sure it is too small for the people at

1 the back to see. For this particular sample that we
2 looked at before where the initial undiluted sample
3 gave 4 wells out of 5 positive. The next one was 2.
4 On the basis of this, the program calculated a number
5 of target cells per well at the starting concentration
6 representing 100,000 cells. And also calculated an
7 expected number of lanes positive for each of the
8 dilutions. And in general, these were quite
9 remarkably close to what was observed. And in
10 general, the progression of the numbers of positive
11 wells at the successive dilutions were pretty much as
12 one would expect for Poisson distribution, although
13 there were several samples where the positive wells
14 trailed down from 5 slowly over successive dilutions,
15 indicating probably some problem with the assay.
16 Although, of course, some statistical fluctuation
17 would be expected.

18 This slide illustrates the basis of the

19 calculation. I would just like to go through it in
20 detail just to show you for this one patient. We are
21 looking now at the three samples, V, P, and C
22 representing the PBPC product, the starting product,
23 the CD34 enriched product, and the CD34 depleted
24 product. There were three sorts of numbers that
25 entered into the final determination of the number of

1 myeloma cells in each sample. First, the total number
2 of nucleated cells were counted on the basis of a
3 hemocytometer. As you can see for this patient, there
4 was a very substantial number of depletion in going
5 from the starting nucleated cells in the PBPC product
6 to the CD34 enriched sample. And this ranged from
7 approximately 200 to 400 depletion. So what this
8 means is that as was pointed out earlier, if the
9 column did not selectively bind to the myeloma cells,
10 one would expect approximately a 200-fold depletion of
11 myeloma cells just on the basis of the fact that the
12 total nucleated cells in the CD34 enriched sample were
13 very extensively depleted. But then, of course, the
14 PCR assay was done to detect whether there was any
15 further depletion, and in fact there was for most of
16 the samples, although not all of the samples. There
17 were three or four samples where the ratio of myeloma
18 cells per total nucleated cell was either about the

19 same in the CD34 enriched or actually slightly higher.

20 Two of these patients represented the individuals that

21 did not achieve the 2 log depletion of myeloma cells

22 in the CD34 enriched sample.

23 So then the DNA was prepared from each of

24 these samples and was quantified. So the first

25 measurement that influenced the final assay result was

1 the total nucleated cell count based on the
2 hemocytometer. The second was the DNA assay. And
3 that was performed using a fluorometric assay. Based
4 on that DNA determination, an amount of DNA
5 corresponding to 100,000 cells and successive
6 dilutions of those cells were, as previously
7 mentioned, aliquoted and dispensed in replicates into
8 the various tubes. This shows the dilution and here
9 are the number of positive wells out of the 5 -- 4 out
10 of 5 and 2 out of 5 as shown earlier. Based on these
11 numbers using the Poisson distribution analysis, a
12 calculation was made of the percentage of myeloma
13 cells in the total nucleated cell count. This number
14 was then multiplied by the number that had been
15 determined on the basis of the hemocytometer reading
16 to give you a final estimate of the number of tumor
17 cells in that sample per million cells.

18 So as you can see here, the percentage of

19 tumor cells was, in this case, substantially depleted
20 from .02 percent to .0013 percent. And when these
21 numbers were multiplied together, you get a very small
22 number, which for this particular patient represented
23 a 3.745 log depletion of tumor cells.

24 This is a summary of all the patients.

25 You have seen these numbers before. 28 patients, a

1 subset of the total number of patients in the
2 randomized to the CD34 selected arm, had a product
3 which was capable of being amplified. 24 of these
4 patients had a product that showed evidence of the
5 multiple myeloma cell in the PBPC product. Of these,
6 in 11 of the patients, the myeloma cells were
7 undetectable after Ceprate selection, or 46 percent.

8 Now this represents an amended version of
9 a figure that was submitted by CellPro showing for
10 each patient the starting log number of tumor cells in
11 the PBPC product, and this shows the CD34 enriched
12 sample after processing through the Ceprate. The
13 original figure actually showed those 11 patients as
14 going to zero. We have replotted these using the
15 calculation based on the detection sensitivity because
16 the assay is, of course, limited by the detection
17 sensitivity of the PCR assay.

18 This slide actually shows the final

19 results just plotted as a log tumor depletion. What

20 I have plotted here in black represents the number of

21 multiple myeloma cells in the CD34 enriched samples.

22 And the open circles just represent those patients for

23 whom no myeloma cell could be detected in the product.

24 What I have used here as a calculation to estimate the

25 log depletion is that number of cells which represents

1 the minimal detection level of the PCR assay. So, in
2 fact, these are potential underestimates. You can see
3 that the average log depletion is in the range that
4 CellPro has stated. There are definitely two patients
5 who did not meet the goal of 2 log removal of myeloma
6 cells in the assay.

7 Now I would like to mention one concern
8 that FDA had apart from the question of the assay
9 accuracy and the log error, which CellPro has
10 estimated as about a half a log. An additional issue
11 we felt that raised some concern is that the control
12 that CellPro used, the beta-actin control, as an
13 internal control for the integrity or the
14 amplifiability of each DNA sample was inadequate. And
15 the reason for that is that amplifying the undiluted
16 DNA at 60 PCR cycles for beta-actin, this is basically
17 a non-quantitative assay. It is insensitive to
18 potential template problems that might have decreased

19 the amplifiability of that sample, either due to poor
20 DNA quality or the presence of PCR inhibitors. 60
21 cycles basically overwhelms the system.
22 Therefore, CellPro has not ruled out the
23 worst case scenario, and that is that systematic
24 template problems specific to the CD34 selected sample
25 might have led to an undercount of multiple myeloma

1 cells in that sample, and such an undercount would
2 simulate myeloma cell depletion by the Ceprate device.
3 We raised this issue to the sponsor, and
4 we have had kind of a back and forth over the past
5 couple of months about how worrisome this concern
6 might be. Whether it is just a theoretical issue or
7 whether it is ruled out by the data that they present.
8 Their first response was that no multiple myeloma
9 signal has been lost due to any hypothesized
10 systematic undercount since the myeloma cells assay in
11 the two fractions, that is the CD34 enriched plus the
12 CD34 depleted sample, add up to the myeloma cells in
13 the starting PBPC. We looked at this and actually it
14 is difficult to make a case that that is correct when
15 you look at individual patients. I will just show you
16 this patient we have looked at before. Here the
17 starting PBPC product showed 13 million myeloma cells.
18 And in the CD34 depleted sample, there were 94 million

19 assayed. Obviously one can't sum these two fractions

20 and get 94. Here in this patient, we have the

21 opposite problem as was pointed out earlier. This

22 represents, I guess, one of those two patients that

23 kind of fell outside the cluster in the log plot shown

24 earlier. Here there were 363 -- I am sorry, this

25 represents one of those patients. Here is the

1 opposite problem. We had 363 million in the PBPC
2 product, and the sum of the two fractionated samples
3 is quite low. So clearly analysis of individual
4 patients will not show the kind of conservation of
5 myeloma cells that might have ruled out the possible
6 systematic undercount of myeloma cells in the CD34
7 depleted sample.

8 Well, CellPro came back with another
9 suggestion which was to average over all the patients.
10 They looked at the ratio of PBPC product, the
11 starting, plus this sum. Theoretically, this sum
12 should equal the PBPC product, so this ratio would be
13 1. The log would therefore be zero. And so if this
14 was examined over all patients, they found that this
15 value was not significantly different from zero, that
16 is, the 95 confidence limits included zero as expected
17 if there was no myeloma signal loss. We felt that
18 this kind of analysis really failed to test whether

19 the data are inconsistent with an undercount of
20 myeloma cells. And, in fact, if you examine or if you
21 assess that possibility and multiply the number of
22 determined myeloma cells in the CD34 enriched fraction
23 by 250 and add that to the myeloma cells in the CD34
24 depleted sample, one finds that this log is still not
25 significantly different from zero. So the data do not

1 exclude a 250-fold undercount.

2 The reason, of course, that this addition
3 is insensitive to multiplying one of these addends by
4 250 is the fact that the number of myeloma cells
5 measured in the CD34 enriched sample was just very,
6 very small compared to the error in these numbers,
7 which CellPro has estimated at .5 log, but is perhaps
8 larger than that.

9 So in view of our concern, CellPro
10 submitted to us data on a single patient in which they
11 tried to address the concern about possible systematic
12 undercount of myeloma cells in this CD34 enriched
13 sample. They did a quantitative PCR amplification of
14 beta-actin using the same kind of strategy of dilution
15 analysis and Poisson statistics, showing for that
16 single patient, amplification efficiency was nearly
17 equivalent for the starting PBPC and the CD34 enriched
18 sample. However, in the data they submitted to us,

19 the efficiency was only about 5 percent of what was
20 predicted, and perhaps even less than that. We had
21 some question about their assumptions for these
22 numbers. CellPro suggested that this low efficiency
23 might have been due to a PCR protocol that was
24 optimized for shorter amplification products, products
25 in the range of 100 to 200 base pairs versus the

1 product that they amplified for beta-actin, which was
2 650 base pairs, and might have required longer PCR
3 extension time.

4 So I would like to summarize our final
5 assessment and hope that the Advisory Committee will
6 consider our concerns. The PCR assay has demonstrated
7 that the Ceprate selection leads to a substantial
8 depletion of myeloma cells. Usually it is greater
9 than 100-fold, that is, in 22 of the 24 evaluable
10 patients based on the numbers they submitted. We
11 still remain somewhat concern that the possibility of
12 a systematic undercount of myeloma cells has not
13 completely been ruled out by CellPro, and the reasons
14 are the beta-actin control that they presented to us
15 was only on one patient and it did give an
16 unexpectedly low beta-actin amplification on that one
17 patient. Finally, we have sort of a theoretical
18 concern that even that beta-actin amplification

19 doesn't really test the integrity of the myeloma cell
20 DNA because it is done on the bulk CD34 enriched
21 sample, which is obviously made up mostly of non-
22 myeloma cells. It is conceivable that DNA deriving
23 from the myeloma cells might have some impairment in
24 its amplifiability. But FDA feels that testing for
25 this would not really be feasible.

1 So in conclusion, there is no reason to
2 believe that such an undercount would have occurred.
3 It is a theoretical possibility that CellPro failed to
4 provide adequate controls against. It is only a
5 theoretical concern and the data that they submitted
6 certainly supports depletion for most patients that
7 reaches the target of 100-fold depletion.

8 DR. LITWIN: I'm going to add some
9 additional data on the efficacy analysis. I will try
10 not to repeat what Dr. Max has gone into in great
11 detail. These are simply the numbers of tumor cells.
12 This is the number in the leukaphoresis product on the
13 28 patients in whom a probe was available, and this is
14 the number in the Ceprate selected enriched product.
15 The distribution was asymmetric and the values are
16 given as quartiles, 25th, median 50, and 75th. If we
17 look at the numbers, you could see that the median
18 patient would have between 2 million and 3 million

19 tumor cells in the first leukapheresis product. All
20 of these data are done on the first leukapheresis
21 product. The numbers for the post-device depletion
22 product are much lower. This is equivalent to about
23 700 cells if accurate.
24 The determination for the depletion was
25 done as a log geometrically. These are the figures

1 with the same distribution expressed for the
2 leukophoresis product and the Ceprate selected
3 product. The median depletion was 3.10 logs. The
4 mean was 3.29. This met the prospectively discussed
5 endpoint of greater than 2 logs depletion.

6 The next slide shows the distribution of
7 the log depletion. This is very much similar to the
8 vertical slide that Ed showed. Most patients had
9 between -- the log depletion is shown under the X
10 axis. Most patients had between 3 and 4 logs
11 depletion or 2 and 3, but there were some patients who
12 had less than that and there were a number of patients
13 who had more. These are the 24 patients in whom the
14 log depletion was measured.

15 Finally, I think most of this has been
16 discussed. I will only emphasize the fact that 11 of
17 the 24 patients could be purged to non-detectability,
18 and 13 continued to have detectable tumor. The

19 average -- we also looked at the log depletion of
20 total nucleated cells. The average depletion was
21 about two-fold as opposed to the 3-plus log depletion
22 we have just discussed for tumor cells.

23 I will turn now to the safety analysis
24 starting with neutrophil engraftment. CBER chose to
25 determine the engraftment parameters for neutrophils

1 and platelets using the mean and median days to
2 duration of engraftment and the Kaplan Meier analysis
3 rather than for the neutrophils the landmark analysis
4 that Dr. Jacobs has presented. And I think Dr. Siegel
5 has already commented on the previous communications
6 between us and the sponsor and the reasons that we
7 consider this more useful.

8 The selected arm had 7/10ths of a day
9 longer mean duration to neutrophil engraftment, 12.4
10 days as opposed to 11.7 days. The median day of
11 engraftment was 12 days for both arms at the point
12 that 50 percent of the engraftment events had
13 occurred. Both arms were 12 days. The Kaplan Meier
14 analysis looks a little unusual because of the highly
15 compacted period of time over which the engraftment
16 occurred. Testing using non-parametric tests for the
17 differences between the two arms shows a low P value,
18 the null hypothesis being that there is no difference

19 to suggest that there is a possibility or a difference

20 between the two arms favoring the unselected arm.

21 Turning to platelet safety endpoints, I

22 think the data are more dramatic. The median day --

23 we use platelet recovery rather than platelet

24 engraftment, which is, I think, some of the data that

25 you saw shown by Dr. Jacobs. Because CBER considers

1 it a more suitable endpoint with respect to the fact
2 that it is not as dependent on transfusions. The data
3 is very similar between the two. The median day of
4 platelet recovery differed by one day. It was one day
5 longer in the selected arm. The mean day differed by
6 several days. The P values once again are low. The
7 null hypothesis is that there is no difference, and
8 this strongly suggests that the difference we see,
9 that is, the prolongation of engraftment of platelets
10 by a few days in the selected arm is real. The next
11 slide shows the Kaplan Meier analysis. You can see
12 that when 50 percent of the events had occurred, the
13 two arms are pretty much the same. If we follow it
14 further out, it is obvious that -- the red, by the
15 way, is the selected arm. I know it is hard to see.
16 It is obvious that a number of patients in the
17 selected arm had probably several days prolonged
18 engraftment. And at a little over 40 days, all of the

19 patients in the control arm had platelet recovery,
20 whereas there are a number of patients who continued
21 out. We have not -- we stopped this graph for the
22 sake of description at 80 days, but there was a
23 patient who I described already who at 119 days still
24 lacked platelet recovery. And this was the single
25 patient who was given the back-up or depleted product.

1 The analyses of this indicates that the P
2 values are once again, strongly supporting a
3 difference between the arms, that is, a prolonged
4 engraftment for platelet recovery -- prolonged
5 platelet recovery in the selected arm.

6 There were a number of platelet safety
7 endpoints. The platelet transfusion events and days
8 of platelet transfusion events are listed. The mean
9 showed a difference. the selected arm was 4.4 days,
10 the unselected arm 3.2. The median, which you have
11 seen already, I believe, is 3 and 2 days. The ranges
12 are shown below.

13 A number of other secondary endpoints that
14 were related to engraftment were also done. They
15 included incidents of infection day 0 to 100,
16 incidence of severe infection, days of
17 hospitalization, days of rehospitalization, number of
18 days of growth factor given post-transplant. All of

19 these secondary endpoints, as summarized by Dr.

20 Jacobs, were the same in both arms.

21 Late engraftment events were also looked

22 at, and these are platelet late engraftment events.

23 CBER did an analysis by taking from the list files all

24 patients who at 100 days after transplantation -- I am

25 sorry, 6 months after transplantation had 100,000 or

1 less platelets. When there was more than one
2 determination, as there often was, we took the first
3 determination. These 17 patients that we had were
4 further subset. There were 17 altogether, 13 in the
5 selected arm. By having equal to or less than 75,000,
6 et cetera, for 50 and 20. The preponderance of
7 selected arm patients, about three-quarters, is
8 noticed here.

9 The next slide continues this analysis.
10 We were concerned with the possibility that the data
11 that we were looking at in terms of late engraftment
12 or late recovery or incomplete recovery -- it is a
13 little hard to judge at six months -- was related to
14 risk factors. And the two that were considered were
15 infusion of less than 2 million CD34 cells per
16 kilogram. This is simply the control down here. And
17 having equal to or less than 75,000 platelets at the
18 time of mobilization. The latter data are not shown.

19 They don't -- they are not very clearcut. But we did
20 both analyses. If we just look at the upper section,
21 you can see once again the preponderance of selected
22 arm subjects. If we look at those patients who have
23 less than or equal to 50,000 -- now this is 6 months
24 post-transplant -- all four subjects were infused with
25 less than 2 million cells, and in fact all four were

1 selected arm subjects. If we look at those who have
2 less than 75,000, of which there were 8 subjects, 6 of
3 the 8 were infused with less than 2 million cells. 2
4 of the 8 had over that. And of these 6, 5 out of the
5 6 were in the selected arm.

6 These data suggest that the infusion of
7 less than 2 million per kilogram of CD34 positive
8 cells could be a risk factor, particularly in
9 conjunction with the Ceprate selection.

10 Continuing the safety analysis, there was
11 a central review of progression of disease. Patients
12 were evaluated at baseline at 100 days, at 6 months,
13 and at 12 months. At baseline, almost all the
14 patients were either in remission or were stable.
15 There are no substantial differences in the numbers of
16 patients here. At 12 months, there were 19 patients
17 in each arm who showed disease progression, 29 percent
18 and 30 percent respectively.

19 A similar analysis was done for deaths.

20 At 100 days, 6 months, and 12 months, once again there

21 are no significant differences in the number of deaths

22 between the groups, 12 percent for the selected arm

23 and 9 percent for the unselected arm respectively.

24 During the course of the CBER analysis, a

25 number of labeling issues arose, and three are listed

1 here. The one of major concern, which I suspect is
2 already obvious, is the question of whether infusion
3 of less than 2 million CD34 positive cells per
4 kilogram is a risk factor. Four pieces of information
5 bear on this. The 17 patients of the 131 who were
6 studied who were infused with less than 2 million
7 cells had a limited number, 4 specifically, of slow
8 platelet recovery. I have just presented the late
9 engraftment data, which is 6 months after
10 transplantation. There is published literature that
11 strongly supports the fact that patients infused with
12 less than 2 to 5 million CD34 positive cells had a
13 slower rate of engraftment than did those infused with
14 above that. The sponsor has presented the data
15 already on logistic regression analysis which shows
16 that among those variables which they concluded were
17 connected with engraftment events, the two that were
18 most prominent were the number of CD34 positive cells

19 infused and the number of platelets at the time of

20 mobilization.

21 There were two other issues. We asked the

22 sponsor to provide a literature search on the

23 expression of CD34 on malignant cells. They supplied

24 that of 66 publications. The publications show

25 essentially what I suspect most of the Committee and

1 the audience is already aware of, that is, that CD34
2 is seen for the most part on undifferentiated immature
3 cells and cells of a leukocytic series. That aside
4 from leukemic cells, it is rarely seen among cells of
5 solid tumors. However, there are several reports,
6 including one last year in 1997 in Blood, which does
7 report very strong data on the appearance of CD34 on
8 myeloma cells. So that it can happen and it does
9 happen apparently.

10 Finally, the question of reduction of
11 tumor burden in other than multiple myeloma cancers.
12 The literature review of the 15 papers has already
13 been alluded to by Dr. Jacobs. The sponsor also in
14 response to our request has provided Phase I and II
15 data on two studies. One was from myeloma and the
16 other from breast cancer. They both are consistent
17 with a lot of the data recorded. The myeloma study
18 indicated that about half the patients could be purged

19 to non-detectability and that there was a reduction of
20 tumor burden by 3 to 4 percent in that report. And
21 the second paper on 15 patients out of a larger group
22 of breast cancer patients reported a reduction of
23 tumor burden by one and a half logs, but that may be
24 very dependent on the methodology.

25 The last two slides I would like to

1 summarize my comments and reviewers comments. Primary
2 endpoints were satisfied as prospectively designated
3 using data from single protocol CP0060-02. There was
4 a marginal delay in neutrophil engraftment and a
5 definitive one to two day delay in platelet recovery.

6 The term marginal as used here is based on our data,
7 which indicates that there is a 7/10th's of a day
8 delay in mean and that there is a low P value for
9 supporting differences in the Kaplan Meier analysis
10 for neutrophil engraftment. Late engraftment
11 suggested a limited number of selected subjects had
12 poor or delayed platelet recovery. The infusion of 2
13 million CD34 positive cells per kilogram may be a risk
14 factors. There were concerns, which Dr. Ed Max has
15 discussed, about the quantitative aspects of the PCR-
16 based assay of the tumor cells.

17 The disadvantages of the proposed use of
18 Ceprate selection includes a small increase in the

19 number of leukophoresis, a decreased number of
20 progenitor cells which could be infused, and possibly
21 slower and incomplete engraftment, that is, referred
22 to as late engraftment problems. The major advantage
23 is reduction of tumor cells in the autografts. There
24 were limited data provided on tumor purging in
25 clinical settings other than multiple myeloma and

1 follow-up studies of disease progression and patient

2 survival should continue after FDA action. Thank you.

3 CHAIRPERSON VOSE: Thank you, Dr. Litwin.

4 Let's go ahead and open it up for any questions from

5 the Committee. Dr. Auchincloss?

6 DR. AUCHINCLOSS: May I ask Dr. Max about

7 his concerns, which statistically look perfectly

8 reasonable. But is there any biologic example where

9 such systematic undercounting of cells has occurred by

10 PCR analysis as versus positive selection?

11 DR. MAX: I don't know of any example. And

12 as I said, we have no particular reason. We would

13 assume that the cells would behave more or less

14 identically -- the cells that have stuck to the column

15 and the cells that have passed through. FDA prefers

16 not to base approvals on unproven assumptions,

17 however.

18 DR. AUCHINCLOSS: But then you went on to

19 say that there was no way they could prove their
20 assumption as I understood you. That the test of the
21 multiple myeloma cell DNA was impossible to perform.
22 DR. MAX: I think that test is maybe not
23 quite impossible, but unfeasible to ask to look at
24 every patient. What I think is feasible and would
25 rule out let's say PCR inhibitors, for instance, is to

1 look at the beta-actin in a quantitative fashion as
2 they have done for one patient to assess effects that
3 might be having an action on the myeloma cells as well
4 as the bulk DNA. And we understand that they have
5 looked at with a different pair of primers and two
6 additional patients and have gotten more satisfactory
7 results, which maybe CellPro would care to describe.
8 But FDA has not seen those data.

9 DR. AUCHINCLOSS: Does the fact that they
10 did in fact in those three patients end up with the
11 same proportion of tumor cells as in the initial
12 sample -- does that lead you to conclude that, in
13 fact, they can measure tumor cells when they are
14 there?

15 DR. MAX: Well, maybe I should clarify
16 what they have done. It is not a question of assaying
17 tumor cells in the original sample. It is a question
18 of assaying the beta-actin, which is an internal

19 control for --

20 DR. AUCHINCLOSS: No, but I am talking now

21 about multiple myeloma cell detection. And they were,

22 in fact, detecting a similar proportion to their

23 starting population in three patients. That was part

24 of the complaint about how accurate the assay really

25 was. But there they are apparently measuring what

1 would seem like a reasonable number of cells. So they
2 must be able to detect multiple myeloma cells if they
3 are there.

4 DR. MAX: I am not sure I follow.

5 DR. AUCHINCLOSS: No. That may be a lousy

6 argument. Let me conclude by just asking you this.

7 Is it fair to characterize your concern as raising a
8 remote possibility, this degree of systematic

9 undercounting?

10 DR. MAX: I would say since we don't know
11 how remote it is, I would say it is a theoretical
12 possibility.

13 DR. BROUDY: I have a question for Dr. Max
14 as well. Part of the concern was that the number of
15 tumor cells in the CD34 positive and then the CD34
16 negative fractions did not always add up to the number
17 of tumor cells in the starting fraction, but you
18 presented very clearly how they calculated that by

19 multiplying the number of cells times a percentage of
20 tumor cells. Did the total numbers of cells always
21 add up? Could part of the disparity in the numbers be
22 due to systematic or random errors in counting?
23 Perhaps they undercounted or overcounted and then
24 multiplied and that is why things didn't add up?
25 DR. MAX: Yes. I think -- I can't give

1 you an absolute -- I can't tell you quantitatively,
2 but there were some cases where there was a failure to
3 add up just in terms of the total number of nucleated
4 cells. And that is why I emphasized that there are
5 really three independent determinations in two
6 samples. So we are talking about six numbers that
7 combine together for that final log removal. And that
8 is the DNA assay, which has its own potential
9 problems, the counting on a hemocytometer, and the PCR
10 assay. I think it is probable that the -- based on my
11 own experience that the error in the PCR assay is the
12 one that is most worrisome. But really we have no way
13 of knowing.

14 CHAIRPERSON VOSE: Dr. Anderson?

15 DR. ANDERSON: Yes. We have seen the data

16 where it doesn't add up. Let me just ask, of all the
17 various patients, how many times did it add up?

18 DR. MAX: Well, I would say that there are

19 only two or three patients where the numbers added up
20 in a way that you would hope to see if the assay was
21 quantitative. There were -- I don't have the numbers
22 completely memorized, but there were about maybe half
23 a dozen patients in which the counts in the depleted
24 sample were, let's say, in the range of 7 to 10 times
25 that in the starting sample. There was one patient

1 where the amount measured in the depleted sample was
2 200-fold and another close to 1000-fold of what had
3 been present in the starting sample. And then there
4 were similarly on the side of errors in the other
5 direction where the myeloma cells were much lower in
6 the depleted sample, and therefore the total of
7 depleted and enriched was much lower. Those numbers
8 were also -- I don't, again, have the numbers in front
9 of me, but I would say there were three or four
10 patients where the numbers looked the way you would be
11 very happy to see if they were your own experimental
12 results.

13 DR. ANDERSON: But what this sounds like
14 is FDA doing what FDA ought to do, which is to really
15 look at very strict criteria to determine if there is
16 a systematic error that basically discounts the
17 results. And I gather your conclusion is that having
18 analyzed it very thoroughly, that the sponsors have

19 not proven their point, but the FDA has not disproven

20 it either?

21 DR. MAX: Well, what I would like to

22 emphasize is that what we feel is the error in the

23 assay is larger than one would hope. On the other

24 hand, the margin of error that would discount the

25 sponsor's results is such that this assay may be

1 sufficient to prove their case. So that if instead of
2 a half log error, let's say the error was more like a
3 log, then there might be more than the two patients --
4 two patients fell below -- on their best estimate fell
5 below the target of 2 logs removal. Now if you asked
6 how many patients fell such that their 95 percent
7 confidence interval was below their target, obviously
8 that would be greater than 2. And depending on their
9 log error -- if their log error was sufficiently high,
10 then that number would rise significantly.

11 DR. ANDERSON: What would be the
12 possibility that the same set of errors could have
13 resulted randomly in two patients being under, but
14 that in fact none of the patients were under?

15 DR. MAX: I am not sure I --

16 DR. ANDERSON: In other words, what I am
17 saying is because of the randomness in the numbers, it
18 is theoretically possible that 64 out of 64 all had a

19 depletion rather than 62 out of 64.

20 DR. MAX: Yes. I think -- yes, that is
21 certainly true. I mean if one, instead of asking what
22 is the 95 percent confidence limit that they were all
23 above, you could say --

24 DR. ANDERSON: What are the chances that
25 they are all below.

1 DR. MAX: Below. And that would be quite

2 low.

3 DR. ANDERSON: Okay.

4 DR. MAX: But I don't think that their

5 error is so great -- that we have evidence that their

6 error is so great. The data that was shown by Dr.

7 Berenson, I believe, of the log log plot would

8 indicate that there is some correlation between the

9 myeloma in the starting PBPC sample and the CD34

10 depleted sample, which implies that these are not

11 random numbers.

12 DR. ANDERSON: I guess where I am coming

13 from, and then I will stop here, is that based on that

14 plot, what you just mentioned, where there are the two

15 outliers to the upper left that you pointed out and

16 there appeared to be one outlier on the right, the

17 rest of them all seem to be reasonably close to the

18 line, which implies that -- and there are enough

19 points on that line -- that it would imply that the

20 assay is good enough.

21 DR. MAX: That is what I think is true.

22 That is, of course, excluding this theoretical

23 possibility of the undercount in the CD34 enriched

24 cells. Because that undercount would not have

25 affected that curve.

1 DR. ANDERSON: Right.

2 CHAIRPERSON VOSE: Additional questions?

3 Mr. Katz?

4 MR. KATZ: Well, I guess if I were a

5 patient that was imminently considering a transplant,

6 I would be looking at what is the potential benefit of

7 this to me and what is the potential risk of it to me.

8 It seems from the discussion here that we will not

9 agree conclusively on benefit. And there is an area

10 of benefit that hasn't even been discussed that

11 mentally appeals to me just listening to it in saying

12 that we are measuring tumor cells, cells that have

13 gone all the way down the chain of differentiation and

14 whatever else happens to make them a myeloma cell.

15 But if there is evidence to say that progenitor cells

16 that aren't yet identifiable by this assay or however

17 as myeloma cells might actually be selected out as

18 CD34 negative, that would be appealing. But it seems

19 like no one is going to be able to prove that one way
20 or the other. So I would be more concerned about the
21 risk side of this. I think your recommendation in the
22 document says it correctly in that there should be a
23 commitment to study the outcomes because clearly no
24 one has any data on the real outcomes in terms of
25 survival because the patients really are looking at

1 that, not at specifically what is happening in
2 engraftment. As long as they are not going to die
3 during the transplant. But also that the risk factors
4 are addressed. Because I am not hearing -- it sounds
5 like there may be some need to very closely monitor
6 these late engraftment events. I am not sure what is
7 implied in an approval in terms of a commitment to
8 monitor that very closely. I am not sure what
9 constitutes a reportable event to the FDA if this gets
10 approved. And also from listening to the discussion,
11 it seems like maybe there are some strictures around
12 if you look at the harvest and you conclude that you
13 are not going to inject or infuse 2 million cells,
14 that maybe we shouldn't be using strictly the enriched
15 product if that is a risk. I don't know.

16 So I guess my concern to the group would
17 be -- and I think we are focused on whether we can
18 absolutely prove the benefit, and I don't think we

19 can. If we can control the risk, it seems like
20 something that should be available to the patient
21 community and something that should be available for
22 study if we can manage the risk.

23 CHAIRPERSON VOSE: I think a lot of those
24 issues relate to things such as the study was not
25 designed to look at overall survival, so we cannot

1 really say that. A lot of the risks things and
2 follow-up information that you mentioned are things
3 that we can discuss when we discuss the labeling
4 issues and also the post-marketing studies that we
5 would suggest.

6 DR. FLOYD: I have a number of questions.
7 First I would like to make a couple of comments about
8 devices in general. We are talking here about a
9 device that is affecting a biological specimen. The
10 first question that popped to my mind when I read
11 through this is that everything I learned as a young
12 biologist being trained in growing up seems not to
13 have been considered in the design of this experiment.
14 Now I say that because one of the things we have done
15 here is we have subjected half of a population --
16 essentially half of a population to a treatment, but
17 we haven't subjected the other half. Basically, the
18 unselected arm of this experiment simply had

19 leukophoresis period. Their cells were not subjected
20 to essentially a dummy device, if you will. The only
21 way this experiment could have made sense from my
22 perspective on evaluating a device is if those cells
23 had gone through the same Ceprate machine that had a
24 capsule that did not have a CD34 binder on whatever
25 the binding medium is in this case. That kind of

1 experiment would have gotten rid of many of the
2 questions that keep coming up in this particular
3 submission as well as the others that I have sat on
4 for this particular group. I would like to see what
5 happens with a dummy device, if you will, because
6 otherwise we don't have any way to evaluate the effect
7 here. It is a real issue.

8 The other issue that has not been
9 discussed that I have seen, and frankly I haven't gone
10 back and done a literature search on all literature
11 about binding devices, and I know there is a
12 fantastically large literature in that area, one of
13 the other pieces of information that I have not seen
14 here whatsoever is what the actual repeatability of
15 devices provided by the company are with respect to
16 capture. In other words, if I open 10 different
17 packages of a capture cartridge, what is the range of
18 variability in capture of each of those cartridges and

19 what is the range of release using whatever mechanism
20 they are using. As I understood it here, we are using
21 a stirring bar of some sort to dislodge the bound
22 cells. What range of human variability do we have in
23 real patient populations of release from that kind of
24 mechanism? Those are the kinds of concerns I have.
25 And at this point, I haven't heard any answers to any

1 of those things.

2 CHAIRPERSON VOSE: If someone from the
3 company would like to comment on the second issue
4 regarding variability between actual devices?

5 DR. TARNOWSKI: I am Joe Tarnowski from
6 CellPro. I am Vice President and Chief Technical
7 Officer. Regarding your question about
8 reproducibility, there are two answers to your
9 question. First you said a controlled study using a
10 non-binder. We have done studies with mobilized
11 normal donors where we passed material through our
12 column without the antibody, and what we find is no
13 difference in the distribution of the cell population
14 as it passes through the column. You do have some
15 hang-up in the tubing pathways, et cetera, where you
16 have some loss of cells in general, and this is just
17 a physical manipulation. So I think the answer to
18 your control arm is that we have done those in

19 laboratory experiments and we wouldn't predict any

20 difference there.

21 As far as reproducibility of the system,

22 we find that capture is very high in terms of we

23 capture about 75 percent to 80 percent of the cells

24 using the monoclonal antibody. We see some cells that

25 pass through in the unbound fraction, and these

1 probably are lower density cells that have lower CD34
2 antigen distribution on its surface. Those cells
3 don't seem to be readily captured by our antibody
4 because of affinity differences and they pass through
5 and are in the depleted bag. The release mechanism is
6 very efficient. We use a non-chemical, non-
7 interactive release. We use a physical stirring
8 mechanism. And the bond that breaks between the cell
9 and the antibody is quite efficient. In the
10 laboratory, we see a very tight range of about 50 to
11 60 percent using mobilized normal donors. In the
12 patient population, of course, the distribution is a
13 little bit broader because it is dependent on the
14 incidence of CD34 positive cells in the starting
15 material. We find that when patients have a
16 mobilization of .5 percent or greater, our efficiency
17 and recovery is much higher than the low mobilizers.
18 So some of the data here in terms of the wide ranges

19 you might see is based on the incident at which you
20 can mobilize. And I think that makes good sense for
21 the label claim that we are saying 2 million per kilo
22 is a good target that you want to choose. Any other
23 questions that I might be able to answer?

24 DR. FLOYD: Well, I am not surprised at
25 all at your comments about the biological way the

1 device works. What I am suggesting here is that in
2 this kind of study, there are always these unanswered
3 questions about why you get delayed engraftment.
4 Whether it is 7/10th's of a day, one day, 10 days,
5 whatever. What else is happening to this sample?
6 What else binds? All of us who have been involved in
7 research and the research laboratory know that when
8 you deal with cells and/or proteins and/or
9 glycoproteins and all sorts of other compounds,
10 anytime they are subjected to a place where they can
11 stick by and clump or whatever, most likely they will.
12 In this particular case, we have a group of patients,
13 all of whom have obviously signed consent agreements
14 to undergo a study. One group, however, has had the
15 standard traditional clinical treatment, that is,
16 leukaphoresis and then re-engraftment, if you will.
17 The other group has gone through a device. And what
18 I am suggesting here is that the only way to tighten

19 up this data is to run both groups through a device,
20 one of which is CD34 positive and the other one which
21 is not. And I don't see that kind of study here, and
22 it is the kind of study that I would really like to
23 see happen.

24 DR. SIEGEL: But if we were to do that
25 study, presumably in the ones that went through --

1 clearly in the ones that go through the CellPro, you
2 would infuse those that adhere to the column and then
3 eluted. If you were to do that in the control arm,
4 there would be virtually no cells there and it would
5 be reasonable to assume that those patients would fail
6 to engraft. Would you suggest that there would be
7 value in the dummy device in infusing the population
8 that did not adhere and comparing that to the results
9 of the population that did adhere in the CellPro
10 device?

11 DR. FLOYD: Precisely.

12 CHAIRPERSON VOSE: But I think the idea is
13 to compare it to the standard of care, which is what
14 they have done. They have compared it to the standard
15 of care that is currently used. I understand the
16 rationale and scientific behind that, but you need to
17 compare it to what is done in the patient population.

18 DR. BROUDY: And could I make just a brief

19 comment. That is that I have fewer concerns about the
20 column perhaps than you do. I think it is very
21 clearly shown in the literature that the speed of
22 engraftment is related to the CD34 content infused in
23 peripheral blood progenitor cell transplant. And I
24 think the reason they engrafted perhaps slightly
25 slower, particularly for platelets, is that fewer CD34

1 cells were infused, and that has been clearly shown
2 even in populations of cells that have not gone
3 through a column or some other device. So I am not
4 concerned that the column is damaging the cells and I
5 would be less concerned about the need for that type
6 of control.

7 CHAIRPERSON VOSE: Dr. Auchincloss?

8 DR. AUCHINCLOSS: A question for Dr.

9 Litwin. Again on the delayed platelet engraftment.
10 It looked to me as if the people who got into trouble
11 were the people who were already in trouble if you
12 will. I mean before this study ever started, they
13 were the ones who had low platelet counts to begin
14 with. I guess my question for you is a) is that a
15 reasonable interpretation of the data; and b) would
16 you conclude from that that maybe more than 70,000
17 platelets or whatever the cut-off point was should be
18 mentioned as a safety feature in taking part in this

19 kind of cell transplant?

20 DR. LITWIN: We looked at immediate

21 platelet engraftment and we found limited numbers of

22 patients with slow platelet recovery. The majority of

23 the data that I think I showed you was this late event

24 that is six months afterward. We looked at two risk

25 factors. What is clear is a risk factor is infusion

1 of less than 2 million CD34. We also looked at having
2 75,000 platelets at time of mobilization, and the data
3 were just too few to reach any conclusion whatsoever.
4 I think I included that in the briefing, but I did not
5 mention --

6 DR. AUCHINCLOSS: But my point is that the
7 ones that were infused with less than 10×10^6 --

8 DR. LITWIN: 2 million per kilogram.

9 DR. AUCHINCLOSS: Looked like they were
10 the ones who you could have predicted they were going
11 to end up with a smaller number of cells because they
12 already had evidence of diminished bone marrow
13 reserve.

14 DR. LITWIN: That may very well be. We
15 have no way of distinguishing that.

16 DR. AUCHINCLOSS: The question then is
17 should you label this to protect yourself from going
18 to those patients, i.e., have a platelet count of

19 100,000 instead of 70,000?

20 CHAIRPERSON VOSE: That is actually pretty

21 well documented in the literature even for unselected

22 transplant patients. That if they have a platelet

23 count below 100,000 when you start mobilization.

24 DR. AUCHINCLOSS: I think this study went

25 for 70,000.

1 CHAIRPERSON VOSE: Yes. 100,000 is kind
2 of more standard.

3 DR. WEISS: And this is an issue we tried
4 to address in one of the questions, but it is still
5 confusing. And I was wondering if maybe the sponsor
6 could address this. Because there are patients that
7 entered the study, but they weren't randomized until
8 after they were -- until just at the start of
9 leukophoresis, and the minimum platelet count at the
10 time that they collected platelets was supposed to be
11 30,000 platelets. That is the minimum number. And
12 maybe Dr. Jacobs can address and try to clarify.

13 DR. JACOBS: There were two criteria for
14 starting or initiating leukophoresis, and that was a
15 white blood cell count greater than 1,000 and the
16 platelet counts had to be greater than 30,000. There
17 were only two patients that were below 30,000 that I
18 gave exception to to go ahead and randomize because

19 their white blood cell counts were clearly climbing.

20 And there was one at I think 20,000 platelet counts

21 and another one maybe at 26,000 or 27,000 platelet

22 counts. And probably one of our clinicians could kind

23 of address the standard as far as starting

24 leukapheresis and taking patients to transplant with

25 platelet counts.

1 DR. ANDERSON: Ken Anderson from Boston.

2 I would comment on that. I think the main finding of

3 this study and supported broadly in the literature in

4 a variety of cancers is that this threshold of 2

5 million CD34 positive cells per kilogram is necessary

6 to get satisfactory engraftment long-term of all

7 lineages. In terms of trying to identify factors

8 beforehand that might predict for inability to collect

9 those cells, I think the best evidence is that prior

10 treatment is where it is at. And in particular, we

11 have learned over the years that certain classes of

12 drugs, alkylating agents and nitrosoureas in

13 particular, which are known to be stem cell toxins,

14 can clearly -- or other factors such as extensive

15 radiation -- can abrogate our ability to collect

16 sufficient numbers of cells later. So, in fact, when

17 this trial was designed, that was considered and that

18 is why there was a limitation on the amount of

19 alkylating agent exposure patients could have had

20 prior to entering this trial, these three cycles.

21 DR. AUCHINCLOSS: So your recommendation

22 to the FDA would be to include that in the labeling

23 and the warning of the product?

24 DR. SIEGEL: There are just a couple of

25 things I would like to comment on here. One is that

1 the platelet counts that you have been looking at in
2 these analyses and that you referred to as baseline
3 platelet counts are the platelet counts after
4 mobilization with cyclophosphamide and G-CSF, and in
5 general are lower than the platelet counts on study.

6 And it is those platelet counts after mobilization at
7 the time of randomization and leukaphoresis that seem
8 to correlate with and be somewhat predictive of who is
9 going to have delayed platelet engraftment. Just a
10 point of information. They still could be used to
11 determine whether or not to use this device.

12 DR. AUCHINCLOSS: That is all I am looking
13 for. What is the predictor of who is not going to
14 come up with 2 million cells.

15 DR. SIEGEL: Right. But it should be
16 clear that that is what we are talking about, not the
17 platelet count when you present for the conditioning
18 regimen.

19 The labeling that we have for use in this
20 device with bone marrow also indicates that when low
21 members of CD34 cells are infused, there is a delay in
22 platelet engraftment. And this study is consistent
23 with that. And in that study also, there was more
24 delay in platelet engraftment in the treated arm than
25 in the non-treated arm, suggesting strongly that it is

1 related to use of the device. It is not listed as a
2 contraindication. I think people need to way -- to
3 look at the data and whether or not a delay in
4 platelets is likely to be a critical issue in that
5 indication.

6 Finally I would note, and I think the
7 record is clear on this -- it was stated in the
8 company's -- I think it probably has been clear since
9 then -- something that seemed a little misleading
10 although true in the company statement that there is
11 no significant treatment arm effect on platelet
12 engraftment after you adjust for CD34 cells received
13 and for a baseline platelet. And while that may be
14 true, it needs to be noted that the treatment arm is
15 an important determinant here of the number of CD34
16 cells received. That in fact it halved the number.
17 So that statement might imply that there aren't
18 effects beyond the fact that the device reduces the

19 number of CD34 cells, but it doesn't suggest that the

20 device, per se, is not responsible -- or the use of

21 the device for those delays in platelets.

22 And that reminds me actually of another

23 point regarding Dr. Floyd's and Dr. Broudy's comments,

24 which is that there aren't hard data as to whether per

25 CD34 cell the device does better or less well in

1 engraftment. There is a very soft suggestion I
2 noticed in the data that was up that if you looked
3 amongst those who had less than 2 million cells at a
4 platelet cut point of 50,000 -- and as you saw, there
5 are many cut points and there are a lot of
6 multiplicity issues -- but there are about 5 of the 10
7 in the treatment arm that had delays or problems and
8 1 in 7 in the other arm, which I am sure is not
9 significant, but I don't think we can say one way or
10 the other for sure whether all the effects are
11 attributable to reduction in CD34. Even if we could,
12 it is important to note that we couldn't say that if
13 you just did more leukophoreses and got more CD34
14 cells, the problem would go away. That is probably
15 something one shouldn't presume. Reductions in CD34
16 cells may also correlate with issues regarding bone
17 marrow reserve, prior chemotherapy, and the actual
18 functional efficacy of the CD34 cells, and it exists

19 as a theoretical and not terribly unlikely possibility

20 that patients who have fewer CD34 cells and don't

21 engraft well that if you kept whipping them with some

22 drug or more leukapheresis until you got more CD34

23 cells, they might still not engraft as well as those

24 people who had a lot of cells to begin with.

25 DR. BROUDY: I'd just like to make a

1 couple of brief comments. About your concern about
2 whether patients with extensive prior alkylator
3 therapy, there should be a comment in here. I guess
4 my feeling is no. And the reason is that it is the
5 clinician's judgment and also because there are many
6 predictors one could use, and one is the number of
7 CD34 cells per ml less than 2,000 CD34 cells per ml
8 prior to mobilization, for example. This predicts a
9 group of patients who will mobilize poorly. So there
10 are many, many predictors one could use, and I guess
11 I would prefer not to see them all spelled out, but I
12 think one should spell out the 2×10^6 CD34 cells per
13 kilogram because I think it is very clear that
14 patients infused with fewer than that do engraft more
15 slowly.

16 I would like to make one other comment in
17 response to what Jay has said, and that is that CD34,
18 even though we are going to propose to use it I think

19 here as a committee, is an imperfect measure because
20 it is a very small subset of the CD34 cells that
21 actually engraft. What you are measuring with the
22 CD34 cells is the progenitor cells mainly, whereas it
23 has clearly been shown in the mouse model by Weissman
24 and the Systemics folks and some others quite recently
25 that it is actually the stem cells and not the

1 progenitor cells that are required for early
2 engraftment in the first 14 days. And those cells we
3 have no way to measure. And CD34 does not really
4 measure those cells. So it is an imperfect measure
5 anyway.

6 CHAIRPERSON VOSE: It is a surrogate.
7 Unfortunately we don't have a better one to use at the
8 present time. I agree that it is going to be
9 impossible to list all of the factors as far as
10 putting what we should and shouldn't do for selecting
11 patients, and we have to use our best surrogate, which
12 right now is the CD34.

13 DR. AUCHINCLOSS: But if I can just ask
14 you a little bit further on that. I believe that 2 x
15 10⁶ is the right number, but you only know that after
16 you put them through the column whether you have it.
17 So to tell people, hey, if you didn't get 2 million,
18 you are in trouble and they have already used the

19 device --

20 CHAIRPERSON VOSE: You need to continue to

21 do additional leukophoresis.

22 DR. AUCHINCLOSS: Well, that is the

23 question that Jay just brought up. I am not so sure

24 that just continuing to do leukophoresis is really

25 going to provide you with what you want. So don't you

1 think it is wise to tell people this device may get
2 you into trouble if you set out with a set of
3 predictors that tell you you are not going to get very
4 many CD34 positive cells out of it.

5 DR. SIEGEL: Well, you can continue to
6 leukophorese and you also have the option as to
7 whether in those future leukophoreses, you separate
8 them or not.

9 DR. AUCHINCLOSS: You wouldn't separate
10 them perhaps. And I guess there are ways around it.

11 DR. SIEGEL: But you also have the back-up
12 there.

13 CHAIRPERSON VOSE: You have the back-up.

14 DR. AUCHINCLOSS: But I agree with you
15 fundamentally that it comes down to clinical judgment.

16 CHAIRPERSON VOSE: Each clinical situation
17 is so different that it is hard to generalize I think.
18 Dr. Silver?

19 DR. SILVER: I have a question about the
20 back-up cells. Is there any advantage to being
21 infused with the back-up cells? This is really a
22 clinical question. If they have been depleted of
23 CD34, are they useless or what function do they -- and
24 was there any data in this study that in those few
25 patients that did get back-up cells, was there any

1 immunologic benefit?

2 DR. STEWART: Keith Stewart from Toronto.

3 Only one patient was infused with the back-up, which
4 was in Toronto, and it was ineffective.

5 DR. BROUDY: I guess I would say if they

6 recover 60 percent of the CD34 cells, some percent of
7 the CD34 cells will still be left in the flow-through.

8 CHAIRPERSON VOSE: Additional questions?

9 DR. AUCHINCLOSS: Yes, one big question

10 for Jay really and the FDA. I think it is a rerun of
11 the conversation we had in this Committee a hear and
12 a half ago, the last time we looked at one of these

13 issues. As highlighted when Dr. Litwin started his

14 presentation, he said this device is already approved.

15 And my question for you basically is as a device, I

16 believe this device does what the company says it

17 does. It removes tumor cells and gives CD34 cells

18 that are safe. You are really asking them to give us

19 efficacy or give us a sense of efficacy in clinical

20 treatment. Do you really think it is wise to judge

21 this on a clinical treatment efficacy as opposed to it

22 is a device that does what the device says it is

23 doing?

24 DR. SIEGEL: Well, that is a tough

25 question to answer. We haven't, obviously, asked nor

1 have we seen evidence of efficacy in clinical
2 treatment in the sense that that would be -- would
3 constitute survival and outcome data I would think.
4 In fact, the data you are looking at is our data as to
5 whether it does a specific function, remove CD34
6 cells. This device and most devices, although
7 obviously it is variable from device to device, but
8 most devices carry with them a certain -- well, I
9 don't know if it is most devices, but certainly some
10 devices carry with them a certain downside to their
11 use. And I think we have seen that aside from the
12 issues of platelet engraftment impairment and a very
13 minor but statistically significant neutrophil
14 engraftment impairment, there is the issue of more
15 leukophoreses. It just took more to meet the
16 standards that were imposed before. So I think the
17 correct question in the context of this device is is
18 the overall picture appropriate? Can what we presume

19 about the function of removing CD34 cells is that

20 adequate against what we know about the impact on

21 engraftment and number of leukophoreses to consider

22 this device safe and effective for use? Devices do

23 have an effectiveness standard. It isn't always

24 applied in quite the same manner as drugs because the

25 indications often are not the same as for drugs. And

1 it is hard to general about what we should be asking
2 of a device except to say that I think it should be in
3 context of a risk benefit analysis as it is for all
4 our products.

5 DR. AUCHINCLOSS: Jay, you need to be
6 clear that there has been efficacy asked of the
7 company in the sense that this product was originally
8 approved based on diminished first dose infusement
9 effects. Correct? And basically they are coming back
10 to you now and saying in 1994, your committee said
11 that a 2 log or maybe more than that reduction in
12 tumor cells could also be used as a surrogate marker
13 for efficacy. Now be careful about what the committee
14 really said in 1994. We can read back to that at some
15 point if we need to. My point is I think that that
16 marker for efficacy is probably flawed. I don't think
17 this device is actually providing benefit to patients,
18 at least as far as I can tell so far. And I think you

19 get yourself into trouble by asking them to show
20 efficacy in a clinical sense, whereas what you really
21 can do is say, all right, make a device that does what
22 your device says it does and let clinicians decide
23 whether it is worth using it.

24 DR. SIEGEL: You are suggesting that we
25 did ask them to show efficacy?

1 DR. AUCHINCLOSS: Yes. I think you have
2 asked them to show efficacy for something that I am
3 not sure is --

4 DR. SIEGEL: What sort of efficacy did we
5 ask them show? Efficacy in --

6 DR. AUCHINCLOSS: I thought the entire
7 response that the company was coming up to was we will
8 show you 2 log tumor reduction and that will be taken
9 as a surrogate marker for efficacy. I mean, isn't
10 that --

11 DR. SIEGEL: Right. But in fact if you
12 are saying we should ask them to show that the device
13 does what it says it does, then if the device says
14 that it reduces tumors --

15 DR. AUCHINCLOSS: I am not going to ask
16 them to show me anything except that they reduce tumor
17 number.

18 DR. SIEGEL: Well, that is all we asked.

19 DR. AUCHINCLOSS: And I don't want to use

20 the device because I don't think that does anybody any

21 good.

22 CHAIRPERSON VOSE: I think Abbey Meyers

23 would like to ask a question on the phone here if we

24 can hear her.

25 MS. DAPOLITO: Abbey, can you hear us?

1 MS. MEYERS (telephonically): Yes, I can
2 hear you. Can you hear me?

3 MS. DAPOLITO: Go ahead and we will see
4 what we can do.

5 MS. MEYERS (telephonically): Okay. It is
6 along the lines of what Jay was just saying.

7 CHAIRPERSON VOSE: She was asking about
8 there are many different types of malignancies, and is
9 the device going to need to go through an approval
10 process for each type of malignancy?

11 DR. SIEGEL: I think that is part of one
12 of our questions to the committee. I don't think we
13 have a determination on that.

14 CHAIRPERSON VOSE: It is a question for
15 later in the discussion, yes.

16 DR. SIEGEL: I would like to interject
17 here that Dr. Max has a flight to catch shortly on
18 Government business. It was a very difficult

19 scheduling problem and we are certainly most
20 appreciative of his efforts in that regard. But if
21 there are further questions specifically of Dr. Max,
22 he won't be available later in the day.

23 CHAIRPERSON VOSE: Maybe we could just --
24 I was going to say we could go through many of the
25 questions. I think they address a lot of the things

1 that we are talking about.

2 DR. SIEGEL: I think Bob Vescio should
3 address that with some additional data regarding the
4 beta-actin just to reassure you.

5 DR. VESCIO: Yes. First of all, I want to
6 apologize for not having the data sooner. There were
7 a lot of time constraints and unfortunately my
8 clinical responsibilities were such that I couldn't
9 get this data in time. But I was hopeful that maybe
10 if you have -- I can show you actually the beta-actin
11 gels that were run in a quantitative fashion to again
12 address whether there was any quantitative degradation
13 in the DNA from one patient -- from the 34 enriched
14 product versus the leukophoresis product.

15 Basically what was done is we took 3
16 patients -- it is carousel 2, slide 51 -- 3 patients
17 took their leukophoresis DNA and their CD34 enriched
18 DNA and basically quantified the amount of beta-actin

19 that was amplifiable using this Poisson PCR. This is
20 not the right slide. Carousel 2, slide 51.
21 Basically what we found -- this is an
22 example of one of the patients. Because the assay was
23 set up and the PCR conditions were set up to amplify
24 a small PCR product, when we took the initial beta-
25 actin primers that were used as a positive control and

1 run with all the assays, those PCR primers were not
2 really optimized to detect just one copy of the gene
3 per cell and that was why the percent contamination
4 rate was quite low. I redesigned primers that were
5 more comparable to this PCR product size looking for
6 tumor burden, and in fact kind of fortuitously these
7 particular primers actually amplified two germ line
8 genes within the patient, one of about 225 base pairs
9 in size and one of about 112 base pairs in size. I
10 don't have a pointer, but you can see there on the
11 slide. And basically in this particular example --
12 this is an H dilution, so this represents DNA from 30
13 cells, DNA on an I dilution and there are five
14 replicates, DNA from 10 cells, 3 cells, 1 cell, .3,
15 and .1. And as you can see here, there is -- as one
16 might expect when one starts getting to a statistical
17 chance of having one cell within the PCR tube,
18 occasionally one will find an amplifiable product and

19 occasionally one will find no beta-actin gene present.

20 Again, one can score the positive reactions from this

21 upper band which represents one germ line gene, and

22 also at the same time as a comparison control the

23 number of replicates positive bands looking at this

24 lower gene product. In this particular case, 124

25 percent of the cells had an amplifiable beta-actin

1 gene looking at the upper product and 88 percent of
2 the cells had an amplifiable beta-actin gene with 100
3 percent obviously being what one would expect.

4 This is the analysis for the same patient
5 on the 34 enriched product, and again you can see very
6 similar findings. And as one might expect as one
7 starts getting to 1 cell of DNA within the PCR tube,
8 one starts to have positive and negative results, and
9 that again falls off. And again in this particular
10 example, the calculated contamination rate was 78
11 percent for the upper band and 217 percent for the
12 lower band.

13 I have two more slides that we can just
14 whip through just again for comparison. Again, here
15 is the results for the leukophoresis product. Here is
16 158 percent. Here was 145 percent. And for the 34
17 enriched product, here was somewhat lower 32 percent.
18 Here the bands are a little bit weak, but it was 127

19 percent. And the third patient was also quite

20 comparable and I have the gels if the panel would like

21 to look at those.

22 So I think if one looked at all --

23 basically in this particular case, there are 3

24 patients. If you looked at the 34 enriched fraction,

25 looked at the leukophoresis fraction, the fact that

1 there is actually two genes that are amplified and
2 assessable in each of these time points. Again, all
3 the findings were within the half log error rate of
4 the assay. Again, I hope that this kind of assays
5 some of the fears that the panel may have that the DNA
6 was qualitatively or systematically degraded in the
7 34 enriched product versus the leukophoresis product.

8 CHAIRPERSON VOSE: Why don't we go ahead

9 and go through the questions. And then if it is not
10 answered at the end of the questions, we can have that
11 additionally, Abbey. Because I think many of the
12 questions address what we are talking about here.

13 Let's turn to the questions, then. The first one
14 really has to do with what we have been talking about
15 as far as the validation and performance of the assay
16 system to detect the tumor in the phoresis product.
17 Do we have any additional concerns or questions
18 regarding the discrepancies that we have noted or the

19 lack of internal control? Dr. Silver, are you

20 satisfied now with the information that has been

21 presented? Dr. Auchincloss?

22 DR. AUCHINCLOSS: I didn't hear exactly

23 how you phrased the question.

24 CHAIRPERSON VOSE: Just are you satisfied

25 with the information as far as the -- do you still

1 have concerns regarding the assay system, the
2 discrepancies we have discussed or lack of internal
3 control?
4 DR. AUCHINCLOSS: Well, as I think has
5 been brought out here already, there are two different
6 concerns. One is is the assay really accurate to
7 within half a log. And I suspect the answer to that
8 is no. But that doesn't terribly bother me because I
9 do not believe that there is much evidence -- there is
10 any evidence, I guess, that there is a systematic
11 error that would lead to the incorrect conclusion that
12 they are depleting tumor cells by at least 2 logs. So
13 I think that part is probably true. I am not sure if
14 your assay isn't as sensitive as you think it is that
15 you necessarily are infusing as many products that are
16 tumor free as you think you are, but that is neither
17 here nor there from the point of view of what the
18 company says it is trying to do. So I believe that

19 with the data I have seen that their product does what

20 they say it does to in general remove at least 2 logs

21 of tumor and probably more.

22 CHAIRPERSON VOSE: Is everyone satisfied

23 that the data suggests that there is at least 2 log

24 removal?

25 DR. BROUDY: Yes, I certainly am. I would

1 have to say that I think Dr. Max's very careful
2 analysis of all the data, how it was generated and
3 looking at all the gels served to actually convince me
4 that the company has done a very good job in studying
5 as large a number of patients as was possible that
6 they could amplify the product from that it does
7 remove at least 2 logs of tumor cells. And I think
8 they are to be commended for having done this very
9 carefully and having analyzed the run-through fraction
10 as well.

11 CHAIRPERSON VOSE: It is actually a very
12 carefully performed study. It is unfortunate that
13 there couldn't have been a higher number of patients
14 that could be amplified to add to that number. But
15 that is unfortunately a difficulty of the analysis.

16 Any additional comments? Okay. Let's move to the
17 next question. There typically are not reports of
18 CD34 antigen expressed from myeloma cells, but there

19 recently has been at least one report of that. To
20 what extent does this cause any safety or efficacy
21 concerns of this selection device in patients with
22 multiple myeloma? Anyone want to comment on that?

23 DR. BROUDY: Well, I think it also depends
24 on the cell surface density. What you can detect by
25 flow cytometry is probably about 1,000 molecules per

1 cell. I am not sure how many molecules need to be
2 detected for a cell to be retained by this particular
3 device. But reassuring is the fact that in no
4 patients in whom we saw the data was there any
5 evidence that the myeloma cells were preferentially
6 selected, at least in this group of 28 patients. So
7 we couldn't exclude that possibility, but it would be
8 a lesser concern of mine.

9 CHAIRPERSON VOSE: I think certainly the
10 number of patients that would have this problem would
11 be very small and probably a minority of cells as you
12 discussed. So in this particular patient population,
13 I wouldn't say it is a huge problem, although
14 certainly it is a concern for a generalizability to
15 other malignancies.

16 DR. SIEGEL: If they were preferentially
17 selected -- if they were CD34 positive and
18 preferentially selected, it would still be almost

19 inconceivable -- I better put that almost -- that you
20 would actually wind up infusing more tumor cells than
21 you would infuse if you didn't separate them. It is
22 unlikely that you are going to -- certainly highly
23 unlikely that you are going to infuse more than you
24 took out in leukapheresis. It is not impossible. So
25 I guess one issue that we talk around and that is

1 somewhat implicit in this question is what if you did
2 somebody who was CD34 positive and you purified those
3 tumor cells. So basically what you would be infusing
4 would be a product that was enriched that had half of
5 the original number of CD34 cells and half or maybe
6 more of the original number of tumor cells, but then
7 didn't have a lot of other things like T cells, for
8 example. Is there any reason that if that were done
9 -- if you did, in a sense, enrich for tumor cells,
10 that that might be or would be a safety concern?

11 CHAIRPERSON VOSE: Of course, if we don't
12 know if taking them out makes any difference, how do
13 we know if addition them makes any difference? But I
14 think there is a theoretical concern about this is
15 going to be somewhat of a depleted product with immune
16 effector cells, and if you are going to put in a
17 product that is very enriched with tumor cells without
18 immune effector cells, that could theoretically be a

19 problem. There is no way to know that. We don't have

20 any data.

21 DR. ANDERSON: Yes, I agree. Basically

22 you could imagine all kinds of theoretical

23 possibilities that you would select out on your column

24 a specific CD34 population that is more malignant than

25 the standard and therefore make it worse. But all

1 these things come down to basically getting data and
2 the point of the FDA is to look at the risk and to
3 look at the benefit and to put appropriate labeling so
4 that physicians are aware of the risk, and then one
5 looks to see what happens in patients and a continued
6 analysis. And in the use of the product, these
7 theoretical possibilities will be worked out. We can't
8 answer everything.

9 CHAIRPERSON VOSE: Dr. Silver?

10 DR. SILVER: It seems to me it would be
11 appropriate -- possibly appropriate in the literature
12 to say -- to note that some tumors or some myelomas
13 have been reported or one at least has been reported
14 to be CD34 positive. And in that case, the claim of
15 100-fold reduction would probably not apply.

16 CHAIRPERSON VOSE: To put that
17 specifically in the labeling?

18 DR. SILVER: Yes.

19 CHAIRPERSON VOSE: We will leave that up
20 to our FDA colleagues. Any additional comments on
21 that? Okay. The next question relates to safety
22 outcomes for engraftment. Discuss the effects of the
23 Ceprate device on neutrophil and platelet engraftment.
24 Does anyone have comments on that as far as clinical
25 relevance to what we have seen today? Well, from my

1 standpoint, I would say that neutrophil engraftment
2 was certainly adequate in both arms and platelet
3 engraftment, although it appeared to be slightly
4 slower in the Ceprate arm was really not clinically
5 significantly different and did not represent a
6 problem as far as patients are concerned. There were,
7 however, several outliers, and that needs to be looked
8 at with respect to the number of cells infused and
9 things like that that we have already discussed.
10 Additional comments? Okay. Question number 4 -- this
11 was a question that our FDA colleagues wanted us
12 actually to vote on. Are the findings of additional
13 leukapheresis procedures, platelet transfusions, and
14 in certain patients an impaired platelet engraftment
15 acceptable given the potential benefits of a 2 to 3
16 log tumor depletion? Can we have some discussion on
17 that first? This is kind of the \$64,000.00 question.
18 MR. KATZ: I think that what we are

19 talking about in terms of additional leukopheresis

20 once you've got the set-up to do that -- you've got

21 the catheter and all the other good stuff -- and

22 transfusions is kind of kidstuff compared to going

23 through a transplant. So I think that if there was

24 even a prayer that it was going to improve the outcome

25 and survival, I don't think patients would look at

1 that very unfavorably.

2 CHAIRPERSON VOSE: And typically I think
3 it was only one extra leukophoresis for most patients.

4 MR. KATZ: Yes. Once you've got the
5 plumbing installed, it is pretty easy.

6 CHAIRPERSON VOSE: Dr. Broudy?

7 DR. BROUDY: I guess I would just like to
8 point out that at least some of the extra

9 leukophoreses were dictated even pre-column just to
10 achieve the target minimal number of mononuclear
11 cells. So I believe that probably some fraction of a
12 leukophoresis extra was required to generate the

13 number of cells required to go through the column.

14 But most of it was just that that patient population
15 happened to have perhaps slightly poorer bone marrow

16 reserve for some reason that we don't know at the
17 present time. I guess I would vote yes on this. I am
18 quite convinced that they deplete at least 2 to 3 logs

19 of tumor, and while the major part of the clinical
20 problem is residual tumor in the patient, at least
21 this potentially offers a step forward. So I would
22 vote yes on this.

23 CHAIRPERSON VOSE: Additional discussion?

24 DR. SIEGEL: I would interject that
25 perhaps we could rephrase your comment to say that

1 this is not the \$64,000.00 question, but the log 4.8

2 dollar question.

3 CHAIRPERSON VOSE: Thank you. Okay. So

4 let's take this --

5 DR. AUCHINCLOSS: Let me -- I guess I need

6 education. It sounds good, a 2 log reduction in

7 tumor. It feels good. Is there any evidence

8 anywhere, even a suggestion, that it is good?

9 DR. BROUDY: Well, I think this is by

10 implication from the gene marking studies done by Dr.

11 Brenner, a former member of our committee, in which he

12 clearly showed that infused gene marked peripheral

13 blood tumor cells can contribute to relapse. So I

14 think though much of the problem in myeloma and

15 perhaps some of the clinicians here who deal more with

16 myeloma than I do since I do mostly lymphomas -- you

17 know, a major part of the problem is relapse in the

18 patient. But at least this has been demonstrated in

19 Childhood ALL that infused tumor cells can contribute

20 to relapse. So I think it is desirable that we infuse

21 fewer. Does anyone want to comment?

22 DR. AUCHINCLOSS: Do you think you need to

23 go to zero or do you think you need to be less?

24 DR. BERENSON: Let me comment on that

25 based on the Phase II. Obviously that trial was not

1 designed with all the caveats of the Phase III. But
2 several of the patients with extremely high tumor
3 burden, for example the one that had 200 million tumor
4 cells before the column, she is now in remission four
5 years out from that transplant. And I certainly
6 believe the 3 logs we removed may have contributed to
7 that. I can't prove that to you. And we have two
8 other patients who had high tumor burden as well that
9 are out now at this point. One of them nearly five
10 years out. I can't prove that to you, but those are
11 just anecdotal cases. But you wanted at least an
12 inkling that there may be some help here.

13 MR. KATZ: Well, I think this debate about
14 whether removing the tumor cells at this stage is a
15 bit of a red herring because the whole issue of
16 whether you should transplant rather than pop
17 melphalan prednisone pills, you can't prove that
18 either. We wouldn't have trials ongoing for

19 transplant versus standard and early versus late

20 transplants. So I think we are basically saying

21 should we allow investigation of the logical extension

22 of the transplant philosophy, which is knock out as

23 many of the cells in the body as you can. Am I

24 thinking incorrectly about that?

25 DR. AUCHINCLOSS: Yes, in my view in the

1 sense that there is nothing that I am wondering about
2 -- and all I am doing is wondering out loud -- that
3 prevents further investigation. Believe me, I am very
4 much in favor of further investigation. The question
5 is does the FDA want to put its stamp of -- they can
6 label that this reduces tumor burden in the infused
7 cells, which I think carries with it the implication,
8 at least the way the FDA is handling this review, that
9 that is good for you. I am not sure that I believe
10 that it is good for you. I believe that they
11 accomplish it. I just don't know that I believe that
12 the FDA wants to say --

13 CHAIRPERSON VOSE: We have no clinical
14 evidence that it is good for you, and the labeling has
15 to say that it can do X, Y, Z that it says it can do.
16 Unfortunately, we are not going to be able to police
17 every physician who is going to use it. And I agree
18 with you that that is a little bit of a concern. But

19 we have to specifically, I think, say that we don't

20 have any evidence that it is good for you.

21 DR. ANDERSON: Let me take a brief crack,

22 Hugh, at trying to answer you. This is basically

23 looking at the philosophy behind doing the initial

24 gene marking trials. The basic principle -- see if

25 you would agree with this -- is that one does not have

1 to get the tumor burden to zero. One has to get it
2 below the level that the body's immune system can
3 counteract it. The difficulty is that we don't know
4 what that level is. Now the justification for going
5 forward with the marker trials initially was to get
6 the sensitivity of the assay down into a level where
7 one can start getting an answer or hopefully getting
8 an answer as to what level of tumor burden below which
9 the body can handle itself. So if you buy that
10 argument -- you are nodding yes, so I gather you buy
11 it up to this point -- then the logic is that the
12 closer you get to that level, the better off you are,
13 although if you are above it at all, then the
14 possibility is that you are going to have relapses,
15 just not quite as rapidly. So the issue is if you
16 can't get below the level, then is simply putting off
17 a relapse by a week or two weeks or three weeks, does
18 that help, and the answer is no. But at what point do

19 you drop below the level, and the only way to find out
20 is to keep dropping the tumor burden down until you
21 get statistically relevant data. Do you agree with
22 all that?

23 DR. AUCHINCLOSS: I do. But I am not sure
24 it speaks to the question of whether or not at this
25 point the FDA would want to imply that it knows this

1 level of reduction is important.

2 DR. ANDERSON: No, it can't. And that is

3 -- I think our journal is quite correct. All the

4 labeling can do is say what happens and not imply.

5 Now the informed consent ought to make clear that a

6 reduction in added tumor cells does not imply an

7 improvement in either the survivability, time to

8 relapse, et cetera.

9 DR. AUCHINCLOSS: You are talking about

10 the physician's informed consent just in the ordinary

11 course of doing the procedure, not something related

12 to study. Because at this point, you are talking this

13 out of study.

14 DR. ANDERSON: Well, that is true. A

15 valid point. Which means it has to be in the

16 labeling. And which is then a valid point whether the

17 labeling should err on the side of being conservative

18 and specifically state that there is no evidence that

19 this will reduce.

20 DR. AUCHINCLOSS: Jay, what do you think?

21 What is your reaction to this?

22 DR. SIEGEL: Well, there is some sort of

23 implication that if you indicate something as a marker

24 of efficacy. Clearly, I think the labeling we would

25 write would make it very clear, as we would expect

1 physicians to make clear to patients that there are no
2 data indicating an impact in outcome. This is the
3 dilemma that we brought to his committee, and we got
4 very cogent comments and advice, much of which has
5 been discussed, some hasn't. There was discussion, of
6 course, of the difference between a tumor cell that is
7 in the circulation and that is leukophoresed versus a
8 tumor cell that might be, if you will, engraftable and
9 give rise to tumor, the latter being something that
10 can't be measured. There was a lot of discussion of
11 the issue of the extent to which we know that tumor in
12 the product contributes to disease. One view
13 expressed was that to the extent there is also disease
14 coming back from the patient, there was kind of a dual
15 arm approach to get better therapies for what is in
16 the patient and better therapies for what is in the
17 marrow, and that you shouldn't hold the latter hostage
18 to the former. If you don't allow marrow quality to

19 improve until you've got treatment quality to improve

20 to the extent to where marrow quality matters, you

21 won't get marrow quality to improve.

22 None of these I am forwarding as consensus

23 statements or even as FDA opinions. These were all

24 things that were said. I think what we took home from

25 that, with all of that said, and what we talked about

1 with this company as this trial was designed was that
2 reductions of tumor, particularly in hematologic
3 malignancies, but reductions of tumor in general of
4 specifically substantial amounts, and I don't know
5 that there was consensus, but clearly we weren't
6 talking about getting rid of half of the tumor cells
7 as likely to matter, but substantial reductions of
8 tumor were something that was to be considered a
9 desirable outcome. Not something that was to be
10 considered necessarily a proven measure of patient
11 benefit, but a desirable outcome. And we specifically
12 asked the committee how much problem with engraftment
13 are you -- should we be willing to accept in a product
14 that gives that desirable outcome. Because nobody had
15 any problem with something that would do that and have
16 no downside. The answer was, well it depends. It
17 depends on the type of tumor, the likelihood that the
18 marrow contributes, the log reduction, the sensitivity

19 of the assay, the proportion of patients who were
20 reduced to below that sensitivity, the amount of
21 delay, and the clinical significance of both the
22 duration and the number of patients who did have delay
23 of engraftment and the quality of the data and whether
24 or not there were any data suggesting any impact on
25 survival. So that is what leads us to where we are

1 and to this question. Now we have it depends and here
2 we are and we need to make some decisions. I don't
3 know if that answers your question, but that is all
4 I've got to go on.

5 DR. AUCHINCLOSS: One thing to say is that
6 you remember that meeting pretty well because I have
7 spent the morning looking through those minutes and
8 you got it absolutely perfect. That was not a
9 committee meeting that I was part of. That was before
10 my time. But I guess in a general sense the way I
11 look at this is that the downside for this particular
12 device and this particular disease looks like it is
13 really on the verge of trivial and I think they are
14 reducing tumor burden by at least 2 logs. I guess
15 that means to go for it.

16 CHAIRPERSON VOSE: Okay. Why don't we
17 vote on this question. Are the findings of additional
18 leukopheresis products, platelet transfusions, and

19 impaired platelet engraftment acceptable given the
20 potential benefits of a 2 to 3 log tumor reduction?
21 Everyone that thinks this is acceptable, please raise
22 your hand. It looks unanimous to me. And Dr. Floyd
23 doesn't vote. Okay. Any other comments? Okay, good.
24 The next question, if approved, how should
25 labeling address the risk factors associated with

1 engraftment delays? Should labeling advise that the
2 number of CD34 cells post-selection be determined and
3 recommend infusion of selected product only for
4 patients who have at least 2×10^6 CD34 cells per kilo?
5 And should there be any further studies done or other
6 analysis of risk factors? From my standpoint, I would
7 say that the labeling should say that, at least $2 \times$
8 10^6 per kilo just as we did for the bone marrow say

9 1.2.

10 DR. HONG: What would be the option?

11 CHAIRPERSON VOSE: The option would be --

12 DR. HONG: Just to pool everything?

13 CHAIRPERSON VOSE: Pool everything

14 together. But specifically, I don't think you want to

15 say -- you don't want to use the number that we use

16 for bone marrow because in this circumstance, it would

17 be inadequate. So you need to specify whether you are

18 using bone marrow or stem cells for your product.

19 DR. SIEGEL: We are also asking in this
20 question about the platelet. We have already heard
21 comments in response to this question. We are not
22 looking for a vote. But if there are any other
23 comments on either of those that we might take in
24 terms of thinking through as we work on this, I would
25 appreciate them.

1 DR. WEISS: Or maybe a clarification. I
2 am not so sure that we presented -- we actually did
3 not present any analysis of platelet count. I think
4 somebody asked earlier of Dr. White whether or not
5 there is a correlation between platelet count at the
6 start of the mobilization or at the start of the
7 phoresis and the CD34 that you end up afterwards, and
8 Dr. White said, no, there was none. But Dr.
9 Auchincloss, I think, asked a very appropriate
10 question. Are there ways before you actually start
11 this whole procedure to try to predict and whether or
12 not there are ways to look at it and other types of
13 analyses. Are there other types of things that can be
14 done to try to get a handle on that particular
15 question?

16 CHAIRPERSON VOSE: Eugenie?

17 DR. KLEINERMAN: I assumed that was a
18 question, and I just would like to reiterate what Dr.

19 Broudy said. I would like to see that put in the
20 label, but I wouldn't use it as a cut-off. I think
21 you need to give the clinician some latitude. There
22 are all sorts of parameters that one takes into
23 account and limiting it to patients who have 75,000
24 platelets I think may be a mistake.

25 MR. KATZ: I guess a question -- you

1 raised the issue before -- I think it was down there
2 -- about whether additional leukophoresis would do any
3 good if you were getting that kind of a yield that was
4 below the 2 million. I guess the question that comes
5 to mind is would you get a warning -- is there a
6 predictable pattern of how you accumulate the cells
7 and would you know that earlier in the collection and
8 would there be any merit to sort of taking someone off
9 the column early in the collection if that happened?

10 CHAIRPERSON VOSE: The problem from my
11 standpoint is that patient variability is so high that
12 it is really difficult to tell that except on a
13 person-by-person basis. I am not sure that we can
14 dictate something like that in a label. I don't know
15 what anybody else thinks.

16 DR. BROUDY: Maybe they should say
17 something like a sufficient number of peripheral blood
18 mononuclear cells should be collected such that more

19 than 2×10^6 will be infused after the Ceparate column,
20 and then making some assumptions that there are going
21 to be 50 percent recovery, for example, from the
22 Ceparate column. Because clearly you need to collect
23 more than the 2×10^6 CD34 cells, at least twice as
24 many as that, before the column, and then not making
25 any comments about the platelet count or the number of

1 CD34's at the start or the extent of alkylator
2 pretreatment and leaving all these things up to the
3 clinician. But I do think that number of 2×10^6
4 CD34's per kilo post-column should be in there, and
5 maybe the company has some thought about how that
6 could be phrased to make sure that safety issue is
7 met.

8 DR. KRIEGER: We already have that in the
9 labeling that --

10 DR. BROUDY: Could you read that to us?

11 DR. KRIEGER: The labeling that we have
12 proposed and submitted to the FDA already has in it
13 the caveat that they should collect at least 2×10^6
14 CD34 positive cells per kilogram. That was the first
15 slide. So this is the labeling that we have proposed
16 and it was also the labeling that Dr. Litwin showed.
17 The next slide actually shows the number. So we have
18 shown here -- you see we have recommended that a

19 sufficient amount of peripheral blood be harvested to

20 yield 2×10^6 CD34 cells per kilogram.

21 DR. BROUDY: But that doesn't give any

22 guidance to the clinician about how many should be

23 collected prior to the column.

24 DR. KRIEGER: We can put something in it

25 similar to what we did in the clinical trial where we

1 say they collect 4×10^8 total nucleated cells.

2 DR. JACOBS: And we could do that either
3 in the labeling or a cautionary warning as well in the
4 labeling and it may be more appropriate for a
5 cautionary warning for patients that may not have $5 \times$
6 10^8 total nucleated cells per kilogram at the time of
7 processing.

8 CHAIRPERSON VOSE: I guess I am a little
9 bit concerned about using the total nucleated cells
10 because that doesn't always necessarily correlate. So
11 I have a little concern about using that.

12 DR. SIEGEL: Indeed the design of the
13 trial was such that they targeted 4×10^8 per kilogram
14 and 10 of the patients didn't have 2 million.

15 CHAIRPERSON VOSE: Yes, Dr. Silver?

16 DR. SILVER: I wasn't sure what the role of
17 the FDA is in this. Is it a foregone conclusion that
18 there would be a sentence following the first

19 paragraph saying some sort of attempt to inform the
20 clinician that there is no evidence at the moment
21 whether reduction of tumor cells has a clinical
22 benefit?

23 DR. SIEGEL: Is your question what the
24 role of the FDA is in terms of what goes into the
25 label? It is proposed by the sponsor, but we have to

1 approve it. We play a significant role in determining
2 what is said where. What in particular were you
3 asking about? Was there to be a warning to the
4 clinician about the --

5 DR. SILVER: It seems to me appropriate
6 that there be a note that says it is not clear whether
7 a 2 log reduction in tumor in the transplant has a
8 beneficial clinical effect. In the absence of such a
9 statement, the claim proposed by the sponsor could be
10 misleading, it seems to me. It sort of implies that it
11 is obvious that there is such a benefit, and it is not
12 really so obvious. So it seems to me that there
13 should be some disclaimer to that effect.

14 DR. SIEGEL: You are advising that there
15 be a prominent statement about the lack of information
16 about that?

17 DR. SILVER: Yes.

18 CHAIRPERSON VOSE: Typically, if the Phase

19 III trial is described and it is described as not
20 being adequately sized to show benefit or lack of
21 benefit thereof is important information to have in
22 there. Let's move on to the next question, which has
23 to do with the generalizability of this information.
24 Should this study just simply be -- should the label
25 be restricted just to patients with multiple myeloma

1 or is it generalizable to other tumors, or should
2 there be post-marketing studies of other tumor types?
3 Some discussion on that? Abbey, would you like to
4 comment on that since that was your question to begin
5 with?

6 MS. DAPOLITO: You are breaking up, Ms.
7 Meyers. We can't hear you.

8 MS. MEYERS (telephonically): Can you hear
9 me now?

10 MS. DAPOLITO: Try again.

11 MS. MEYERS (telephonically): Hello?

12 MS. DAPOLITO: Yes, can you hear me?

13 MS. MEYERS (telephonically): Yes.

14 MS. DAPOLITO: Try again.

15 CHAIRPERSON VOSE: I think the gist of
16 what Abbey said was that she didn't think that they
17 should have to do studies in all different types of
18 malignancies. The labeling should just say that it

19 separates the cells and not otherwise be specific.

20 Virginia?

21 DR. BROUDY: I guess I would differ from

22 Ms. Meyers opinion on that. I would recommend

23 personally that the label say depleted myeloma cells,

24 because that is what has been I think elegantly and

25 convincingly shown in this carefully done study by the

1 company. A potpourri of other trials were shown at
2 the end, and they were characterized by much smaller
3 patient numbers in the studies of, for example,
4 lymphoma peripheral blood depletion studies. And I
5 would like to see those studies done, particularly
6 with BCL2 gene. That could be analyzed or looking for
7 breast cancer cells. I would like to have it
8 convincingly shown that this device also depletes
9 breast cancer cells and lymphoma cells before
10 generalizing this to other tumors.

11 CHAIRPERSON VOSE: Dr. Silver?

12 DR. SILVER: I think I disagree on two
13 grounds. First of all, I don't think it was very
14 convincingly shown in this study because of all the
15 statistical problems and some patients in which the
16 data wasn't consistent. But it is overwhelmingly
17 likely from a biological point of view that a tumor
18 that doesn't have CD34 on it won't be selected in this

19 column and the column allows you to reduce the number
20 of cells you infuse by 100-fold. So almost certainly
21 the number of tumor cells that are going to go back
22 for a CD34 negative tumor is going to be down by about
23 a factor of 100. And given that, I don't think it is
24 appropriate to force the company to do additional
25 studies. I think a statement saying something like

1 for tumors which are CD34 negative, this result might
2 be generalizable to tumors that are CD34 negative.
3 Because it is biologically very likely and a lot of
4 work and a lot of money would have to be spent to
5 prove it in each individual case.

6 CHAIRPERSON VOSE: Dr. Anderson?

7 DR. ANDERSON: Since it is difficult to
8 hear Abbey -- Abbey, I am going to ask the next
9 question that you would ask. So I am now the voice of
10 Abbey Meyers. Will the label be such that third party
11 payors will pick up the cost if this device is used in
12 diseases other than myeloma? That was Abbey's next
13 question.

14 CHAIRPERSON VOSE: Yes, I am sure it would
15 have been knowing her so well. I guess that question
16 has to go to Jay.

17 DR. SIEGEL: Well, obviously we are here
18 seeking guidance from the committee. This is a

19 complex area. I think it is fair to say, among other
20 things, that although one can arguably say and I think
21 quite correctly say that more could be done in a
22 variety of other tumors and perhaps more should be
23 done in a variety of other tumors, that even at best
24 it would be very hard to do in most tumors anything
25 close to what was done here. PCR has a level of

1 sensitivity that is not apt to be -- for tumor
2 detection that is not apt to be found with monoclonal
3 antibodies, histochemical staining and other
4 approaches that might be used. Certainly numbers
5 could be done better and I think even relatively small
6 numbers provide some assurances regarding the
7 possibilities that Dr. Silver mentioned. The concerns
8 -- if you look at a column that depletes cells by 2.2
9 logs -- that depletes a total number of cells by 2.2
10 logs, and then if you then assume you are CD34
11 negative and note that the number of CD34 negative
12 cells goes down from almost 100 percent to about 30
13 percent, that is another half a log. You are starting
14 with about a 2.7 log. And there is a reasonable a
15 priori assumption if the tumor is CD34 negative that
16 it will be reduced by about that much. However, we
17 all know that there are cells that have non-specific
18 sticking to all sorts of devices and columns and I am

19 not sure I am so comfortable buying that. So I guess
20 what we are looking for is solid data or reasonably
21 solid data in one tumor and is there enough elsewhere
22 to make us reasonably comfortable with the type of
23 assumption Dr. Silver mentioned. Certainly we can
24 write a label in such a way -- we always -- you know,
25 related issues come up at every meeting and my answer

1 always is that we try to be informative about the data
2 rather than definitive in labeling. Certainly one of
3 our options is to write an option that would both --
4 that would not restrict use to myeloma but would
5 indicate the amount of data and the relative paucity
6 of data in other tumor types. Another option would be
7 one that would limit the indication. And I am not
8 really here to tell you but to get input from you as
9 to what might be more appropriate.

10 DR. ANDERSON: All right. I am going to
11 follow on this because basically if Abbey were here,
12 she would do this. What is the experience with what
13 third party payors will do based on the labeling? If
14 the labeling specifically says myeloma and then is
15 sort of wishy washy about other things, will third
16 party payors pay it for breast cancer, or does the
17 labeling have to be specific that it is payable for
18 other indications.

19 CHAIRPERSON VOSE: They won't even hardly

20 pay for breast cancer transplants anyway.

21 DR. ANDERSON: I am sorry?

22 CHAIRPERSON VOSE: They won't hardly even

23 pay for breast cancer transplants anyway, so that is

24 probably a moot issue. Personally, I think that there

25 is enough concern about CD34 positivity in cells. In

1 breast cancer it has been reported and also in some
2 very early populational lymphoma cells. I think there
3 is some concern there that we have to be careful about
4 not just having a totally generalizable statement. We
5 have to have some concern in the labeling in some way
6 to reflect that.

7 DR. KLEINERMAN: Julie, in terms of
8 paying, are we not looking at approving this for stem
9 cell transplant, not just -- I mean, as a device to
10 use for stem cell transplant and not just as a device
11 to use to remove 2 logs of tumor? So, theoretically
12 if it is approved as a device to select CD34 cells for
13 stem cell transplant, you could use it with breast
14 cancer and the log tumor reduction should be non-
15 considered when it comes to third party payors. I
16 mean, that is the way I would read it. That you are
17 approving it as a selection device for stem cells, as
18 we have done with another device. And in addition,

19 you may get this benefit.

20 DR. SIEGEL: Well, it would depend on how

21 we wrote the labeling, I guess. That would be

22 certainly a way that one could view it.

23 DR. KLEINERMAN: Then you could leave it

24 up to the clinician as to whether they want to

25 consider that.

1 CHAIRPERSON VOSE: Ms. Knowles?

2 MS. KNOWLES: Yes. I am aware of some
3 examples in the Seattle area actually where there have
4 been women who have sued their third party carriers to
5 get this kind of treatment. Actually to have it done
6 for them. They have been successful, but it has not
7 been without a lot of effort on their part.

8 CHAIRPERSON VOSE: Abbey, did you have
9 another comment?

10 DR. ANDERSON: Tell Abbey she has to
11 shout.

12 CHAIRPERSON VOSE: Shout louder. Jay, did
13 you hear Abbey's question?

14 DR. SIEGEL: If we approve it, will it --
15 I didn't catch the end.

16 CHAIRPERSON VOSE: The patent problem. If
17 they approve it, will it be able to get on the market?

18 DR. ANDERSON: Is that an issue we can

19 deal with?

20 CHAIRPERSON VOSE: I think that is

21 something we cannot address.

22 DR. SIEGEL: I think the device is

23 currently on the market. Is it not on the market? I

24 think if you want any more in-depth answer to that,

25 you should ask the company to respond.

1 CHAIRPERSON VOSE: I think that is not
2 appropriate for our meeting today.

3 DR. AUCHINCLOSS: Can I suggest a change
4 in the wording for the proposed labeling in that
5 portion that talks about tumors? I would say
6 selection of PBPC can result in greater than 100-fold
7 reduction in number of tumor cells present in the
8 autograft if the tumor is CD34 negative.

9 DR. BROUDY: But how about saying that it
10 has been demonstrated to result in a 2 log depletion
11 of myeloma cells? That is what has been demonstrated.
12 And I guess my concern is that breast carcinoma cells
13 and epithelial cells are sticky cells, and I would
14 just like to ask that the company do a study that
15 quantitates the fold log reduction in breast cancer
16 cells and lymphoma cells given the immense
17 applicability of this potential device. I don't think
18 that is asking too much. They have convinced me

19 already that it is safe. That CD34 selected
20 peripheral blood -- mobilized peripheral blood
21 progenitor cells selected by this device are safe.
22 That they result in rapid neutrophil engraftment and
23 only slightly delayed platelet engraftment. So I
24 wouldn't necessarily require that they replicate all
25 of those data, but I would like to see a carefully

1 done analysis of depletion of tumor cells for breast
2 cancer cells and lymphoma cells. Because those other
3 studies were just not as carefully done from the brief
4 overview we had and from my own reading as this
5 particular study.

6 CHAIRPERSON VOSE: Dr. Berman?

7 DR. BERMAN: I would disagree. I think
8 that what the company has shown is that it is an
9 extractable material, that is, the CD34 cells are safe
10 going in. I think it is up to the clinical trials,
11 all of which will be done in breast and lymphoma, to
12 prove whether it is effective or not. But it is not
13 up to the company. It is up to the remainder of the
14 investigative community. And it will be used or not
15 depending on those studies. But I think to require it
16 for the company is wrong. I think it is up to us to
17 prove it or disprove it. All we know is that the CD34
18 population is safe, and by the way, it can also

19 effectively reduce the log contamination.

20 DR. BROUDY: Oh, I wouldn't necessarily

21 require it of the company. Perhaps I misspoke there.

22 But I am concerned that the labeling not say that it

23 reduces tumor cells in general. Because what we have

24 seen in my view convincingly is that it depletes

25 myeloma cells by 2 logs.

1 DR. BERMAN: But that will go into the
2 labeling. The data are in myeloma cells. I am
3 assuming that that will go in.

4 DR. AUCHINCLOSS: That will be in later.
5 But for this particular up-front portion, there will
6 be this sentence that makes the general statement.
7 How about a compromise. Has been demonstrated to
8 accomplish a 2 log reduction in tumor for a CD34
9 negative tumor and then require of the company a Phase
10 IV post-marketing trial for breast cancer or your
11 other tumor of choice.

12 CHAIRPERSON VOSE: I think I have to agree
13 with Dr. Broudy. I mean the information we have, the
14 good data that we have, such as it is, is in myeloma,
15 and I don't think it is generalizable to the other
16 tumors. There is too many differences in their
17 physical properties. There are too many issues
18 regarding CD34 positive stem cells in lymphoma, for

19 example. I think that is a concern.

20 DR. AUCHINCLOSS: Well, there is no

21 question it is not absolutely generalizable, right?

22 I mean, you wouldn't do this for a CD34 positive

23 tumor, and probably there are other tumors. What we

24 are trying to get away from is the Abbey concern. We

25 really would like not to make this disease specific.

1 So if you made a general statement here, but not one
2 that implies absolute generalizability, and then ask
3 the company to come back and do additional studies,
4 isn't that the best solution all around?

5 CHAIRPERSON VOSE: Other comments?

6 DR. KLEINERMAN: Yes, I would agree with
7 that. I think we need to try to keep it as
8 generalizable. Because I think we need to keep in
9 mind that we want all these studies done, but part of
10 the ability to do these studies is for patients to be
11 able to pay for them. And I think that is a real
12 concern. We can design studies, but if we can't get
13 patients to enter them, we will never know the answer.
14 So I think it is important that the label be designed
15 in some kind of specific yet general enough way,
16 either just by using a peripheral stem cell transplant
17 that it is safe and effective in selecting CD34 cells.
18 So that it can be picked up by third party payors, so

19 these other studies can be done.

20 CHAIRPERSON VOSE: But yet we do have to
21 have some sort of caution, I think, somewhere
22 regarding that the information we have is in myeloma.

23 MR. KATZ: I would think that the third
24 party payors would seize on the disclaimer that we
25 were talking about before about no proof of clinical

1 effect on the overall outcome, which I think is an
2 important element of it. I think they would go
3 straight to that. They wouldn't worry about the
4 earlier statement. Wouldn't they?

5 CHAIRPERSON VOSE: You never know with

6 those guys. Okay, any other discussion on that?

7 Why don't we move to the last question then. Data

8 collection for overall and disease-free survival in

9 the study will continue if approved in addition to

10 follow-up from the ongoing trial. Should post-

11 marketing studies for evaluation of the effect of the

12 Ceprate device on measures of time to relapse or

13 reduction in recurrence rates on patients with myeloma

14 be sought or other malignancies? Would the committee

15 recommend any additional post-marketing studies? Dr.

16 Auchincloss, you must have something.

17 DR. AUCHINCLOSS: Well, I just mentioned

18 one. This is where I put in -- I mean, for sure we

19 want to know what the survival and recurrence of
20 disease is. That is assumed. But another tumor, I
21 think, is critical. And breast cancer is the obvious
22 one, right?

23 CHAIRPERSON VOSE: Well, if you are going
24 to do a post-marketing study, I would say that we at
25 least need to do one that is large enough to be able

1 to see a difference in disease-free survival or
2 survival or something.

3 DR. AUCHINCLOSS: Now you are talking
4 something big from the company's point of view. If
5 you start requiring a post-marketing study of the
6 company that is powered to be able to show
7 progression-free benefit, wouldn't that calculate to
8 be something like 800 patients?

9 CHAIRPERSON VOSE: At least. I didn't --

10 DR. AUCHINCLOSS: I don't know that I
11 think that is fair to ask of the company in return for
12 the amount of labeling we have given them so far.

13 DR. SIEGEL: But think of the labeling
14 they could get if they showed something.

15 CHAIRPERSON VOSE: But then why bother
16 doing a post-marketing study at all? What are we
17 asking them for?

18 DR. AUCHINCLOSS: To prove what they say

19 in their label is true. That is what we are asking
20 them to do. In this case it would be to generalize it
21 to more than one cancer. But I am not sure it is up
22 to the company at this point to prove that 2 log or
23 more tumor reduction is a good thing.

24 DR. BERMAN: That is the crux of the
25 issue. I think it is to the rest of us as the

1 clinical investigators to prove it is a good thing or
2 a bad thing. So I would not require it of the
3 company. I think it is up to us. All the company has
4 shown is that it is a safe product that can engraft
5 promptly, and there is a log reduction in myeloma.
6 Whether that is of any efficaceousness is up to us,
7 and that will be answered 5 years from now when the
8 randomized large studies have been done. But it is
9 not up to the company to show that.

10 DR. SIEGEL: Do you anticipate the
11 randomized large studies will be done?

12 DR. BERMAN: Yes, I do.

13 CHAIRPERSON VOSE: This could be a big
14 undertaking. I don't know how such a large study
15 could be done unless it was supported by the
16 companies.

17 DR. BERMAN: Well, support is another
18 question. Requiring them to perform it is something

19 else.

20 CHAIRPERSON VOSE: Additional discussion

21 on post-marketing studies?

22 DR. JACOBS: Could I just add one thing?

23 We did have an additional 59 patients randomized onto

24 the study. So we have close to 200, but still not

25 enough. We also have a Phase III study that is

1 randomized in multiple myeloma looking at mephalan and
2 TBI in Europe. So we possibly could look at 300
3 multiple myeloma patients. That is probably the best
4 that a small company can afford to do. It may or may
5 not show what is needed. But there will be follow-up
6 on 300 patients.

7 CHAIRPERSON VOSE: I think one thing also
8 to consider in the follow-up besides the disease-free
9 survival is the long-term immunologic reconstitution
10 of these patients as well. I think that is an
11 important issue that we need to look at. Because
12 there have been concerns about that as well. But I
13 don't think that should really hold up anything that
14 we are doing today.

15 DR. SIEGEL: You are talking about in the
16 patients in the study?

17 CHAIRPERSON VOSE: Right. Any additional
18 questions or comments? Okay. I think we are done.

19 We are going to take a 45-minute lunch break and then

20 we are going to resume. Dr. Noguchi is going to start

21 off this afternoon. Let's resume about 1:30.

22 (Whereupon, at 12:46 p.m., the meeting was

23 adjourned for lunch to reconvene at 1:41 p.m.)

24

25

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:41 p.m.)

3 CHAIR VOSE: Dr. Siegel, thank you.

4 We are still in the open session right

5 now. Yes, we are going to proceed with Dr. Phil

6 Noguchi, who is going to do the overview of the

7 Laboratory of Cellular Immunology and Laboratory of

8 Developmental Biology.

9 DR. NOGUCHI: I'll just go ahead and get

10 started here, and I want to personally thank all the

11 members for allowing us the opportunity to tell you a

12 little bit about our research.

13 The division that I represent is called

14 the Division of Cell and Gene Therapies, and just as

15 an example of some of the product classes we have,

16 basically, this is unlike taking just a single

17 product, such as we've seen this morning with the

18 CellPro device, where you are actually taking the

19 cells and you may expand them, take them from the
20 body, expand them with interleukin 2, people are
21 looking at other types of mesenchymal stem cells, some
22 are even using sertoli cells for immunosuppression.

23 We also cover all the gene therapies using
24 a variety of vectors to genetically alter cells, and
25 we are getting into xenotransplantation using both

1 fetal tissues and transgenic animals as well.

2 Now, in order to also just give you the
3 other breadth of it, we cover a number of different
4 diseases, among them genetic diseases, some of the
5 more neurological, debilitating ones.

6 Cardiovascular disease, it's interesting,
7 we think that gene therapies for that are going to be
8 a very big area over the next year or so, and, of
9 course, cancer and AIDS.

10 Now, the numbers of INDs is actually quite
11 interesting. Over the last three years, we received
12 in the area of cell and gene therapy about 100 to 120
13 INDs, which translates to about one out of every four
14 INDs that comes to the center is a cell or a gene
15 therapy IND, so we are seeing a lot of investigational
16 activity and we hope that soon we'll be able to bring
17 to this committee some actual products.

18 Now, the divisional structure, just

19 briefly going over that, Dr. Gerry Marti is in the
20 Office of the Director, there will be three
21 individuals who will be reviewed from the Laboratory
22 of Cellular Immunology. This group has recently been
23 reorganized to focus on issues of xenotransplantation,
24 including both immunology and viral aspects.
25 We've reorganized Molecular and

1 Developmental Biology into one group, and Dr. Judy
2 Kassis will also be reviewed today, and she'll be
3 talking more about her specific interest in gene
4 therapies.

5 And then the last laboratory, which is not
6 being reviewed but has been reviewed previously, is
7 the Laboratory of Molecular Tumor Biology.

8 Now, we always appreciate the opportunity
9 for you to tell us how we are doing in our research,
10 and given that we'd like to just focus on both what we
11 are currently covering and some of the areas that we
12 think will be coming down the pike. There will be
13 other kinds of gene therapy vectors that we'll be
14 looking at. We know lentiviral vectors are coming.
15 Dr. Anderson, is he still here, is already talking
16 about in utero types of protocols, where we'll do gene
17 therapy in utero for some genetic diseases. There
18 will be cell tissue and organ regeneration. We

19 already have some animal cloning, and we've already

20 stated that human cloning, should it ever happen,

21 would be an FDA regulated product.

22 So, basically, for this division what we

23 can say is, what can be imagined will be done, and Dr.

24 Eda Bloom will present her program now.

25 DR. BLOOM: Thank you.

1 It is my great pleasure to follow Phil and
2 give you a more descriptive introduction,
3 particularly, to the Laboratory of Cellular
4 Immunology, and to give you an overview of both our
5 regulatory and our research work.

6 To reiterate, the members of the
7 laboratory that have been site visited include myself,
8 Dr. Carolyn Wilson and Dr. Parris Burd, and our little
9 Laboratory of Cellular Immunology is named as one that
10 has been standing for a while, it is less descriptive
11 of what the laboratory does now than what it once was.
12 And, in fact, as you will see, the cohesive element
13 that holds the three of us very tightly together in a
14 collaborative network is that of xenotransplantation
15 and the porcine endogenous -- and, clearly, the
16 porcine endogenous retrovirus, but the idea of
17 xenotransplantation and whatever endogenous retrovirus
18 we need to look at.

19 The regulatory work in the laboratory is
20 representative of that which is done at CBER. It
21 includes review work, policy formation. We also,
22 however, do research related and have done research
23 related to adverse events and safety, as well as doing
24 research applying our expertise, both in the
25 anticipation of new products, and also in the

1 exploration of products that are currently under
2 development and under IND. And, of course, as any
3 group in an academic or similar atmosphere, we provide
4 other services to CBER through various committee
5 services.

6 The regulatory review activities in a
7 little more depth include, of course, the product
8 review of INDs, and I'm going to use the word "we" a
9 lot, and when I use the word "we" I mean to say that
10 one or more of us within the laboratory, that is, one
11 or more of Dr. Burd, Dr. Wilson or myself.

12 We also mentor and advise IND reviewers.

13 We have chaired and been members on various license
14 application committees, as well as reviewing numerous
15 post-market license supplements, which, as you may
16 know, also have their own set of clocks and their own
17 set of criteria that need to be met.

18 In addition, we have been inspectors of

19 manufacturing facilities to assure that such
20 facilities conduct their manufacturing under good
21 manufacturing procedures.

22 And, as you can see today, and have seen
23 in the past, we make various scientific regulatory
24 presentations to the FDA Advisory Committee panels.

25 This is a little bit of a rehash of a

1 slide that Phil just showed. The only difference is
2 that, and this is totally cribbed from him, is that it
3 also shows the growth of the cell and gene therapy
4 INDs relative to the rest of the center.

5 The next slide focuses a little bit more
6 on our laboratory per se, and as you can see, the
7 reviewers in our laboratory provide a substantial
8 share of the IND review within the division,
9 especially, relative to the number of reviewers that
10 we do provide.

11 As far as our policy activities are
12 concerned, we have been drafting points to consider,
13 now currently called guidance documents, for industry
14 to be helpful in product manufacture. We have been
15 involved in the drafting of the Public Health Service
16 Guidance Document in xenotransplantation. We have
17 also participated in both CBER and department-wide
18 committees on xenotransplantation, prevented various

19 invited talks on the spectrum of regulatory subjects

20 that the whole division deals with.

21 We have organized the FDA/NIH gene therapy

22 conferences, which some of you I'm sure are familiar

23 with, that have been held very successfully the past

24 two years, '97 and '96. We have organized a Cystic

25 Fibrosis Foundation conference, organized and led

1 CBER-wide viral vector working groups, which has
2 served as a template for other working groups dealing
3 with the types of products that our division deals
4 with, and we have been panel members on public fora,
5 such as the Commissioners Roundtable for Autologous
6 Cells Manipulated Ex Vivo.

7 The laboratory responses to regulatory
8 issues that our laboratory has been involved in
9 include addressing the unexpected toxicities that
10 arose in clinical trials of interleukin 12. We have
11 designed paradigms and performed experiments for
12 testing the presence of replication competent
13 retroviruses, and particular emphasis on gene therapy
14 products, and this slide says "developing," but, in
15 fact, standards have been developed, a standard viral
16 stock has been developed for use in safety testing,
17 and we have developed technologies, isolated and
18 characterized the endogenous infectious agent or

19 agents, probably more precisely agents, that are

20 present in pig cells.

21 Now, I'm going to spend just a couple more

22 minutes and go through each investigator's program.

23 Dr. Carolyn Wilson, program is Viral and

24 Cellular Factors Influencing Retroviral Infection. She

25 has two major studies within her program. In the

1 first study, she uses murine leukemia virus in order

2 to study the viral receptor interactions, and one of

3 the important emphases of her studies is, in fact, on

4 how the retrovirus can enter the cells, an important

5 first step in viral infection. In addition, she has

6 been studying virus variation selection, using the now

7 famous or infamous porcine endogenous retrovirus.

8 This next slide summarizes the relevance

9 of her particular project to the regulatory mission of

10 CBER. Notably, her xenotransplantation studies, using

11 the porcine endogenous virus, has enabled a certain

12 amount of risk assessment and, thereby, permitting FDA

13 to make recommendations to sponsors of ongoing

14 protocols using pig tissue for transplantation into

15 humans.

16 Her studies in retroviral in the murine

17 system have enabled studies expected to help develop

18 new generations of retroviral vectors that, perhaps,

19 may provide a safer or more efficacious way of

20 delivering genes in gene therapy.

21 And finally, her development of detection

22 assays for recombinant complications -- complication,

23 we don't mean that -- for replication competent

24 retrovirus have enabled the means by which we are able

25 to detect replication competent virus in retroviral

1 vectors and other products which may include such
2 contaminants, such as monoclonal antibodies that are
3 produced in mice, as well as in xenografts.

4 Just to briefly summarize progress by Dr.
5 Wilson since the site visit, she has had an additional
6 manuscript accepted for publication, she is currently
7 expressing gp70, the envelope glycoprotein on
8 amphitropic murine leukemia virus in a vaccinia system
9 and will be purifying this for use in binding studies,
10 and in her virus variation selection program she has
11 also had a very important paper accepted for
12 publication, detailing the production of porcine
13 endogenous virus by primary pig cells. She has
14 isolated in a sequencing that envelopes CDNA for this
15 virus.

16 Dr. Parris Burd's program has focused on
17 the molecular biology of immunologically active cells.
18 He has worked on cytokine networks in health and

19 disease, and in this study he has emphasized
20 interleukin 12, interleukin 13 and chemokines. He has
21 also developed PCT methods for analysis of archived
22 histopathologic materials, which has clear and obvious
23 relevance to studying potential reasons for adverse
24 events using cytokines.
25 In the porcine endogenous retrovirus

1 studies, Dr. Burd is our resident molecular biologist,
2 where Dr. Wilson is the resident retrovirologist, and
3 his expertise has been necessary in the studies across
4 species viral infectivity and activation.

5 As far as the relevance of Dr. Burd's
6 research to the CBER mission, cytokine networks, as
7 you on this committee certainly are aware, are part of
8 the causal chain in disease processes, and provide key
9 sites for the intervention and therapeutic approaches.

10 Also, we have, certainly, our share of
11 adverse events that are referable to cytokine
12 treatments.

13 In the porcine endogenous retrovirus
14 study, it is clearly a prominent safety feature at the
15 moment for use of porcine endogenous -- excuse me, for
16 porcine xenografts, and Dr. Burd's establishment of a
17 PCR method to assess the virus transfer to humans is
18 something that will have a lot of relevance to our

19 ability to regulate these products.

20 Since the site visit, Dr. Burd has had two
21 manuscripts accepted for publication, and he has
22 submitted three additional manuscripts.

23 On the regulatory front, he has
24 spearheaded an initiative to foster development of
25 gene therapies for rare genetic disorders, and, in

1 particular, this program will effect outreach and
2 education to the public and education of new
3 investigators in the handling of therapies that may
4 involve very few patients.

5 He has also been the co-organizer of an
6 international conference on vectors for gene therapy
7 that was held -- where is this, I think it was this
8 month in Brussels.

9 My own program is centered around the
10 cellular and molecular regulation of cytolytic
11 lymphocytes, and I have those years up there because
12 that's the time that elapsed since I was site visited
13 at CBER, not the time period during which these
14 projects particularly took place.

15 I have had three subtopics in my program.
16 One is the activation and regulation of human natural
17 killer cells, which is actually an extension of the
18 project that I embarked upon in the early 1970s.

19 More recently, we've been studying the

20 regulation of natural killer cells by oxidation

21 reduction, and not just the regulation of their

22 functional activity, but the regulation of their

23 elimination through apoptosis as well.

24 In order to do this, we've been looking

25 for effects on signaling pathways and have found

1 effects on signaling pathways as well as cell cycle

2 progression as being key regulatory points by

3 oxidation reduction.

4 A project that's winding down in my

5 laboratory is the alteration of cytolytic T

6 lymphocytes and the effect of age on CTL activity and

7 generation. I list it here because it provides an

8 important predecessor to the study that we are

9 currently emphasizing, which would be the cellular

10 immunity and safety issue in xenotransplantation, and

11 where Dr. Wilson is the retrovirologist and Dr. Burd

12 provides the molecular biology, I provide the cellular

13 immunology and cell biology to that project.

14 The relevance of my particular program to

15 the regulatory issues at CBER has been substantial, I

16 like to think. Immune cells, as you are, again, I'm

17 sure aware, comprise a large proportion of the somatic

18 cellular therapies that are reviewed by CBER, in

19 particular, lymphokine-activated killer cells provide
20 the prototype, and these are cells that you probably
21 know are derived from natural killer cells. Cytolytic
22 T cells are another subclass of somatic cell
23 therapies, and gene therapy is also used frequently,
24 lymphocytes as delivery systems.
25 My research program has led, or is leading

1 to, appropriate tests for lot release for certain of
2 our products and has been important in the development
3 of policy and particular guidelines that we have
4 published for development of cellular therapies, as
5 well as currently in xenotherapies.

6 Finally, within our program we have
7 provided scientific data that have aided in the
8 understanding of the adverse reactions to IL-12.

9 Finally, the progress in my own projects
10 since the site visit is that I have had three
11 manuscripts accepted for publication and an additional
12 one is being submitted. We have tantalizing results
13 that are, unfortunately, too preliminary to talk
14 about, but, nevertheless, I have to mention them,
15 regarding the effect of cytokines and various immune
16 responses on the expression of porcine endogenous
17 virus by lymphocytes.

18 The regulatory work since November has

19 blessed me with two license supplements for review,

20 and I have acquired 16 new INDs to review.

21 And, with that, I'd like to introduce Dr.

22 Judy Kassis, who will talk about her program and

23 progress since her site visit.

24 DR. KASSIS: Okay. I'm going to keep this

25 really short. My program is designed to study the

1 control of gene expression in transgenic animals, and
2 we are the Division of Gene Therapy, and I think it's
3 important for us to understand how transgenes are
4 regulated in an organism. And, in order to have safe
5 and effective gene therapies, like I just said, it is
6 important to be able to predict how the therapeutic
7 transgene will be expressed.

8 I study transgenic drosophila because it's
9 a very fast system, it's a very easy system to study,
10 and the system is, basically, one of an integrated
11 transgene. You can think of this as a retrovirus,
12 it's transposon with two repeated sequences, and you
13 put your gene of interest in between them, and this
14 transposon can get incorporated anywhere in the
15 genome. And, what I'm interested in knowing is, how
16 the genomic location influences the expression of this
17 transgene.

18 So, there are two factors which can

19 contribute to the control of the integrated transgene.

20 One is the regulatory DNA in the transgene, and one is

21 regulatory DNA flanking the transgene. For instance,

22 this transgene carries information to be expressed in

23 the lung, but since it's inserted near enhancers which

24 cause it to express in the heart, it will be expressed

25 in all three tissues, and we'd like to understand how

1 to better control transgene expression.

2 Also, during development and
3 differentiation, genes are packaged into active and
4 inactive chromatin, and, basically, we want to prevent
5 a situation where a transgene, which we want to be
6 active, is packaged into inactive chromatin, so we
7 need to understand how this inactivation occurs.

8 So, in drosophila there's a group of genes
9 called the polycomb group, which are involved in
10 keeping genes off. In this schematic, early in
11 development this gene is turned on by segmentation
12 genes in this region of the embryo. Then, the
13 polycomb group genes somehow recognize that this gene
14 is off in these two regions of the embryos, and they
15 stay with this gene packaging it into inactive
16 chromatin so that this gene is repressed throughout
17 development, so that in the intact fly this gene is
18 only expressed in this part of the fly.

19 If the polycomb group genes are mutated,
20 this gene is expressed in other parts of the fly, and
21 then you end up with flies with legs in place of
22 antennae, and legs in place of wings.

23 So, I study a piece of regulatory DNA from
24 a gene called engrailed, which has two unusual
25 activities. First, when you make a transgenic

1 drosophila we use a marker, we use the mini-white
2 gene. We inject white eyed flies, and when you inject
3 this gene and you get a transgenic fly, the flies then
4 have colored eyes.

5 The name of the gene was named for the
6 mutation, when this gene is mutant the flies eyes are
7 white, but the gene product makes the flies have
8 colored eyes.

9 This vector inserts in the genome randomly
10 and homozygous flies have two copies of this
11 transgene. Therefore, they have darker eye color than
12 heterozygous flies.

13 When you include this piece of engrailed
14 DNA in this construct, the transgene now inserts in
15 the genome in a more selective manner, and this piece
16 of engrailed DNA causes the white transgene to be
17 turned off.

18 So, the way this works is, for a normal

19 transformant, without the engrailed piece, you recover
20 a transformant that has yellow eyes. You make it
21 homozygote, it has red eyes. For the engrailed piece,
22 if you recover an engrailed transformant with yellow
23 eyes, if you make it homozygote, now it's two copies
24 near each other in the genome and it has -- the
25 transgene become repressed and you get white eyes.

1 If you have two copies far away from each
2 other, you get, again, red eyes, but these two copies
3 can either be very close to each other in cis or in
4 trans, to get the transgene repression.

5 I'll just show a couple of slides. This
6 is a normal transformant, this is a homozygote. This
7 has two copies, it's got a darker eye color. For the
8 transformant with the engrailed fragment, this has the
9 heterozygote, this is a homozygote, the eye color is
10 completely repressed, and now this I'd like to show
11 because it gives a very dramatic example of the effect
12 of the position of insertion in the genome on
13 transgene expression.

14 In this case, the heterozygote has a
15 repression in part of the eye, just based on where it
16 is inserted in the genome, and when you make the fly
17 homozygous you get a patterned eye expression, only
18 part of the eye color is repressed.

19 We'd like to understand how this type of
20 thing happens.
21 And, the model for this is that the model
22 for selective insertion is the same as the model for
23 pairing sensitive silencing. They are DNA binding
24 proteins which interact with the fragment, the
25 engrailed DNA, proteins bind to that, they recognize

1 other proteins found in the genome, causing the
2 transposon to be pulled to a particular region of the
3 genome, and then you get selective insertion. For the
4 silencing, this complex forms and silences the
5 transgene expression.

6 Now, we've been dissecting this pairing
7 sensitive silencer, and at the site visit I told about
8 the isolation of a protein which binds to this
9 conserved site, this sequence one, and the progress in
10 my laboratory now is that we have candidates for DNA
11 binding proteins which bind to this conserved site
12 two. Both sites one and two are necessary for pairing
13 sensitive silencing.

14 So, in summary, what I've found is a
15 drosophila homolog of the mammalian transcription
16 factor YY1 is necessary for pairing sensitive
17 silencing. YY1 is encoded by pleiohomeotic, which is
18 a member of the polycomb group of genes.

19 Pleiohomeotic is the first polycomb group protein

20 shown to bind a DNA, but we think that four additional

21 proteins may also be involved in pairing sensitive

22 silencing.

23 It's important to realize that this

24 silencing fragment, we don't know what all the

25 proteins are that cause this silencing, and we'd like

1 to be able to predict this to keep such a fragment out
2 of transgenes.

3 I just want to make the point that all
4 cloned polycomb group genes have human homologs, and
5 I want to show one more slide which shows a model of
6 silencing. Here I show these two chromosomes coming
7 together, which turns off the transgene. It turns out
8 that in particular locations in the transgene, in the
9 genome, you can get interactions between these
10 elements on different -- on widely separated insertion
11 sites in the same chromosome, and it turns out that
12 it's recently been shown by Jim Burchler's group that
13 if you put six copies of a transgene in, that doesn't
14 even have an obvious one of these silencing fragments,
15 the transgenes will then come together and silence
16 even the endogenous gene in a mechanism called co-
17 repression, and this is mediated by the polycomb group
18 genes.

19 So, I think the polycomb group, the action
20 of these silencer proteins is very important for us to
21 understand, to be able to predict how transgenes will
22 be regulated in the organisms.

23 That's all.

24 CHAIR VOSE: Thank you.

25 Gail?

1 EXECUTIVE SECRETARY DAPOLITO: We just
2 need a minute to clear the room. I think if the
3 can vouch for everybody in the audience,
4 and FDA, yes, and Dr. Siegel, you can vouch for
5 everybody else as FDA on this side.

6 (Whereupon, the open session was
7 concluded.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25