

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL

PRODUCTS ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

WEDNESDAY, MAY 27, 1998

The meeting took place in Versailles rooms I and II, Holiday Inn, 8210 Wisconsin Avenue, Bethesda, Maryland, at 3:40 p.m., Patricia L. Ferrieri, M.D., Chair, presiding.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. According to the Food and Drug Administration makes no representation as to its accuracy.

PRESENT:

- PATRICIA L. FERRIERI, M.D. Chair
- NANCY CHERRY Exec. Secy.
- REBECCA E. COLE Member
- ROBERT S. DAUM, M.D. Member
- KATHRYN M. EDWARDS, M.D. Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

- 1 CAROLINE B. HALL, M.D. Member
- 2 ALICE S. HUANG, Ph.D. Member
- 3 KWANG SIK KIM, M.D. Member
- 4 GREGORY A. POLAND, M.D. Member
- 5 DIXIE E. SNIDER, Jr., M.D., MPH Member
- 6 THEODORE EICKHOFF, M.D. FDA Consult.
- 7 GEOFFREY EVANS, M.D. FDA Consult.
- 8 DAVID KARZON, M.D. FDA Consult.
- 9 SANDY ROVNER FDA Consult.
- 10 Dr. NORMAN BAYLOR Speaker

11 PUBLIC COMMENT:

- 12 DR. PETER PARADISO
- 13 JOHN SALAMONE
- 14 MICHELLE SAVALOS
- 15 DR. LAUREL HALSTEAD
- 16 DR. JOHN LEVENGOOD
- 17 MIRIAM O'DAY

18 ALSO PRESENT:

- 19 KATHRYN ZOON, Ph.D.

20
21
22
23
24
25

C O N T E N T S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

PAGE

Overview

Normal Baylor

4

Open Public Hearing

Peter Paradiso

16

John Salamone

19

Michelle Savalos

30

Laurel Halstead

34

John Levengood

37

Miriam O'Day

42

Discussion

46

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

P R O C E E D I N G S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

3:40 p.m.

CHAIR FERRIERI: I appreciate all those individuals who can stay, and would just reinforce what Ms. Cherry has sent out to you. Those who need to hear it the most aren't here to hear it now.

The session as I indicated, is dedicated to the boxed warning on the package insert for oral polio vaccine and an overview of the issue will be presented by Dr. Norman Baylor from FDA. Following that there will be another public hearing.

DR. BAYLOR: Thank you, Pat. The Office of Vaccines Research and Review, Center for Biologic Evaluation would like to present to the Vaccines and Related Biological Products Advisory Committee the issue of including a boxed warning for a vaccine associated paralytic poliomyelitis in the package insert for live, oral polio virus vaccine.

Moreover, in light of the fact that boxed warnings have been rarely included in labeling in biological products and not included in labeling for vaccines, the FDA would like the VRBPAC to consider and comment upon the utility of including a boxed warning for a vaccine associated paralytic polio in the package insert for live, oral polio.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 Further, the FDA would like the committee to
2 discuss the scientific criteria to be used in
3 determining whether a boxed warning should be included
4 in the labeling for a live, oral polio vaccine, and
5 how these criteria should be evaluated in determining
6 if additional adverse events warrant inclusion in a
7 boxed warning.

8 Now, I'll give in the first slide, an
9 outline of the presentation. What I'm going to try to
10 cover briefly in considering the boxed warning on the
11 package insert, I'm going to briefly discuss the
12 background on the risk of vaccine associated paralytic
13 poliomyelitis, then I'll discuss and comment on the
14 citizen's petition to include a boxed warning to our
15 vaccine associated paralytic polio.

16 I'll discuss the current package insert for
17 oral polio, as well as talk about alternatives to a
18 boxed warning that are already in place. I'll briefly
19 comment on the impact that a boxed warning will have
20 on promotional labeling and then I'll restate the
21 charge to the committee.

22 The vaccine associated paralytic polio as
23 many of you know, is a rare, adverse event which may
24 follow vaccination with live, oral polio virus
25 vaccine. The mechanism of that is believed to be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 mutation of a live, oral polio virus vaccine.

2 The mechanism is believed to be a mutation
3 or reversion of the vaccine virus to a more
4 neurotropic form. Reversion occurs in almost all
5 vaccine recipients but it only rarely results in
6 paralytic disease.

7 Vaccine associated paralytic polio is more
8 likely to occur in those greater than 18 years of age
9 than in children, and is much more likely to occur in
10 the immunodeficient children than in those who are
11 immunologically normal.

12 Compare it with the immunocompetent
13 children, the risk of that is about 7,000 times higher
14 for persons of certain types of immunodeficiencies.

15 The number of vaccine associated paralytic
16 polio cases have remained relatively constant since
17 the licensure of the vaccine in 1963, at about between
18 five and ten cases per year. There's some evidence
19 that this is even declining further to around three to
20 five cases per year.

21 Between 1980 and 1996 there were over 300
22 million OPV doses distributed. In that period there
23 were 142 total cases of vaccine associated paralytic
24 polio, giving an overall risk of about one case per
25 2.5 million doses of vaccine. And of course the risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 varies by dose. The risk is about one case in 790,000
2 first doses administered.

3 For the immunocompetent recipients you'd
4 have about one case of vaccine associated paralytic
5 polio in every 1.5 million first doses, while for
6 normal contacts it's about one case reported for every
7 2.2 million doses given. After later doses the risk
8 is about one case per 25 million doses for recipients.

9 According to the CDC, during the period of
10 1980 to 1994, vaccine associated paralytic polio was
11 investigated and characterized quite well. And during
12 that period there were 49 healthy recipients of OPV
13 coming down with vaccine associated paralytic polio.

14 Of the healthy contacts of those OPV
15 recipients there were 40 cases of that: six cases
16 were community acquired; 30 of those cases were in
17 immunodeficient persons; giving a total of 125 cases
18 of vaccine associated paralytic polio during this
19 period.

20 If you break out the 30 percent or the 30
21 cases in the immunodeficient population, there's about
22 76.6 of these individuals who are immunodeficient --
23 and that number was about 23 out of the 30 were
24 immunodeficient -- none of whom were known to be
25 immunodeficient before receiving the vaccine. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 remaining seven immunodeficient cases were contacts of
2 vaccine recipients.

3 Now, as many of you are aware, the agency
4 recently received a citizen's petition to include a
5 boxed warning in the package insert for oral polio
6 vaccine. The petition requests that the FDA require
7 the following boxed warning in the OPV package insert
8 -- and this is the wording here.

9 "There exists a rare risk of vaccine
10 associated paralytic poliomyelitis in individuals
11 receiving live polio virus vaccines and in persons in
12 close contact with them. The risk of contracting that
13 is greater after administration of the first dose than
14 after later doses."

15 Now according to the petitioner, "The boxed
16 warning requested herein will advise physicians, other
17 health care workers, and parents in a more prominent
18 manner to help assure that direct risks of vaccine
19 associated paralytic polio from OPV is clearly
20 understood so that a better, informed decision of the
21 use of OPV can be made by physicians and parents".

22 The package insert for pharmaceuticals is
23 generally designed as a professional label. This
24 labeling is geared toward -- primarily towards
25 providing instructions to health care providers in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 administering that given pharmaceutical. And in fact,
2 the package insert is really not given to the
3 recipient of the vaccine and that's requested of the
4 health care provider.

5 Now, there's specific requirements for the
6 content and format of the warning section of the
7 package insert described in Chapter 21 of the Code of
8 Federal Regulations under 20157(e).

9 And it states for a boxed warning, "special
10 problems, particularly those that may lead to death or
11 serious injury may be required by the Food and Drug
12 Administration to be placed in a prominently displayed
13 box. The boxed warning ordinarily shall be based on
14 clinical data but serious animal toxicity may also be
15 the basis of a boxed warning in the absence of
16 clinical data.

17 "If a boxed warning is required its location
18 will be specified by the Food and Drug Administration.
19 The frequency of these serious, adverse reactions and
20 if known, the approximate mortality and morbidity
21 rates for patients sustaining the reaction, which are
22 important to safe and effective use of the drug, shall
23 be expressed as provided under the Adverse Reaction
24 section of the labeling".

25 "The function" -- now this is specifically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a part of the regulation that deals with the boxed
2 warning. "The function of the Warning section of the
3 drug's label is to describe serious, adverse reactions
4 and potential safety hazards, as well as limitations
5 to be used and imposed by them, and steps that should
6 be taken if they occur.

7 "In general, the purpose of a boxed warning
8 is to emphasize certain contraindications and adverse
9 events which may be fatal or disabling when evaluating
10 the risk versus benefits for use of a drug.
11 Notwithstanding, in order not to dilute the
12 effectiveness of a boxed warning, it is to be used
13 only when special circumstances necessitate such
14 additional emphasis".

15 And the operative, in this section of the
16 regulation is, "special problems may be required by
17 the Food and Drug Administration to be placed in a
18 prominently displayed box".

19 I'm going to show you a couple of boxed
20 warnings that we currently have in the agency. This
21 is a product that is approved by lots of therapeutics
22 in CBER. Now, this is for proleukin. And it's
23 described here in a box warning.

24 "Proleukin for injection should be
25 administered only in a hospital setting under the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 supervision of a qualified physician. Proleukin
2 administration has been associated with capillary leak
3 syndrome. Therapy with Proleukin should be restricted
4 to patients with normal cardiac and pulmonary
5 functions."

6 This is just one example of a boxed warning
7 where it talks about limitations of use. It also
8 describes serious, adverse events or potential safety
9 hazards.

10 If I could have the next overhead on the
11 boxed warning that was approved for adriamycin, and
12 this is an anti-tumor drug. This was approved in our
13 Center for Drugs.

14 Here we have, "Severe local necrosis will
15 occur if there's extravasation during administration.
16 Myocardial toxicity manifested in most severe form by
17 potentially fatal, congestive heart failure may occur
18 during therapy or months or years after termination of
19 therapy".

20 These two examples are therapeutic products.
21 They're not vaccines, as I said. Currently there are
22 no boxed warnings in the labeling for vaccines.

23 What I'd like to do now is, let's focus in
24 on the package insert -- the current, approved,
25 package insert -- for the oral polio. And in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Warning section of the currently approved, oral polio
2 labeling it states: "In 1993 the Institute of
3 Medicine conducted a review of adverse events
4 associated with childhood vaccines, including OPV.
5 the IOM concluded that there's a causal relationship
6 between OPV and paralytic poliomyelitis which is known
7 to occur on rare occasion in vaccinees and their close
8 contacts.

9 "In addition, the IOM concluded that OPV
10 very rarely has caused paralytic poliomyelitis in
11 immunocompromised persons".

12 So here's an example of where the package
13 insert does include a statement warning against -- or
14 the potential of vaccine associated paralytic polio.
15 This does not appear in a boxed warning but it is
16 spelled out quite specifically in the Warning section.

17 And if we look at the next slide, under the
18 Adverse Reactions section of the package insert it
19 says, "Prior to administration of the vaccines the
20 attending physician should warn or specifically direct
21 personnel acting under their authority, to convey the
22 warnings to the vaccinee, parent, guardian, or other
23 responsible person of the risk and benefits of the
24 vaccine, including the possibility of vaccine
25 associated paralysis, particularly to the recipient,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 susceptible family members, and other close, personal
2 contacts".

3 So as it stands now, the package insert for
4 oral polio discusses the vaccine associated paralytic
5 polio in the Adverse Reactions section as well as the
6 Warning section of the package insert.

7 In the next slide, if we look at the polio
8 vaccine information sheet, by law, parents and
9 guardians or patients must be given information in
10 writing about the risks and benefits of vaccination
11 before a vaccine is administered.

12 Moreover, under the National Childhood
13 Vaccine Injury Act, Section 2126 of the Public Health
14 Service Act, "All health care providers in the United
15 States who administer any vaccine containing
16 diphtheria, tetanus, pertussis, measles, mumps,
17 rubella, or polio vaccine shall, prior to
18 administration of each dose of the vaccine, provide a
19 copy of the relevant vaccine information materials
20 that have been produced by the Centers for Disease
21 Control and Prevention".

22 Now, if we look at the information sheet for
23 polio vaccines -- and I've excised this out of the
24 vaccine information sheet -- one statement says, "On
25 rare occasions OPV can cause polio because it contains

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 live, but weakened virus. IPV cannot cause polio
2 because it does not contain live virus.

3 "Advantages: with four doses of IPV does
4 not cause polio. Risk: for four doses of OPV, causes
5 about eight cases of polio each year. This can happen
6 to children who get OPV or people who are in close
7 contact with them. The risk of polio is higher with
8 the first dose than with later doses".

9 Now, this is required to be given to the
10 parent, guardian, or the recipient, by law. And it
11 clearly states that there is a risk of acquiring
12 vaccine associated paralytic polio.

13 Other sources of information on vaccine
14 associated paralytic polio and OPV that are readily
15 assessable to health care providers as well as to the
16 general public, are the American Academy of
17 Pediatricians Red Book, the Report of the Committee on
18 Infectious Diseases. There's a section that discusses
19 the use of OPV and IPV as well as the risk of
20 acquiring vaccine associated polio.

21 The MMWR's recommendation and report of the
22 ACIP or Advisory Committee on Immunization Practices.
23 This document also contains information on the risk of
24 acquiring vaccine associated paralytic polio from OPV.

25 In this slide I just wanted to remind people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that there's also an impact on promotional labeling
2 when there's a boxed warning in a product. Any
3 reminder advertising such as giveaways, calendars or
4 what-have-you, these cannot be distributed without a
5 full package insert included or contained in the
6 material.

7 This is because of the boxed warning. So
8 either that boxed warning must appear on that giveaway
9 or a full package insert must be distributed with that
10 reminder advertisement. Journal advertising inserts
11 may be required to include the actual boxed warning or
12 a summary of the information contained in the boxed
13 warning.

14 No brief advertisements can be used; only
15 the products named in journals, magazines, or
16 newspapers. So to mention this particular product,
17 that is, oral polio virus vaccine, in a journal or
18 magazine, it must be accompanied with the boxed
19 warning if the product is so deemed to have a boxed
20 warning.

21 Now, I want to return to the charge to the
22 committee. The FDA would like the VRBPAC to discuss
23 and advise the agency on the utility of including a
24 boxed warning for a vaccine associated paralytic
25 poliomyelitis in the package insert for live, oral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 polio virus vaccine.

2 Further, the FDA requests that the VRBPAC
3 consider possible scientific criteria for determining
4 whether a boxed warning for vaccine associated
5 paralytic polio should be included on the OPV label.
6 If the VRBPAC members believe that a boxed warning is
7 warranted for vaccine associated paralytic polio, we
8 ask that you also comment on how the criteria for this
9 decision could be applied to other vaccine adverse
10 events.

11 Thank you.

12 CHAIR FERRIERI: Thank you. We could leave
13 that up perhaps, Norm, so we could refer to it and be
14 very concise, then. We have to move on to the open
15 public hearing next before we do any discussions. And
16 Mrs. Cherry will take over.

17 MS. CHERRY: We do have some individuals who
18 have asked to speak at open public hearing. I would
19 ask that you state for the record, your name very
20 clearly; also, any affiliations, please, so that this
21 can become part of the record.

22 The first name I have is Dr. Peter Paradiso.

23 DR. PARADISO: Good afternoon. My name is
24 Peter Paradiso. I'm from Wyeth Lederle Vaccines and
25 Pediatrics. I'm here obviously as a representative of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a company that makes vaccines for routine use in
2 infants and young children, including oral polio
3 vaccine, and as a person who is committed to the
4 development and introduction of the vaccines in
5 children.

6 The position of my company and of other
7 manufacturers are fairly well documented in letters
8 that have been submitted to the docket, either by us
9 or in conjunction with other manufacturers. And I
10 believe you have copies of those letters.

11 So I'm going to be brief with my comments
12 because I think that our position and our concerns are
13 fairly well outlined in those letters.

14 We're opposed to the use of a boxed warning
15 for oral polio vaccine and for other routine,
16 pediatric vaccines. My understanding is that a boxed
17 warning is reserved, and as we just heard, for special
18 problems or circumstances for which risks are not
19 generally known and which require an extraordinary
20 form of notice.

21 The boxed warning or a boxed warning,
22 talking specifically about oral polio vaccine, would
23 clearly go against current recommendations for the use
24 of this vaccine's recommendations endorsed by the
25 ACIP, the AAP, and the AAFP, who in deliberations over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the last several years in rather extensive
2 deliberations, have concluded that in fact, it is
3 still important to continue to use oral polio vaccine,
4 particularly for its mucosal immunity, or the mucosal
5 immunity that it induces.

6 And so the use of oral polio vaccine is
7 still considered important for control of polio in the
8 United States, and obviously, it's the main player in
9 eradication of polio around the world.

10 Recommendations for use of a vaccine
11 routinely obviously is a risk/benefit assessment. I
12 think that unfortunately no vaccine is completely
13 without risk, and clearly those risks are considered
14 by these committees in determining whether to use a
15 vaccine routinely. And I think a boxed warning is
16 somewhat antithetical to a routine use recommendation
17 of the vaccines.

18 As part of that and as part of that
19 assessment, I think it's also important to note what
20 Norman already has stated pretty clearly. Is that the
21 risk of oral polio vaccine is very well documented.
22 There are materials that are provided to parents and
23 to providers.

24 Those are in large part, CDC-developed
25 documents that in fact, taken into consultation, not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 only experts but consumers in generating materials
2 that will convey the risks without overstating the
3 risks and without scaring parents -- particularly
4 parents but also providers -- from the use of products
5 that are considered to be important.

6 I think lastly, and the last point I think,
7 what was mentioned by Dr. Baylor, the risk of vaccine
8 -- this is not zero, and so a boxed warning for rare,
9 adverse events will implicate other vaccines that are
10 currently used routinely in childhood vaccines.

11 And I think that if a boxed warning is
12 recommended for the oral polio vaccine it will set a
13 precedent for recommendations for other routinely used
14 vaccines, and clearly over-emphasizing the risks of
15 childhood vaccination will reduce compliance in the
16 use of vaccines and that's something that I think none
17 of us wish to see happen.

18 And so I will end with that statement.
19 Thank you.

20 MS. CHERRY: Mr. John Salamone.

21 MR. SALAMONE: I apologize in advance to the
22 committee for -- in fact, I have to read my prepared
23 statement. It's a complex subject and there are so
24 many facets of it, so if you'll please bear with me
25 I'll try to go through it as fast as I can.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 My name is --

2 CHAIR FERRIERI: Excuse me.

3 MR. SALAMONE: Yes?

4 CHAIR FERRIERI: I was going to ask you to
5 indicate your --

6 MR. SALAMONE: That's next.

7 CHAIR FERRIERI: Thank you very much.

8 MR. SALAMONE: My name is John Salamone.
9 I'm president of Informed Parents Against Vaccine
10 Associated Paralytic Polio, which is known as IPAV.
11 IPAV is a non-profit organization representing
12 families affected by vaccine associated paralytic
13 polio. Our mission is to eliminate future VAPP cases
14 through the advocacy of an all-IPV schedule in the
15 United States and for physician/parent education.

16 Our goal is to go out of business as soon as
17 possible. However, until an all-IPV program is
18 implemented in the United States we will assume a
19 visible and lead role in educating parents about the
20 risk of vaccine associated polio so that they may make
21 informed and safe decisions for their children.

22 We do not want what happened to our children
23 to happen to others, especially knowing that there is
24 a safer choice. With respect to this mission, IPAV
25 has asked the FDA to place a black box on the oral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 polio vaccine package insert.

2 I, as well as other IPAV families, have a
3 very personal interest in seeing the FDA help guard
4 the public safety by highlighting to physicians that
5 OPV is a special case.

6 My son, David, is one of hundreds who have
7 paid the price for an all-IPV policy. Make no mistake
8 -- I'm a strong advocate of immunization and believe
9 that we need to avail ourselves of the safest options
10 possible in order to maintain parental confidence and
11 universal coverage.

12 As an immunization advocate I am proud to
13 serve as the vice chairman of the U.S. Department of
14 Health and Human Services Advisory Commission on
15 Childhood Vaccines, and in that capacity I work
16 closely with many of you here today.

17 In fact, several members of this committee
18 played an important role in changing the polio
19 schedule from reliance on OPV to the safer IPV. The
20 CDC accepted the Advisory Committee on Immunization
21 Practice's recommendation for a mixed IPV/OPV schedule
22 in order to reduce the occurrence of VAPP as a first
23 step towards an all-IPV regimen.

24 The new CDC recommendation took effect in
25 January 1997. At that time the CDC revised its polio

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 vaccine information sheet, outlining the risks and
2 benefits of each vaccine so that parents could have
3 the information available to them for discussion and
4 true informed consent.

5 All of us knew that achieving health
6 provider/parent discussion on polio vaccine options
7 would be challenging. For one thing, most physicians
8 had never administered anything but OPV and needed to
9 be educated on the changes that had occurred in both
10 polio eradication as well as the tools to control it
11 -- particularly the enhanced IPV.

12 There have been significant research
13 undertaken since the schedule change. We have found
14 that when presented with the facts, nearly all parents
15 opt for the safer schedule if their physicians agree.
16 Unfortunately, habits die hard. Despite the CDC
17 recommendation, 40 percent of children are not
18 receiving the CDC recommended sequential schedule.
19 And that is a best-case scenario.

20 Many physicians are still unaware of the
21 rationale for this change and as a result, parents
22 like Michelle Savalos who came here today from Florida
23 to address you, continue to watch their children pay
24 the price for this inertia.

25 I find it heartbreaking that several IPAV

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 families are new members, like the Savalos, whose
2 children have contracted VAPP after -- after -- the
3 CDC implemented its recommendations.

4 It's clear that more needs to be done to
5 further alert physicians and parents to the risk of
6 VAPP and encourage physician adherence to the new CDC
7 recommendations of a mixed vaccine schedule.

8 That's why we're here to urge the FDA to
9 require the labeling of oral polio vaccine, or OPV,
10 and to come with a black box warning that fully
11 outlines its risks of causing VAPP. This relatively
12 modest step will highlight the risk of acquiring VAPP
13 from OPV, however small.

14 The black box warning is the next logical
15 step in carrying out the CDC's recommendation. It
16 would get physicians and health care workers to break
17 a 30-year-old habit of administering OPV alone,
18 flagging them to stop and explain this crucial
19 scheduled choice to their parents before immunizations
20 take place.

21 If we are serious about ending polio in the
22 United States -- and I hope we are all serious about
23 doing so -- this is an important step to that end.

24 Now, before I go on I wanted to emphasize
25 that we are not urging a black box warning for any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 other vaccine or class of vaccines. We do not intend
2 for this to set a precedent for vaccine warnings, nor
3 should it.

4 The OPV vaccine constitutes a special
5 problem because it is unique in its risk of causing
6 polio, which it does for a reported eight to ten
7 people a year, and for the countless numbers who go
8 unreported.

9 I have to digress just slightly only because
10 I can tell you that virtually every member of our
11 group and their families were misdiagnosed with their
12 polio at the beginning. So quite frankly this figure
13 of eight to ten is only a reported figure, and
14 obviously not a figure that I think we can say is a
15 concrete figure.

16 Without a doubt, it is the duty of the FDA
17 to act on our citizen's petition. Cases like Sierra
18 Savalos and the others who have been VAPP since the
19 new recommendations were made, are unacceptable and
20 should not continue.

21 When the U.S. was faced with thousands of
22 cases of wild polio VAPP was considered a "acceptable
23 risk". It was a reality of our immunization program
24 at the time. However, for nearly 20 years the only
25 form of polio in this country has come exclusively

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 from the oral vaccine.

2 Certainly an unacceptable statistic given
3 the availability of a safer and just as effective
4 alternative. Vaccine associated polio is just as
5 devastating to its victims as wild polio.

6 Thanks to the CDC a first step has been
7 taken to eliminate this last vestige of polio in the
8 United States. Now it is time for the FDA to take the
9 next step in protecting our children -- a black box
10 warning on OPV which would achieve three primary
11 goals.

12 One, it would clearly state the rare but
13 well-established risk of contracting VAPP from OPV
14 use. Yes, this is already included in the small print
15 on OPV prescribing information, but a black box
16 warning would dramatically highlight this existing
17 information, making physicians and health care
18 providers who haven't read an insert in decades, even
19 more aware of the risk of OPV use.

20 Number two, it would boldly remind
21 physicians and health care providers that there is now
22 a safe alternative to OPV and alert them to the new
23 mix schedule recommendations, further encouraging them
24 to adopt the new schedule in their own practices.

25 Number three, it would urge physicians and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 health care providers to share this information with
2 parents so that they can make fully informed choices
3 about their children's immunization.

4 In its recommendations the CDC stressed that
5 parental awareness of vaccine options and the
6 importance of vaccinations is essential, and that such
7 information should be shared so that vaccinations are
8 carried out among persons who are fully informed.

9 Sadly, this was not the case with the
10 Savalos and other VAPP families who were never made to
11 fully understand the risk or their options.

12 In addition to the need to highlight the new
13 recommendations, the fact that IPV is now widely
14 available also makes the risk of VAPP a special and
15 potentially avoidable problem that warrants a boxed
16 warning for OPV.

17 This warning would spell out to
18 pediatricians and other health care providers that
19 under normal circumstances OPV is not the drug of
20 first choice for the first two doses of the polio
21 vaccine.

22 A boxed warning for OPV is supported by
23 numerous FDA precedents that highlights significant,
24 adverse reactions for other drug products that are not
25 seen as first line therapies, or that should only be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 used in emergencies.

2 Clearly, when the risk of adverse reactions,
3 even a low risk, is severe, real, and irreversible,
4 and when other therapies are available that don't
5 present comparable risks to the patient, a boxed
6 warning is appropriate.

7 Again, OPV represents a special case in that
8 a safer and equally effective vaccine exists and
9 because VAPP leads to serious disability, permanent
10 paralysis, and even death.

11 Rest assured, the FDA would not be setting
12 a precedent for other vaccines or classes of drug
13 products. It would however, be doing its part to
14 alert physicians who may be unaware of this CDC
15 schedule change. This is consistent with the FDA's
16 mission.

17 Objections to the black box warning for OPV
18 can be viewed as speculative and illogical in light of
19 the testimony we heard today. The manufacturer of OPV
20 has stated that a boxed warning will discourage polio
21 vaccinations. This assertion is wrong.

22 The boxed warning would simply take
23 information about the risk of VAPP out of small print
24 and remind doctors and health care providers to
25 discuss this important subject with their parents. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 would not include such contradictions as low-grade
2 fever and minor illnesses.

3 It would lead to more physicians knowing
4 that the IPV alternative is available, which would
5 lead to a greater acceptance of the new CDC schedule,
6 improved parental confidence in immunization, and
7 ultimately, greater compliance with the national
8 immunization efforts overall.

9 I'm pleased to say that our immunization
10 coverage is at the highest levels ever, which is
11 contrary to the warning given by anti-IPV forces prior
12 to the CDC change. This goes to show that we can do
13 the right thing for our children in providing them
14 with the safest possible vaccine, and not only
15 maintain, but increase coverage.

16 The boxed warning is not directly intended
17 to discourage overall use of OPV but to ensure that
18 relative risks and benefits of OPV and IPV are fully
19 evident to health care providers.

20 To indicate how incremental a step it is, I
21 should mention again that both the CDC and ACIP view
22 the mixed schedule as an interim recommendation before
23 transitioning to an all-IPV schedule. I want to
24 stress the word incremental.

25 We're not asking much here. But when you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 watch your infant son become paralyzed for reasons no
2 one initially could explain, when you remember him at
3 the age of five crawling down stairs covered with
4 bruises and falling while trying to do things other
5 kids are trying to do, and when you watch his
6 determination to do the things no matter how many
7 times he stumbles, well you really want to make sure
8 that doesn't happen to any other family, especially if
9 it doesn't have to.

10 It doesn't matter that VAPP hits only a
11 small number of people each year; it's too many.
12 Polio has become an optional disease in America. A
13 safe alternative to OPV exists but it is absolutely
14 crucial that all physicians and health care providers
15 develop the habit of informing parents of their
16 choices.

17 The CDC has already done its part by making
18 the necessary recommendations. Now we of IPAV
19 strongly urge FDA to require a boxed warning of the
20 oral vaccine as a next step to eradicating the
21 needless tragedy of VAPP.

22 The members of this committee have within
23 their power, the ability to make recommendations to
24 the FDA that would finally remove all vestiges of
25 polio in America. We implore you to do what's right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for our children. Thank you.

2 MS. CHERRY: Mrs. Savalos.

3 MS. SAVALOS: My name is Michelle Savalos
4 and I'm here today as a member of IPAV, so is my
5 husband, and I have a daughter named Sierra who has
6 been (unintelligible) by vaccine associated paralytic
7 polio.

8 This took place in December of 1997 after
9 the announced change, by the Centers for Disease
10 Control, about the need to use -- I'm going to do this
11 because I want everyone here to know.

12 Again, we have a little girl and her name is
13 Sierra, and she was born in September and she had
14 gotten VAPP in December of '97. And this was after
15 the announced change by the Centers for Disease
16 Control about the need to use an injectable polio
17 vaccine that reduced the chance of vaccine associated
18 polio.

19 The fact that Sierra has contracted VAPP
20 after the CDC policy change may seem ironic, but I
21 find it as an ultimate failure of public policy. We
22 weren't given the option. The physician didn't tell
23 us our choices.

24 In fact, the information that the gentleman
25 was talking about earlier, that the parents are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 supposed to be receiving, we didn't even get until
2 after the vaccination was already done with. And on
3 top of that, it was an outdated copy -- it was a '94
4 issue.

5 Sierra is eight months old now and we love
6 her with all of our hearts. But it breaks our hearts
7 that she'll never be able to feed herself or sit up on
8 her own or walk, or even hold her head up. Sierra's
9 shoulder muscles, chest muscles, and arms are
10 paralyzed.

11 Instead of learning to crawl and play with
12 baby toys Sierra gets physical therapy four times a
13 week -- occupational and physical. She spends 12
14 hours a day in splints that run from the back of her
15 legs all the way to the tips of her toes. She also
16 has to wear a torso brace that runs from underneath
17 her arms all the way to her pelvic area, 16 hours a
18 day.

19 And I'd like for you to know my baby book
20 right now is pretty much bare. I don't have a first
21 time she rolled over, or the first time she crawled,
22 or the first time she's going to walk. When she
23 sleeps at night she has to have an apnea monitor and
24 also a nebulizer in case she has problems breathing.

25 The most painful part of this whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 situation is that it didn't have to happen; it was
2 avoidable. And we couldn't imagine a life like this
3 for Sierra. And you know as parents, many of you have
4 children or grandchildren in here. You would do
5 anything for them. And there's nothing we can do. I
6 mean, I would die for her if I could to make her
7 better, but that's not possible.

8 After Sierra was diagnosed with polio we
9 learned that it was caused by the polio vaccine that
10 she got when she was two month's old, and there was a
11 safer polio vaccine that could have saved her from
12 getting polio. But that just wasn't the case.

13 Since this has happened to us we have
14 learned a great deal about what could have been. I've
15 learned the CDC has recommended this since the
16 beginning of October -- or January of '97. I also
17 know that to totally eliminate VAPP that we would need
18 an all-IPV schedule.

19 You know, I thought I was doing everything
20 right for her. I thought myself a pretty educated
21 person. I work for Merrill Lynch, you know, I read
22 all the right books, I took my vitamins, I thought I
23 ate all the right food, and I thought that I picked a
24 physician -- and I'm not going to blame it all on this
25 physician because I felt like he was a very good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 physician.

2 I don't feel like he meant to give Sierra
3 polio because I don't for one minute. But he did fail
4 as a physician not to give me the information that we
5 needed to make that choice. And it's not a choice
6 because you don't take chances with your children. If
7 you know that you can give your child something that's
8 going to prevent her from getting polio and no chance
9 at all, of course you're going to take that.

10 But I do -- I'm upset because the United
11 States of America is allowing this to happen. You
12 know, it's too late for Sierra but it's not too late
13 for other children. My husband -- we have a million
14 dollar cap on our insurance and we've got lifetime of
15 promises of doctors -- she goes to an orthopedist, a
16 neurologist, physical therapist -- in just numerous
17 amounts.

18 You know, we're here today to urge the Food
19 and Drug Administration to require black box warning
20 and the reason we're doing it is because the doctors
21 need to know, to be reminded that they have a duty to
22 let the parents know.

23 And again I'm saying, I don't blame it all
24 on physicians because it's beyond just the physician.
25 I feel like it's the government and other people that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 need to get involved.

2 There's going to be a time in Sierra's life
3 when I have to tell her, and when I tell her -- as a
4 child you just got sick when you were baby -- is that
5 going to be enough? She's going to come to an age and
6 she's going to want to know what really, really
7 happened in detail. And I'm afraid she's going to
8 have the same questions that I have.

9 Was I just a statistic? The chances are one
10 in two-and-a-half million. Was I not important
11 enough? If they had an alternative then why are they
12 continuing to give oral? What do you suggest I tell
13 her? Thank you.

14 MS. CHERRY: Dr. Halstead.

15 DR. HALSTEAD: My name is Dr. Laurel
16 Halstead and I am a physician in the field of
17 rehabilitation medicine. The purpose of my remarks is
18 to support the use of a black box warning label on all
19 polio vaccine packages.

20 I currently work at the National
21 Rehabilitation Hospital here in Washington, D.C. where
22 I am the medical director of the spinal cord injury
23 program and director of the post-polio program.

24 Although I have been a practicing physician
25 for over 30 years I have no special expertise in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 area of vaccines, immunology, or virology. However,
2 I do have special expertise and knowledge with
3 paralytic poliomyelitis, from both personal and
4 professional experience.

5 I contracted a severe case of paralytic
6 polio at the age of 18 in 1954, which required several
7 weeks in an iron lung, six months in a wheelchair, and
8 one year in a shore leg brace.

9 Despite a generally good recovery my right
10 arm has remained paralyzed for the past 44 years. My
11 overall neurologic status remained stable until the
12 mid-1980s when I began experiencing the onset of a
13 new, progressive weakness in my legs and left arm,
14 intense fatigue and muscle pain, which we now
15 recognize as post-polio syndrome -- a long-term
16 sequelae of polio that affects 40 percent or more of
17 the 600,000 polio survivors.

18 I am here today representing these 600,000
19 survivors and I can guarantee that not one of them
20 wants to see a single additional American contract
21 polio. My own polio occurred of course, before a
22 vaccine was available to the general public. For me
23 and for hundreds of thousands of other Americans,
24 paralytic polio was an unavoidable hazard of growing
25 up in the pre-Salk and pre-Sabin era.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 That of course, has now completely changed
2 and polio is, if we want it to be, now 100 percent
3 preventable. Which raises a number of interesting
4 questions.

5 If another vaccine caused the disease it was
6 meant to prevent, would anyone stand by and let it
7 happen? Why does polio have this unique status that
8 we grant it alone? Immunity to be given by healers in
9 a kind of Russian roulette so that eight to ten, or
10 whatever the number is, of children a year will be
11 paralyzed. Isn't just one more lifetime of paralysis
12 enough?

13 What if rubella vaccine caused German
14 measles in only a handful of cases of birth defects
15 and mental retardation here? Would we use it? Would
16 the public accept it? What if the smallpox vaccine
17 were still necessary and caused an occasional case of
18 deadly or deforming smallpox? Would you want your
19 child to have that vaccine?

20 What if a newly discovered AIDS vaccine
21 caused ten cases of AIDS a year? Would you want that
22 vaccine for yourself? Why is possible death or a life
23 of paralysis from a polio vaccine an acceptable
24 option?

25 I urge this committee to take the strongest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 possible stand to implement the CDC recommendations
2 for sequential, IPV/OPV schedule and to include a
3 black box warning so all polio will one day be
4 eliminated from this country. Thank you.

5 MS. CHERRY: Dr. Levensgood.

6 DR. LEVENGOOD: Hi, I'm John Levensgood,
7 director of the Epidemiology and Surveillance Division
8 of the National Immunization Program at the Centers
9 for Disease Control and Prevention.

10 I'm here today to represent the position of
11 the National Immunization Program about a boxed
12 warning on the labeling for oral polio vaccine --
13 called OPV from here on.

14 Vaccine associated paralytic poliomyelitis,
15 or VAPP, is a rare but severe adverse event
16 undisputedly caused by OPV. The prevention of VAPP
17 was the main justification for the recent change in
18 policy of the ACIP and CDC to recommend a sequential
19 schedule of two doses of IPV followed by two doses of
20 OPV for polio vaccination in the United States.

21 Although all IPV and all OPV schedules
22 remained acceptable parent or provider options, the
23 sequential schedule is the preferred choice. With
24 further progress and global polio eradication we will
25 undoubtedly consider changing the preferred schedule

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to all-IPV for several years before ceasing all polio
2 vaccinations, thus eliminating the problem of VAPP.

3 It is very important that parents and
4 providers clearly understand the risks and benefits of
5 immunization. CDC supports complete, accurate, and
6 well-balanced labeling, and educational efforts to
7 empower parents and providers to make informed
8 choices.

9 To this end CDC conducts numerous training
10 and education sessions, including presentations at
11 National Provider Organization meetings, as well as
12 interactive satellite courses targeting tens of
13 thousands of immunization service providers throughout
14 the United States.

15 Further, a variety of surveys have
16 consistently shown that recommendations of
17 professional organizations such as the Committee on
18 Infectious Diseases of the Academy of Pediatrics,
19 published in the Red Book, and the recommendations of
20 the Advisory Committee on Immunization Practices of
21 CDC, consistently rank at the top of ways in which
22 practitioners report learning about immunization
23 policy.

24 For example, even though the recommendation
25 for sequential schedule was not formally issued until

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 January 1997, data on net doses of vaccine distributed
2 have shown a marked increase in IPV use. In 1996,
3 1.28 million net doses of IPV were distributed in the
4 United States, compared to 5.23 million doses in 1997.

5 Further, the proportion of all polio vaccine
6 doses that are IPV rose from six percent to 29 percent
7 in 1997. Therefore, we believe our current methods
8 for communicating to providers is getting the message
9 out.

10 Additionally, under the National Childhood
11 Vaccine Injury Act of 1986, the CDC is required to
12 produce and distribute vaccine information statements
13 -- or VIS -- for each vaccine, such as polio vaccine
14 which are included in the Vaccine Injury Compensation
15 Program.

16 The law was enacted to ensure that the
17 benefits and risks of vaccines were presented in a
18 clear and factual manual. Each VIS is produced in
19 consultation with parent and provider groups and is
20 tested for understandability. Some states provide
21 these statements in several different languages.

22 Because the law requires that the providers
23 give this information to every parent or guardian
24 prior to vaccination, we consider this a powerful
25 means to educate them about the risks and benefits of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 each vaccine.

2 I provided the committee copies of the
3 current VIS on polio and I have several other copies
4 on the table outside, although many of you here in the
5 audience have worked with us in developing this
6 particular statement.

7 On this you will see information about the
8 various risks and benefits of the three polio
9 immunization schedules: all-IPV, all-OPV, and the
10 preferred choice -- the sequential schedule.

11 Nearly all vaccines -- tetanus toxoid being
12 a major exception -- had benefits both to individuals
13 and to society, in contrast to many drugs. This
14 places vaccines in a somewhat different position than
15 the pharmaceuticals that were discussed earlier.

16 Just this year, CDC announced the results
17 to-date of the Childhood Immunization Initiative begun
18 during the early 1990s. During united infrastructure
19 of public and private sector partnerships, record
20 national immunization coverage rates of 90 percent or
21 more were achieved for most of the individual
22 immunizations needed by the time a child was two years
23 old.

24 What that translates to is a record low
25 incidence of vaccine preventable diseases and deaths

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 among children nationwide. We can envision a scenario
2 where over-emphasis on a very low vaccine risk might
3 be inappropriately highlighted and cause under- or
4 non-use of a vaccine when there is no alternative
5 vaccine available, leaving the public vulnerable for
6 serious disease outbreaks.

7 Vaccines are extremely safe, but not
8 perfectly so. We would like to go on record as
9 voicing a concern about the precedent setting concept
10 of placing boxed warnings on vaccines.

11 A warning focused only on risks without
12 concomitant discussion of the benefits of vaccination
13 could be associated with other warning labels that the
14 public sees, which are specifically designed to deter
15 use -- such as cigarette and alcohol package warnings
16 -- and some that do not use message to providers and
17 other.

18 In our opinion, the need for highlighting
19 the minimal risks associated with the vaccine out of
20 context with the much larger benefits, is not
21 scientifically justified. It repeats information
22 already contained analytically and distributed widely
23 by CDC in recent information statements.

24 And lastly, it might set a dangerous
25 precedent affecting use and acceptance of vaccines in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 general. Thank you very much.

2 MS. CHERRY: Miriam O'Day.

3 MS. O'DAY: Good afternoon. My name is
4 Miriam O'Day. I'm vice president of the Immune
5 Deficiency Foundation. The Immune Deficiency
6 Foundation furthers education and research into the
7 primary immunodeficiency diseases. The IDF is a
8 national organization dedicated to improving the lives
9 of some 40,000 individuals affected with primary
10 immunodeficiencies in the United States. IDF works
11 closely with IPAV and supports their efforts.

12 Primary immunodeficiencies are a group of
13 nearly 70 different disorders. Most patients present
14 clinically with an increased susceptibility to
15 infection. These infections can be chronic or
16 unremitting, and unusually severe.

17 Now, as a group, primary immunodeficiencies
18 are more common than both childhood leukemia and
19 lymphoma together. I'm here today to endorse the use
20 of a black boxed warning label listing the potential
21 adverse side effects of the oral, live polio vaccine.
22 I'd like to elaborate further on the reason Immune
23 Deficiency Foundation supports this initiative.

24 In September of 1996 the Centers for Disease
25 Control and Prevention, CDC, accepted its advisory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 panel's recommendation to change the routine,
2 childhood polio vaccination schedule to decrease the
3 occurrences of vaccine associated paralytic polio,
4 VAPP.

5 The CDC now recommends that U.S. children
6 receive two doses of injectable polio vaccine, killed
7 virus IPV, followed by two doses of oral polio
8 vaccine, OPV, live virus.

9 Previously, the common polio vaccine
10 protocol consisted of an all-OPV schedule. The Immune
11 Deficiency Foundation has endorsed and continues to
12 support this recommendation, and we'd also like to
13 note that households with immune-deficient persons
14 that have children that are being vaccinated, have
15 them vaccinated with IPV because the live, oral polio
16 virus is excreted in the stool and may pose a risk to
17 individuals with a compromised immune system.

18 I mention that because the Immune Deficiency
19 Foundation, in cooperation with the CDC and Johns
20 Hopkins University, is currently conducting a study to
21 determine the amount of live vaccine that may be
22 present in current stool samples of immune deficient
23 patients.

24 The objective of the study is to determine
25 if some immunocompromised patients who received the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 oral, live polio vaccine as children but did not
2 develop VAPP, may still carry the live polio virus.

3 The U.S., as you heard today, has been free
4 of the wild polio virus since 1979. The only
5 remaining cases of polio in the U.S. since 1979 are
6 associated with the live virus in the oral polio
7 vaccine.

8 Infants with primary immunodeficiency
9 diseases are at a significant risk for vaccine
10 associated paralytic polio. IDF is concerned that if
11 the sequential schedule is not followed, OPV may be
12 administered too early.

13 It's also very important to take into
14 account the age at which most patients with primary
15 immunodeficiency disease are diagnosed. In most cases
16 the diagnosis is later than the first six months of
17 life, and in many cases, is not made until age one or
18 older.

19 And in addition, 70 percent of patients
20 surveyed in a nationwide survey by IDF have no known
21 family history of primary immunodeficiency disease.
22 Furthermore, the OPV prescribing information does not
23 provide for administration of the third dose before 12
24 months, although this is a common practice among
25 pediatricians.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The use of a black boxed warning which is
2 heeded by physicians, would allow these children the
3 opportunity to be diagnosed before a vaccine
4 associated injury. We could eliminate the needless
5 risk from the oral vaccine during the 2- and 4-month
6 series of immunizations.

7 The goal of adding inactivated polio vaccine
8 to the accepted schedule was to minimize the number of
9 cases of VAPP, many of which occur in babies with
10 primary immune deficiency.

11 We believe it would be prudent for this
12 advisory committee to support the black boxed warning
13 on the live, oral polio vaccine. The boxed warning is
14 necessary to advise physicians and parents.

15 The National Immunization Program continues
16 its public service campaign aimed at educating parents
17 and pediatricians regarding the advantages of IPV in
18 the first year of life. Parents are urged to
19 understand these differences allowing for an informed
20 decision which best suits their children.

21 However, physician education remains a key
22 element in the total health care decisions, and a
23 black boxed warning will assist many physicians with
24 awareness of an issue they may have previously
25 ignored.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 I would encourage this Advisory Committee to
2 support the black boxed warning on the oral live polio
3 vaccine. Thank you.

4 MS. CHERRY: Is there anyone else in the
5 audience that wishes to make a statement at this time?
6 This is the final opportunity. If not, then we'll go
7 on with the meeting.

8 CHAIR FERRIERI: Thank you, Mrs. Cherry. I
9 want to thank everyone who came and presented today.
10 This was very helpful for the committee. We are
11 interested in always hearing all sides of an issue.

12 I'd like to open our discussion by reminding
13 the members of the committee who remain, of the
14 specific issues that FDA CBER has addressed to us so
15 that we confine our discussion to these points and
16 stay targeted, so that we don't overuse the time of
17 all the individuals who are here.

18 So I would entertain anyone who would like
19 to open this up in a general way, and specifically in
20 addressing what perhaps, is a start. How they view
21 the current sections of the package insert, the
22 adequacy of that. And then lead into the possible
23 utility of a boxed warning.

24 Dr. Snider.

25 DR. SNIDER: Well, several things. First of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 all, I would like to remind everyone why we got into
2 this transition or this interim schedule instead of
3 going straight to an IPV schedule.

4 And that has to do with the fact that it is
5 believed that there are still a large number of
6 importations of wild polio virus into the United
7 States. We can't quantitate that. Fortunately, we
8 don't have cases caused by wild polio virus.

9 The question is, how much the mucosal
10 immunity induced by OPV might be contributing to the
11 fact that we are not seeing these wild polio cases.
12 And so that's the reason that -- there's still, from
13 the experts, still some question about what
14 contribution OPV is making to the entire population
15 and the fact that we're not seeing wild type polio in
16 the United States.

17 And concern that if we went to an all-IPV we
18 might exchange VAPP cases for wild type polio cases,
19 and even in increased numbers. But nevertheless, I
20 think we all have the same goal in that we would like
21 to go to an all-IPV and then to not having to
22 vaccinate against polio at all because we have totally
23 eliminated polio.

24 With regard to the specific issue of trying
25 to prevent vaccine associated polio cases, it seems to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 me that we've heard information presented today that
2 suggests, as if always the case, when a new
3 recommendation is made or a new innovation comes
4 about, that there's not rapid adoption of a new
5 recommendation or a new treatment, and so forth.

6 And so it seems to me the question is, how
7 can we speed up the adoption of the preferred
8 schedule, and how can we speed up making sure that
9 parents and providers are aware of the options and
10 make informed decisions?

11 And the question is whether the boxed
12 warning is the best way to go or whether there are
13 other actions that should be taken, and whether the
14 boxed warning would make any contribution to that?

15 It's not at all clear to me -- I don't know
16 if FDA has information -- about what happens when
17 boxed warnings are put on products; whether that
18 changes physician practices or not. But that would be
19 a key question, I think.

20 Because I can think of a number of other
21 ways that one might get the information out that might
22 be more or less effective than the proposed solution.
23 With regard to the information that's available, one
24 can debate how much you should say and how you should
25 say it, but it seems to me that the information is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 there in the critical documents -- namely the package
2 insert, in the vaccine information sheet, in the ACIP
3 recommendations, and in the AAP recommendations.

4 So the information is there. The question
5 is, how to get people to act on it.

6 CHAIR FERRIERI: Dr. Daum, would you like to
7 contribute to this, as a former member maybe, of the
8 Red Book or current member?

9 DR. DAUM: Yes, I guess you're going to hear
10 thoughts that haven't been totally edited or a
11 conclusion definitely reached. But I guess I want to
12 begin by particularly telling the parents who came
13 before us today and to other parents who I've talked
14 with before in the past, my compassion goes out to
15 them.

16 I mean, I hear stories like this and I find
17 them wrenching and emotional and I'm a father also,
18 and believe me my heart was with you when you spoke.

19 We bring vaccines to the table and are
20 strong advocates of vaccines in the belief that, like
21 any medical intervention it has risks but that these
22 risks are outweighed -- and in this case dramatically
23 outweighed -- by the benefits.

24 Before the introduction of polio vaccine
25 there were approximately 50,000 children a year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 paralyzed in this country. And at that time if anyone
2 said we have an intervention which may change that
3 number from 50,000 to six or eight or ten, or whatever
4 the right number is, anyone would have signed up for
5 it and said, well we'll take that tradeoff.

6 And now we've come around to the full circle
7 where we've been so successful with our program that
8 that kind of side effect if you will, is no longer
9 acceptable to us. And so the CDC and the WHO and
10 other organizations have moved, in I think a very
11 dramatic way, in the last five or ten years, to
12 decrease polio throughout the world.

13 I'm absolutely stunned by the progress
14 that's been made as we move closer and closer to the
15 point where the virus is eradicated from all human
16 beings and as Dr. Snider pointed out, we will no
17 longer have the need -- I think someday in our
18 youthful lifetimes -- to immunize anyone.

19 So as we move toward that day what's the
20 best way to proceed? And everybody in this room knows
21 that the debate has been acrimonious and long and
22 risks and benefits have been weighed very carefully
23 and very reasonable people have come to slightly
24 different conclusions about how to do this and how to
25 phase this program in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 But nobody disputes the fact that the oral
2 polio vaccine on which we've made such incredible
3 progress in this country, also has problems and may
4 now, as a primary and sole means of immunizing
5 children against polio, have to some extent, come down
6 more on the risk side than the benefit side.

7 So the question is, as Dr. Snider pointed
8 out, how to tell this to people. I think that there's
9 been an awful lot of communication of this kind of
10 thing. It's been in a lot of magazines and lay
11 magazines, it's been in newspapers, it's been on
12 television. There are information sheets about it.

13 Three or four separate, so-called august
14 bodies have spoken about preferences for it. And yet
15 somehow obviously the information isn't out there for
16 everybody to know.

17 Does a boxed warning accomplish that goal?
18 And what's the sort of risk and benefit of putting a
19 boxed warning on this product? Well, there's lots of
20 medical interventions in this world and there's lots
21 of dangers in this world, and we can't sort of boxed
22 warning all of them.

23 I think we have to communicate as best we
24 can to the people who are at risk, what the risks and
25 benefits are and hope that knowledge and common sense

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 will carry the day.

2 And so I guess I'm concerned that as Dr.
3 Snider I think, sort of said, that the information's
4 out there. It's not that anyone's pretending this
5 isn't the problem, it's not that anyone doesn't have
6 compassion for people that experienced this horrible
7 complication.

8 And yet I'm not -- I guess I have some real
9 concerns in my mind that a boxed warning is going to
10 take us further down the road of informing people
11 about what's going on.

12 I'm also very worried that -- it seems
13 logical to me that if a boxed warning were to be
14 applied to this that we ought to begin considering
15 literally thousands of other things that are dangerous
16 -- about medical interventions and other things that
17 should also be box warning.

18 And so I'm not enthusiastic about this
19 particular approach, but I am very concerned about
20 making sure everybody who's immunized with OPV be
21 fully informed and fully aware of what the possible
22 hazards might be.

23 CHAIR FERRIERI: Thank you, Bob. That was
24 very eloquent. Kathy -- Dr. Edwards.

25 DR. EDWARDS: Well certainly, I participated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in the last IOM discussion and was very moved by what
2 you, as parents, said at that time, as I currently am.
3 And obviously, you know, we are very sorry and
4 certainly don't want this to happen to any other
5 children.

6 I think one of the concerns I have is that
7 as a physician I rarely ever read what's in the box,
8 and maybe that's not something I should say. But I
9 think also, if you go to a vaccine clinic and you
10 watch busy nurses, you know, giving vaccines, they
11 quickly go through the boxes and the packages and
12 throw them very quickly into the wastebasket.

13 So I really think we need to educate people,
14 and certainly I spend a lot of my time doing just that
15 -- giving talks about this issue. But I don't think
16 that many of us -- and certainly I think that scarcely
17 any parents get the package insert at the time the
18 vaccines are given.

19 So I think we do need to come up with a
20 better way to tell people about this problem, but I
21 really worry that it isn't this forum because so few
22 people actually read the labels at this particular
23 time when they're in the clinic.

24 So that I don't think that it's going to
25 solve this issue in a practical way, and certainly I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 think that it's going to take some other method to do
2 it other than just the boxed warning.

3 CHAIR FERRIERI: Thank you, Kathy. I would
4 echo her lack of confidence in physicians reading
5 package inserts. I'm sorry to disappoint those of you
6 who think we do. We may do it at some point but not
7 consistently and not with every product. And so we're
8 relying on these other mechanisms to educate -- to
9 other health care providers to actually give the
10 injections as well as to parents.

11 Dr. Snider.

12 DR. SNIDER: I had one question for the FDA
13 folks, or the manufacturer. I think one of the things
14 I would hope we all would not want to happen is that
15 we would send a message to India or sub-Saharan
16 Africa, that for them they should give up on oral
17 polio vaccine and try to use IPV. It would be a total
18 disaster. We would not be able to achieve -- I don't
19 think -- polio eradication. I mean, it's iffy enough
20 with OPV.

21 And if somehow we send a message that OPV is
22 a second-class or a very dangerous product that they
23 shouldn't be using, then lots of people could be
24 harmed and I think -- I want to think about the world
25 as a global community and unfortunately, although we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 like decisions to be simple, they're very complex, and
2 this is one of the complexities.

3 So my question is, if the FDA were to put a
4 boxed warning on OPV for the U.S., would that -- I
5 mean, I'm sure the media might pick it up -- but would
6 it also have to appear if it was produced in the
7 United States, in inserts that would go to these
8 developing countries?

9 CHAIR FERRIERI: Dr. Baylor, would you like
10 to address that point? Or Dr. Zoon.

11 DR. ZOON: Yes, Dr. Zoon. The answer is yes
12 for that company. If that's their product they would
13 have to include all the important information in the
14 labeling.

15 CHAIR FERRIERI: So there's uniformity
16 regardless of the site to which the product is
17 distributed then, Dr. Zoon? Is that what you're
18 saying? So that we can't have selective --

19 DR. ZOON: Right. For U.S. licensed
20 products, that information has to be there, whether
21 it's in the U.S. or abroad.

22 CHAIR FERRIERI: Thank you.

23 MR. JOHN SALAMONE: Madam Chair, I'm sorry.
24 I know it's beyond the public comment period. Is it
25 possible for me to say something?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIR FERRIERI: Yes. Please come up to the
2 microphone then. Announce your name again so the
3 transcriber will -- it's working.

4 MR. JOHN SALAMONE: I'm sorry, it's John
5 Salamone again, Informed Parents Against VAPP. I just
6 find the argument so incredible I can't stay in my
7 seat.

8 To actually think that in Third World
9 countries people are going to be lined up for their
10 vaccinations and say, well wait, I heard this news
11 story, or I heard about this package insert that you
12 know, says that you know, there's a chance that we
13 could get polio from that oral vaccine you're giving
14 us.

15 People out in the field will tell you that
16 that's a ludicrous comment. To think that people are
17 going to reject this vaccine in those Third World
18 countries because in the United States a reasonable
19 boxed warning label would be put on it that is already
20 contained in the small print.

21 The other thing that concerns me is, first
22 I hear from representatives of Lederle and others
23 about how -- well listen, if you get a black boxed
24 warning label on there it's going to scare people to
25 death; people aren't going to get their vaccinations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 Then I hear from doctors here who say, well
2 listen, nobody reads that stuff. Well, we can't have
3 it both ways. It's either going to scare them or
4 they're not reading it at all. I just feel like I
5 have to make that distinction.

6 And also make it clear that with the case of
7 polio we're talking about something very unique and
8 different -- a special circumstance. You have a
9 situation where you have two vaccines: one that in
10 the United States for the purpose of protection of
11 that child is just as effective as OPV.

12 The only difference is there's zero chance
13 that child could contract polio from that vaccine.
14 And we keep talking about it as if this is such a
15 precedent.

16 But this is a situation where you have a
17 choice of two vaccines: one which by the very
18 admission of the CDC, is one that they recommend to
19 start with and ultimately would just as soon everyone
20 have for all four of their vaccinations.

21 I'm sorry. I just had to make that point.

22 CHAIR FERRIERI: Thank you. Yes, Mrs.
23 Rovner. Please go ahead.

24 MS. ROVNER: I'm sorry. I don't really
25 understand how the oral vaccine affects the wild

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 vaccine. I understand that it helps control it, but
2 I'm not sure I understand why. Could you explain that
3 just very briefly to me?

4 DR. SNIDER: Well, I'll try. I'm not a
5 polio vaccine expert. But the point is that when you
6 ingest a vaccine you have an immune response inside
7 the intestine. When it's injected the immune response
8 inside the intestine is much lower, or non-existent.

9 The immune response in the intestine is
10 important in that it protects an individual from
11 transmitting it to other people. I'm just
12 brainstorming about some other side effects that may
13 occur.

14 And I think, you know, John raised some very
15 valid points. But again, I think we need to think
16 about some of the other implications of the box
17 warning. Again, I think we have the same objective;
18 the question is really how to get there.

19 Another question I have that related to
20 Norm's presentation is, what would be the implications
21 of the boxed warning for general encouragement to get
22 immunizations? I mean, how far does the boxed warning
23 have to go? Does it -- is it only attached to an
24 encouragement to get this product or if there is a
25 general encouragement to get polio vaccine do you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to say something about it?

2 I'm just not sure how far -- I'd like some
3 clarification on how far you have to go attaching the
4 boxed warning to more generic statements about
5 immunizations.

6 DR. BAYLOR: I can answer that, Pat.

7 CHAIR FERRIERI: Please.

8 DR. BAYLOR: Generally the boxed warning is
9 not for -- it doesn't talk about options, it talks
10 about -- it emphasizes contraindications and adverse
11 events. The boxed warning would not say there's an
12 option, i.e., IPV instead of OPV, and the boxed
13 warning that was presented to us in the citizen's
14 petition as I presented on the slide, it didn't have
15 anything -- it didn't contain anything such as that,
16 and it generally doesn't contain anything about an
17 additional product.

18 But one thing, in the package insert -- and
19 this is rather unique for vaccine package inserts in
20 general -- but both of the licensed polio vaccines --
21 the oral polio as well as the IPV -- mention the
22 alternative schedule, the sequential scheduling.

23 So here we have a product such as OPV and
24 you actually mention your competitor's product in that
25 package insert. So we require that both of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 package inserts contain information on the sequential
2 scheduling.

3 DR. SNIDER: But as far -- while you're
4 close to the microphone -- as far as advertising, you
5 are talking about here, materials that would be
6 developed by the manufacturer of the product --

7 DR. BAYLOR: Yes, yes --

8 DR. SNIDER: You're not --

9 DR. BAYLOR: -- not the government.

10 DR. SNIDER: -- talking about materials
11 developed by various groups like CDC or AAP that would
12 be developing general vaccine materials --

13 DR. BAYLOR: That's correct.

14 DR. SNIDER: -- that might happen to mention
15 the specific vaccines?

16 DR. BAYLOR: That's correct. It would be
17 limited to the manufacturer. And if I'm mistaken, we
18 do have our promotional person here.

19 CHAIR FERRIERI: Did we answer the question,
20 Ms. Rovner?

21 MS. ROVNER: No.

22 CHAIR FERRIERI: I didn't think so. Could
23 you please state it again, though? Could you state
24 your question again?

25 MS. ROVNER: Okay. I want to know how the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 oral vaccine protects the community against the wild
2 virus. That's what I'm trying to --

3 DR. SNIDER: All right. I'm sorry. From
4 the standpoint of the oral vaccine, you heard people
5 mention that the oral vaccine is transmitted in the
6 stool to other people. And if you had 100 percent of
7 the population being immunized, then it wouldn't make
8 any difference.

9 But the fact is that we never have 100
10 percent of the population immunized, you know, going
11 in to get immunization. So what happens is because
12 the oral vaccine after it's ingested, does get
13 excreted, it gets transmitted into the community so
14 that some people presumably, get immunized who didn't
15 go in for an immunization in the clinic.

16 MS. ROVNER: In other words, it gets to
17 people before the wild type --

18 DR. SNIDER: And unfortunately some of them
19 as you saw, get vaccine associated paralytic polio,
20 however. So it's a double-edged sword there in terms
21 of the transmission: potentially protection of
22 additional people from polio who never got their
23 immunization, but some recipients who get it passively
24 also wind up getting vaccine associated paralytic
25 polio.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIR FERRIERI: It's been viewed as
2 tremendously important worldwide in underdeveloped
3 countries in bringing up a level of immunity and
4 having the great ease of administration as well, more
5 affordable, easily dispensed, not requiring the same
6 equipment that you would need for injection. So it
7 has great merit still, worldwide.

8 Dr. Eickhoff and then Dr. Evans.

9 DR. EICKHOFF: I certainly share many of the
10 thoughts that have been expressed on the other side of
11 the table, particularly with regard to compassion and
12 sympathy for the children and their parents who have
13 become victims of vaccine associated paralytic polio
14 in the recent past.

15 I think the issue before us is really one of
16 -- well, obviously public education -- but I'm going
17 to focus on provider education at the moment. The
18 history of major shifts in vaccine policy in the
19 United States suggests that it takes, you know,
20 usually some years and sometimes as many as five or
21 ten years, to bring about a high level of compliance,
22 if you will, among physicians and other providers.

23 For example, the adoption of universal
24 immunization with hepatitis B using hepatitis B
25 vaccine took, oh several -- at least several years and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 maybe longer -- to bring about. And I think while
2 it's much, much better in recent years it still has a
3 little ways to go.

4 Universal use of varicella vaccine still has
5 a long way to go. So it's one of -- the issue is
6 certainly one of continuing focus on provider
7 education and I think that's the major challenge here.

8 I don't think any of us around the table and
9 I don't think it was realistic for anyone who believed
10 that physicians would totally fall in line with the
11 change in IPV/OPV policy in January of 1997 when it
12 was first announced by CDC.

13 I was really encouraged by what Dr.
14 Levengood said about the rather sharp rise in
15 utilization of IPV during 1997, and would really look
16 forward to another sharp rise in 1998.

17 But the fact remains I think, that the
18 habits of physicians who have been using OPV for 20
19 years are sometimes very difficult to change. And I
20 think the focus has got to remain on provider
21 education.

22 So I would certainly strongly encourage the
23 members of IPAV and the Immune Deficiency Foundation
24 to do all they can to focus on provider education with
25 regard to this change in vaccine policy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIR FERRIERI: Dr. Evans.

2 DR. EVANS: Actually, I bring a couple of
3 different interests to being on the committee today.
4 Being with the compensation program for the past eight
5 years we have been in the unique position of seeing
6 firsthand through records, the kinds of results that
7 can happen when a baby or an older child or an adult
8 has vaccine associated paralytic polio. And I
9 remember one year we had three cases in which there
10 were ventilator-dependent infants -- that's how
11 severely affected they were.

12 And of course, these parents, some of whom
13 have come to our commission and have spoken and we
14 have a member on our commission, John Salamone. So
15 this issue has been something that we're very familiar
16 with and my heart does go out to the families that
17 have encountered this.

18 It seems to me when I considered this as an
19 issue about putting the boxed label in, my first
20 reaction was, is that really the issue, or is the
21 issue how well are we informing providers and how well
22 are providers informing patients?

23 And with polio we have a known adverse
24 event, we have data, we have a marker, and we have
25 this unique requirement that every time a covered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 vaccine is given -- and now there are ten vaccines
2 that need to have information statements -- every time
3 a vaccine is given by law, they should be given to the
4 parent or legal guardian.

5 And at the same time the vaccine information
6 statement is not designed to replace communication; it
7 is there to facilitate. So I guess one of the
8 questions I had when I first heard about this effort
9 is, what data are there that show how frequently
10 physicians, providers -- whether it's FPs,
11 pediatricians, whatever -- are utilizing these
12 information statements?

13 And beyond the basic question, how much are
14 they aware of the recommendations themselves? I'm not
15 aware of any information that really tells us much
16 about that. And so I guess my reaction to hit listing
17 this is, I want to know more about that and I want to
18 make sure that we redouble our efforts to make sure
19 that providers are aware of the importance.

20 Because I remember when I was giving OPV it
21 was something, one in a million. That was something
22 very obscure, something very hard to get a hold of.
23 But now that I've become much more familiar with this
24 issue, I mean, part of the process it seems to me we
25 have to find ways to make sure that this information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 it gets out there.

2 CHAIR FERRIERI: Thank you. Dr. Karzon.

3 DR. KARZON: I have a special relationship
4 with polio. I hate it. It's an awful disease. And
5 I'll tell you why I feel this way. When I was a young
6 physician in training, and I trained in pediatrics and
7 then infectious diseases, and part of my training was
8 a year in an infectious disease hospital.

9 And during the polio season we had several
10 hundred cases, and I was a senior resident taking care
11 of them. And many a night I slept there, literally
12 surrounded by tanks, breathing for the kids and young
13 adults. And I'd go over and clear out their trachea
14 so they can breathe. I hate it. It's an awesome
15 disease.

16 And then I worked later on as part of the
17 Salk vaccine development program. They used the first
18 Salk vaccine, and then later on actually the Sabin
19 vaccine.

20 The world has changed and I'm very grateful.
21 So now what do I think we should do about the boxes?
22 Well, I don't think putting anything in the box is
23 going to change mothers and baby caretakers in their
24 choice of material for vaccine because there are now
25 three, rather complex choices.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Even understanding them is difficult, what
2 they each mean. I see the defect as a defect in the
3 providers -- I hate that word -- the physicians and
4 the nurses who give out vaccines and who are
5 responsible for pediatric care or a generalist who
6 takes care of children and adults in the clinics,
7 including public clinics that immunize children.

8 If they are not making very plain what the
9 options are and what they mean, that is a defect.
10 That is the defect. Nothing else is the defect.

11 Now, how do we go about making certain that
12 this information is handed off to the mothers who come
13 in for immunizations? How do we make sure that that
14 option is presented to them so they can make a
15 knowledgeable choice? Because it might require some
16 explanation and answering questions, and that all
17 takes time.

18 But we must do that. That's part of the
19 business of the physician or physician's office, or a
20 clinic. If we're not doing that let's do it. Now,
21 how do we get this information out to those people?
22 And this has been said before this afternoon, but I
23 think maybe we are learning that we are not doing as
24 well as we had thought.

25 I would have thought that there's no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pediatrician I can imagine who doesn't know these
2 facts. I find it incredible, but obviously there are
3 some and maybe the important thing is, they don't tell
4 the parent what their options are and the significance
5 of it.

6 I think our goal is to have every person who
7 can possibly understand it in any language, to know
8 their options and the significances. It's the only
9 fair thing because the stakes are high. Even though
10 the statistics are infinitesimal to think about, one
11 in a million is a low number. But they still have to
12 have the information to make their decision.

13 The schedule is unusually complex for a lay
14 person. Inactivated vaccine, live polio at the end of
15 two shots, and so on, has to have an explanation. I
16 think we have to go back to our organizations that we
17 represent or can influence, and make sure that this
18 information is out and used. And then survey it and
19 check and see if it's used.

20 It's that important. If we are going to ask
21 people to take the preferred schedule they have to
22 know: is the risk reduced; how much; what can we
23 expect; why are you asking this? Those questions have
24 to be made public.

25 Every child should be immunized. Every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 schedule works. There are reasons why -- and I think
2 sound epidemiological reasons, not personal reasons --
3 why having a population that is largely in a position
4 of having elementary enteric immunity is valuable.

5 Introduction in the United States is a real
6 possibility. It has occurred -- not very recently but
7 since the vaccine's available. It's a real
8 possibility as long as there is polio in the world, if
9 we don't get a very high immunization rate of one
10 those three sorts, we're going to get polio again.

11 And we, the United States, has had a
12 leadership position in helping to eradicate polio in
13 the world, and that is a wonderful thing to do. I
14 don't care who the little baby is, but if he happens
15 to be in Africa I don't want him to get polio too, and
16 we should all feel that way and we're doing it.

17 But in the process and until we get the
18 world disease-free -- and I agree with those who say
19 we're going to do that because it is a disease that
20 can be eradicated -- that's the first order of
21 business. And that's a lot of people. Again, we've
22 got to make sure that nobody in the United States gets
23 polio inadvertently and that everybody has that
24 option.

25 Some people know more than I about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 dissemination of information and education and also
2 checking to see that it works, and those people should
3 go to work to make it work.

4 About boxes -- I really think that's not an
5 advantage to put in the box because I don't think
6 that's going to move physicians or nurses or clinics
7 to do anything differently. It's going to be there.
8 It's in the same wrapper. It's right there in a
9 different paragraph.

10 If you want to know what adverse reactions
11 are they're listed. And a doctor who doesn't pick
12 that up won't pick it up in the box. Boxes are
13 generally used for chemical drugs where you have other
14 options and you warned off once and you go to another
15 one. There's no option in vaccines.

16 And I don't know that boxes are the answer.
17 We have the same problem with coverage, with whooping
18 cough, diphtheria -- deadly diseases, particularly.
19 And we have the same problem of education of care
20 givers. That's the sound approach.

21 I'll say it again -- I don't believe putting
22 this in a box is going to help unless we do everything
23 else. Unfortunately. It's sort of strange, the
24 concept of a box has been the central point in those
25 who want to prevent polio in children. It's a funny

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 thing to put your hands on as a solution, because I
2 don't think it's useful.

3 CHAIR FERRIERI: I'd like to call on Mrs.
4 Cole who's been very patiently waiting, and then
5 again, to Mrs. Rovner. Rebecca.

6 MS. COLE: Well, I agree totally with Dr.
7 Karzon. I think that one of the major issues is
8 public awareness, but the box on the package insert is
9 not going to do it.

10 You could put a box on every vaccine for
11 every potential, adverse effect. Some kill even more
12 than are injured by polio vaccine every year. We
13 could do that and we'd end up as they say,
14 desensitized. The boxes would no longer mean anything
15 because that's all that would be on the papers.

16 I know from personal experience there are
17 many, many doctors and nurses out there that do not
18 read the literature and that do not discuss anything
19 with parents. I've known mothers who come to me and
20 say, I asked my doctor about this and he said, you
21 read too much. Or, you watch too much TV.

22 As far as I'm concerned, that is as
23 egotistical and cocky as a person can get. Because
24 every human being in this country has the right to
25 know every risk, every potential side effect, every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 single thing -- every choice about everything they put
2 into their bodies.

3 It's a right. But people don't afford them
4 that right. Many times it's our health care
5 providers.

6 You all have done a tremendous job. When I
7 saw that the recommendation now is two shots and two
8 drops, I was just amazed. That's a major advancement.
9 You've done a wonderful thing so far, but I don't
10 think the box, the black box, is going to do anything
11 more.

12 I think efforts to promote more public
13 education would be more productive at this point.

14 CHAIR FERRIERI: Thank you, Rebecca. Ms.
15 Rovner.

16 MS. ROVNER: I couldn't disagree more. I'm
17 not a medical person; I'm a communicator. And just
18 the mere presence of a black box on anything is going
19 to get more attention from the media, from educational
20 places. Then maybe if not a single health provider
21 ever reads what's in the box the box is going to
22 covered and everybody is going to know the box is
23 there.

24 And if a parent comes in with a child to get
25 a shot and says, I know there's a box; what does it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 say? That might save a child. I grew up in the polio
2 era. My kids were born just in time to get the
3 vaccine. I have relatives who had polio -- who have
4 polio.

5 And I think it is unique, and I think it's
6 one of the most exciting medical advances in my
7 lifetime and I'm, as I say, not a medical person at
8 all.

9 You talk in vague terms about educational
10 and public awareness, but that is not the purview of
11 this committee as I understand it. A box is. That's
12 my feeling.

13 MS. COLE: Pat.

14 CHAIR FERRIERI: We have a rejoinder here
15 from Rebecca.

16 MS. COLE: I did not make this a point a
17 little while ago. My oldest son died ten years ago
18 because of the lack of information about
19 corticosteroids and the potential danger with chicken
20 pox and measles.

21 I was instrumental in getting a warning put
22 on labels on all corticosteroids in this country by
23 the FDA. More children were dying -- more
24 immunosuppressed individuals were dying every year of
25 chickenpox than the individuals that are damaged by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 polio vaccine every year.

2 My point was, the warnings on
3 corticosteroids are there. What can be done to
4 possibly save people are there should this side effect
5 occur. That won't happen with the black box.

6 That box is going to be there -- I think the
7 FDA said that there is no option listing in the black
8 box. Is that correct? It's just a warning. It's
9 just a, this can happen. There are many other things
10 out there that are just as dangerous or just as --
11 maybe even more potentially fatal than polio vaccine
12 is.

13 And they are not all in black boxes. I'm
14 not disputing the fact that would get attention -- it
15 really would. But there are other things that could
16 be considered black box material as well. Do we add
17 all of that?

18 MS. ROVNER: Well, you have to take it case-
19 by-case.

20 MS. COLE: How many have we got? How many
21 people die from hemorrhaging from aspirin use every
22 year?

23 CHAIR FERRIERI: Dr. Evans, you had your
24 hand up or not? Okay. Dr. Hall.

25 DR. HALL: There's very little I can add to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 anything that has been said, except that one little
2 piece of information comes from a current study that
3 we are doing in our area about the use of polio
4 vaccine by our providers -- if I may use that word --
5 in our area.

6 And this is actually done with a couple of
7 residents at our university. And it's a fairly large,
8 just survey study. But from that we can at least thus
9 far note that there isn't a single provider who is not
10 -- in this particular group at least -- who is not
11 aware of the dangers of polio vaccine.

12 Also there is not one who is not aware that
13 there are choices to be made among the schedules. And
14 beyond that -- and they also, with almost complete
15 compliance here -- they realize at least, that this
16 information should be presented to the patient.

17 However -- and these methods of trying to
18 assess whether that gets to the patient, is very
19 difficult. We don't really know. So all I can add to
20 this is to say that -- to verify again from what
21 little information we have, that the information is
22 out there -- the providers and all are aware -- and
23 how well aware they are making their patients is
24 individualized in various offices.

25 And again, I don't know how one can best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 make that happen. And also we've learned that most
2 patients will follow whatever their doctor recommends,
3 and that that is actually the most important finding.

4 So somehow I don't think that the black box
5 is going to -- that warns the box, whatever -- is
6 going to help in that problem. And I don't know what
7 the best way is, but I think if our time and resources
8 could be utilized best it would be toward that, of
9 trying to get that communication between to the
10 parents.

11 CHAIR FERRIERI: Thank you, Caroline. I
12 think Dr. Baylor, you heard that with an exception at
13 the table, Ms. Rovner, there is no sentiment for
14 having a special box in the package insert for oral
15 polio vaccine. And it doesn't appear that anyone
16 believes that this is going to accomplish what the
17 goals would be and further communication.

18 Other options that we could develop
19 hopefully through FDA, might improve the dissemination
20 of what is available to all physicians, nurses, other
21 health care providers. But for the sake of discussion
22 I'd like input from the committee on, if we were going
23 to write a box warning, what possible, scientific
24 criteria would lead you in that direction?

25 We know that for adriamycin it becomes very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 evident what the demand would be there to have it, and
2 some other drugs with extreme toxicity. But what
3 scientific criteria would have led you in the
4 direction of writing a box, special box warning for
5 polio -- for oral polio vaccine? Bob?

6 DR. DAUM: I'm going to have to think about
7 that, Pat. I wasn't prepared for that question.

8 CHAIR FERRIERI: No, none of us was, but I
9 think you would have to imagine that the magnitude of
10 the risk would be extreme; that the risk of acquiring
11 a particular disease is so great that you're willing
12 to take on whatever the risks of the product might be;
13 that there was a higher risk, not the risk that we
14 currently know of; dissemination to others; or that
15 the risk is greater to the recipient of the oral polio
16 vaccine.

17 These are the avenues that would lead me in
18 support of a boxed warning, Norman. Do you have any
19 thoughts, Dr. Karzon, about scientific criteria that
20 might lead you to create a boxed warning for oral
21 polio? What would be the imperatives that would
22 require us to move in that direction? Ted.

23 DR. EICKHOFF: You mean, given what we
24 currently know about oral polio vaccine?

25 CHAIR FERRIERI: What scientific criteria --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 this is the question that FDA CBER would like us to
2 address -- possible scientific criteria for
3 determining whether the box label. So I've enumerated
4 at least two.

5 Norman, do you want to seek further
6 clarification on this or do you feel we've come as far
7 as we can?

8 DR. BAYLOR: I know that's a very difficult
9 question and that's one that we've been struggling
10 with also. I think the advice that's been given to
11 the FDA so far has been very useful and perhaps we can
12 close it here. I mean, we may seek your individual
13 advice at some point just in passing.

14 But it's a very difficult question, not just
15 for vaccine associated paralytic polio but for any
16 vaccine. What type of criteria do you set up to make
17 that decision? I think it's been very useful, the two
18 examples that you've given.

19 CHAIR FERRIERI: I would like to respond to
20 Mr. Salamone in terms of, we can't have it both ways.
21 We didn't write any document that was submitted by
22 manufacturers. That was new to us and we wouldn't
23 have written it that way.

24 Those aren't compelling reasons for me not
25 to want a boxed label. I'm not convinced that it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 going to lead to less compliance.

2 So I affirm what Dr. Edwards said and that
3 is, that the average health care provider receives a
4 lot of this information through other channels -- the
5 media, scientific writings, and so on -- but not
6 primarily through package inserts. Although they are
7 extremely valuable and we do refer to them when
8 specific questions may come up.

9 But I think that it won't serve the purpose
10 that we would like to achieve and we share your goals
11 and certainly would love to never have another case of
12 VAPP occur. But I don't think that the box warning is
13 that direction.

14 So there are any number of scientific
15 criteria that would have convinced us. I mean, if you
16 had a high risk of a hematologic abnormality following
17 the vaccine -- any number of things that relate to the
18 therapeutics and the labels that are used for a number
19 of products that are viewed as "dangerous" -- highly
20 dangerous. And when used by inappropriately trained
21 individuals, are even more dangerous.

22 This isn't the case here, in my opinion.
23 Dr. Snider.

24 DR. SNIDER: Well, on the first issue, I
25 think I would not like to leave the room with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 notion that we considered this and decided not to do
2 it and that's the end of the story.

3 To me, the issue that's being raised is a
4 very important issue and we should go on record as a
5 committee I would hope, as being concerned about the
6 issue -- and I think we are, from all the comments --
7 concerned about the issue of provider education, about
8 the risk associated with oral polio vaccine, and
9 provider education about the options available, and
10 parent's or potential recipient's education about the
11 potential options.

12 And that we should explore -- "we" being
13 CDC, FDA, professional societies, advocacy
14 organizations -- we all should consider this a serious
15 problem and try to work together to figure out what
16 are the appropriate solutions.

17 Secondly, with regard to boxed warnings, I
18 personally would like to know if there is information
19 -- and if there's not information would be interested
20 in it being gathered -- on what impact boxes have.
21 Because I can think of certain criteria as you've
22 articulated, as reasons I would want to give a special
23 alert, if you will.

24 But I still don't know whether -- I mean,
25 certainly the box doesn't seem to be conducive to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1 talking about options. So what is the box going to
2 do? What does it accomplish, and is it the best way
3 of conveying information, and if so, what type of
4 information?

5 And that's a scientific issue, too. It's in
6 the area of risk communication, but it's an issue that
7 can be addressed scientific. And I think it would
8 behoove FDA to try to get more information about that
9 particular approach to risk communication.

10 CHAIR FERRIERI: Dr. Zoon.

11 DR. ZOON: Yes. In addressing the impact of
12 a boxed warning, we currently do not have that data,
13 but we will go back and check with our colleagues for
14 the Center for Drugs and see if they have any
15 information related to that as to the impact.

16 And I also would like to say, we would look
17 forward to working with the CDC and physician
18 organizations to see if we could do a better job at
19 educating physicians, nurses, and helping in any way
20 we can getting the information to the parents.

21 So, thank you.

22 CHAIR FERRIERI: Thank you, Dr. Zoon. One
23 last comment, Dr. Evans.

24 DR. EVANS: I'm just going to tag onto that
25 a little bit. There's been a lot of movement in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 area of vaccine risk communication. We have a vaccine
2 safety action plan that the Public health Service has
3 been putting together with Rob Breiman kind of
4 overseeing it, and a major component of that is
5 vaccine risk communication, specifically assessing
6 what providers know, what parents know, and ways that
7 we can better educate, get tools to them, and get some
8 empiric data on what kinds of things work and don't
9 work.

10 And so in response to someone's comment
11 about this thing about education being vague, there's
12 an unprecedented amount of movement in this area and
13 there's going to be much more, because unfortunately
14 we live in a world in which vaccine safety issues seem
15 to be everpresent.

16 CHAIR FERRIERI: Thank you very much. I
17 want to really thank the people who came for the open
18 public hearing. I know what it took emotionally to
19 come and present to us, and you've really accomplished
20 it more than you might have imagined at the end of
21 this meeting.

22 You've stimulated quite a reaction that will
23 continue much beyond the length of this meeting this
24 afternoon. And I think the FDA and the government,
25 the Public Health Service, takes this issue very, very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 seriously, and I think we will all gain because of the
2 efforts that you all have made.

3 So we may not have accomplished everything
4 that you had hoped for, Dr. Baylor, but from my point
5 of view I think it's opened up an incredibly big area
6 for us to contribute to in the future.

7 So I would like to thank you and also
8 indicate adjournment.

9 (Whereupon, the Open Public Hearing was
10 adjourned at 5:40 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24


25

CERTIFICATE OF TRANSCRIBER

MATTER: DEPARTMENT OF HEALTH AND HUMAN SERVICES--
FOOD AND DRUG ADMINISTRATION CENTER FOR
BIOLOGICS EVALUATIONS AND RESEARCH:
VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE MEETING

DATE: May 27, 1998

I hereby certify that the attached transcription of pages 1 to 83 inclusive are to the best of my belief and ability a true, accurate, and complete record of the proceedings as recorded on tape provided to us by the agency.


A handwritten signature in cursive script, reading "Judy Hadley", is written over a horizontal line.